

The Advisory Committee on
Heritable Disorders in Newborns and Children

Virtual Meeting

9:30 a.m. until 3:00 p.m.

Thursday, February 9, 2023

Attended via Zoom Webinar

1 - I N D E X -

2 COMMITTEE MEMBERS 4

3 EX - OFFICIO MEMBERS 7

4 ACTING DESIGNATED FEDERAL OFFICIAL 8

5 ORGANIZATIONAL REPRESENTATIVES 9

6 WELCOME 13

7 ROLL CALL 17

8 COMMITTEE BUSINESS 27

9 PUBLIC COMMENT 35

10 NEWBORN SCREENING FOR KRABBE DISEASE: A SYSTEMATIC REVIEW OF
11 THE EVIDENCE (PART 1) 79

12 NEWBORN SCREENING FOR KRABBE DISEASE: A SYSTEMATIC REVIEW OF
13 THE EVIDENCE (PART 2) 135

14 LUNCH 179

1	COMMITTEE REPORT: NEWBORN SCREENING FOR KRABBE DISEASE ..	181
2	COMMITTEE DISCUSSION AND VOTE	212
3	VOTE ON MOTION TO CHANGE SCREENING RATING	255
4	VOTE ON MOTION TO RECOMMEND KRABBE DISEASE FOR INCLUSION IN	
5	THE RUSP	257
6	ORIENTATION TO WORKGROUP SESSIONS	260
7	ADJOURNMENT	263
8		
9		

COMMITTEE MEMBERS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Kyle Brothers, MD, PhD

Endowed Chair of Pediatric Clinical and
Translational Research
Associate Professor of Pediatrics
University of Louisville School of Medicine

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD

Deputy Director, Division of Genetics
New York Department of Health

Jannine D. Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President
The Chromosome 18 Registry & Research Society

COMMITTEE MEMBERS

(continued)

Jane M. DeLuca, PhD, RN

Associate Professor

Clemson University School of Nursing

Metabolic Nurse Practitioner

The Greenwood Genetic Center

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

COMMITTEE MEMBERS

(continued)

Shawn E. McCandless, MD

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

EX - OFFICIO MEMBERS

Agency for Health care Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food & Drug Administration

Kellie B. Kelm, PhD

Director, Division of Chemistry and Toxicology

Devices,

Office of In Vitro Diagnostics and Radiological

Health

EX - OFFICIO MEMBERS

(continued)

Health Resources & Services Administration

Michael Warren, MD, MPH, FAAP

Associate Administrator

Maternal and Child Health Bureau

National Institutes of Health

Diana W. Bianchi, MD

Director, Eunice Kennedy Shriver National Institute
of Child Health and Human Development

ACTING DESIGNATED FEDERAL OFFICIAL

LCDR Leticia Manning, MPH

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

1 **ORGANIZATIONAL REPRESENTATIVES**

2

3 **American Academy of Family Physicians**

4 Robert Ostrander, MD
5 Valley View Family Practice

6

7 **American Academy of Pediatrics**

8 Debra Freedenberg, MD, PhD
9 Medical Director, Newborn Screening and Genetics,
10 Community Health Improvement Texas Department of
11 State Health Services

12

13 **American College of Medical Genetics & Genomics**

14 Robert Best, PhD, FACMG
15 Interim Chief Executive Officer

16

17 **American College of Obstetricians & Gynecologists**

18 Steven J. Ralston, MD, MPH
19 Chair, OB/GYN Pennsylvania Hospital

20

21

22

1 **ORGANIZATIONAL REPRESENTATIVES (continued)**

2 **Association of Maternal & Child Health Programs**

3 Karin Downs, RN, MPH

4 Maternal and Child Health Director (retired)

5 Massachusetts Department of Public Health

6

7 **Association of Public Health Laboratories**

8 Susan M. Tanksley, PhD

9 Manager, Laboratory Operations Unit

10 Texas Department of State Health Services

11

12 **Association of State & Territorial Health Officials**

13 Scott M. Shone, Ph.D., HCLD(ABB)

14 Director

15 North Carolina State Laboratory of Public Health

16

17 **Association of Women's Health, Obstetric and Neonatal**
18 **Nurses**

19 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

20 Health Board Director

21 Vice President, Research Officer

22 University of North Carolina Health

1 **ORGANIZATIONAL REPRESENTATIVES** (continued)

2 **Child Neurology Society**

3 Margie Ream, MD, PhD

4 Associate Professor

5 Director, Leukodystrophy Care Clinic

6 Director, Child Neurology Residency Program

7 Nationwide Children's Hospital, Division of Neurology

8

9 **Department of Defense**

10 Jacob Hogue, MD

11 Lieutenant Colonel, Medical Corps, US Army

12 Chief, Genetics, Madigan Army Medical Center

13

14 **Genetic Alliance**

15 Natasha F. Bonhomme

16 Vice President of Strategic Development

1 **ORGANIZATIONAL REPRESENTATIVES** (continued)

2 **March of Dimes**

3 Siobhan Dolan, MD, MPH, MBA

4 Professor and Vice-Chair, Genetics and Geonomics

5 Department of Obstetrics, Gynecology, and

6 Reproductive Science

7 Icahn School of Medicine at Mount Sinai

8

9 **National Society of Genetic Counselors**

10 Cate Walsh Vockley, MS, LCGC

11 Senior Genetic Counselor

12 Division of Medical Genetics

13 UPMC Children's Hospital of Pittsburgh

14

15 **Society for Inherited Metabolic Disorders**

16 Gerard T. Berry, M.D.

17 Harvey Levy Chair in Metabolism

18 Director, Metabolism Program,

19 Division of Genetics and Genomics

20 Boston Children's Hospital

21 Director, Harvard Medical School Biomedical Genetics

22 Training Program

23 Professor of Pediatrics, Harvard Medical School

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DAY 1

WELCOME

NED CALONGE: Good morning, everyone. Welcome to the first meeting of the Advisory Committee on Heritable Disorders in Newborns and Children for 2023. I'm Ned Calonge; I'm chair of the Committee. And I'm excited to get started. We have a very busy agenda, good presentations and good information that we've put together working with HRSA staff and our other colleagues to move forward.

I'd like to start the meeting by welcoming our newest Committee member, Dr. Michele Caggana. Michele has been employed with the Wadsworth Center of the New State Department of Health since 1996. She's currently the Deputy Director of the Division of Genetics, Chief of the Laboratory of Human Genetics, and the Director of the New York State Newborn Screening Program.

Michele also serves as the Chair of the Association of Public Health Laboratories Newborn Screening Committee, and a member of the

1 National Advisory Child Health and Human
2 Development Council.

3 We are excited about Dr. Caggana
4 joining the Committee, as she will bring
5 tremendous expertise in piloting and implementing
6 new conditions, screening molecular genetics, and
7 state-level newborn screening systems.

8 Let's welcome Dr. Caggana.

9 And, Michele, if you'd like to say
10 just a couple of words, that would be great.

11 MICHELE CAGGANA: Good morning,
12 everyone, and thank you. I'll thank Dr. Calonge
13 for that nice introduction.

14 I'm very excited to be a member of
15 this very important Committee. And as people who
16 have been following us for a long time know, I've
17 participated in different capacities over the
18 years. I have presented to the Committee and
19 shared, as just mentioned, pilot data and results
20 from our newborn screening, and also I'm a member
21 of the Laboratory Workgroup, and I've been a
22 technical expert/participant for several of the

1 conditions that have been discussed.

2 So, I'm very pleased to work with the
3 chair and the members of this Committee. And I
4 think my task is to ensure that the experiences of
5 the Newborn Screening Committee are included in
6 this discussion. So, thank you very much.

7 NED CALONGE: Thanks, Michele. And
8 again, we all welcome you.

9 Next slide, please.

10 (Slide)

11 NED CALONGE: It's also our
12 opportunity to welcome Dr. Robert Best as the new
13 organizational representative from the American
14 College of Medical Genetics and Genomics. Dr.
15 Best is the Interim Chief Executive Officer of the
16 ACMG and Genomics -- sorry, there's two Gs now.

17 He's a medical geneticist and a
18 Distinguished Professor Emeritus at the University
19 of South Carolina School of Medicine, Greenville.
20 He is a founding fellow for the ACMG and past
21 board member for the ACMG Foundation.

22 Please help me welcome Dr. Best. I

1 don't know, Dr. Best, if you'd like to make a
2 couple of comments?

3 ROBERT BEST: Well, so first thank
4 you very much. It's a great pleasure and an honor
5 to join you all. The college really values the
6 work of this Committee, and we're delighted to
7 participate in it. This is my first time. So,
8 I'm here mostly to learn. And I'm delighted to be
9 supporting this group. So, thanks so much for the
10 welcome.

11 NED CALONGE: Thanks, Robert. And I
12 hope you can remember to bring the thanks of the
13 Committee to Marc Williams for his serving as the
14 interim organizational representative for the past
15 couple of meetings.

16 ROBERT BEST: I will do that. He
17 regrets -- we both have some schedule conflicts
18 today and tomorrow. So, I was the more open of
19 the schedules. But he sends his greetings and is
20 also delighted to be a part of this group.

21 NED CALONGE: Hey, I'd like to now
22 turn things over to Leticia, who's going to do

1 roll call and go over some other logistics and
2 informational items.

3 Leticia.

4 **ROLL CALL**

5 LETICIA MANNING: Thank you.

6 Good morning, everyone.

7 NED CALONGE: Good morning.

8 LETICIA MANNING: I'm going to go
9 through roll call.

10 For the Agency for Health Care
11 Research and Quality, Kamila Mistry.

12 KAMILA MISTRY: It's Kamila.

13 LETICIA MANNING: Kamila, sorry.

14 KAMILA MISTRY: But I'm here. Thank
15 you.

16 LETICIA MANNING: Kyle Brothers.

17 MALE VOICE: Kyle has let us know
18 that he will be late, probably maybe a half-an-
19 hour late or so. So, he will be joining us.

20 LETICIA MANNING: Thank you.

21 Michele Caggana.

22 MICHELE CAGGANA: Present.

1 LETICIA MANNING: Dr. Calonge.

2 NED CALONGE: I'm here.

3 LETICIA MANNING: From the Centers
4 for Disease Control and Prevention, Carla
5 Cuthbert.

6 CARLA CUTHBERT: Good morning,
7 everyone. I'm here.

8 LETICIA MANNING: Jannine Cody.

9 JANNINE CODY: Good morning. I'm
10 here.

11 LETICIA MANNING: Jane DeLuca.

12 JANE DeLUCA: Present.

13 LETICIA MANNING: From the Food and
14 Drug Administration, Kellie Kelm.

15 KELLIE KELM: Present.

16 LETICIA MANNING: From the Health
17 Resources and Services Administration, Dr. Michael
18 Warren.

19 MICHAEL WARREN: Present.

20 LETICIA MANNING: Jennifer Kwon.

21 JENNIFER KWON: Present.

22 LETICIA MANNING: Ash Lal.

1 ASHUTOSH LAL: Present.

2 LETICIA MANNING: Shawn McCandless.

3 SHAWN McCANDLESS: Here.

4 LETICIA MANNING: From the National
5 Institutes of Health, Melissa Parisi.

6 MELISSA PARISI: Here.

7 LETICIA MANNING: Chanika
8 Phornphutkul. My apologies for pronunciation.

9 CHANIKA PHORNPHTKUL: I'm here.

10 LETICIA MANNING: And for the
11 organizational representatives, from the American
12 Academy of Family Physicians, Robert Ostrander.

13 ROBERT OSTRANDER: I'm here.

14 LETICIA MANNING: From the American
15 Academy of Pediatrics, Debra Freedenberg.

16 DEBRA FREEDENBERG: I am here.

17 LETICIA MANNING: From the American
18 College of Medical Genetics and Genomics, Robert
19 Best.

20 ROBERT BEST: I'm here.

21 LETICIA MANNING: From the American
22 College of Obstetricians and Gynecologists, I'm

1 not sure if we have a representative.

2 (No audible response)

3 LETICIA MANNING: From the
4 Association of Maternal and Child Health Programs,
5 Karin Downs.

6 KARIN DOWNS: I'm here.

7 LETICIA MANNING: From the
8 Association of Public Health Laboratories, Susan
9 Tanksley.

10 SUSAN TANKSLEY: Hi. I'm here.

11 LETICIA MANNING: Thank you.
12 From the Association of State and
13 Territorial Health Officials, Scott Shone.

14 SCOTT SHONE: Here.

15 LETICIA MANNING: From the
16 Association of Women's Health, Obstetric and
17 Neonatal Nurses, Shakira Henderson.

18 Sorry.

19 From the Child Neurology Society,
20 Margie Ream.

21 MARGIE REAM: I'm here.

22 LETICIA MANNING: From the Department

1 of Defense, Jacob Hogue.

2 (No audible response)

3 LETICIA MANNING: From the Genetic
4 Alliance, Natasha Bonhomme.

5 NATASHA BONHOMME: Bonhomme, yep.

6 LETICIA MANNING: Bonhomme, sorry.

7 NATASHA BONHOMME: I'm here.

8 LETICIA MANNING: The March of Dimes,
9 Siobhan Dolan.

10 SIOBHAN DOLAN: Good morning. I'm
11 here.

12 LETICIA MANNING: For the National
13 Society of Genetic Counselors, Cate Walsh Vockley.

14 CATE WALSH VOCKLEY: Vockley, Cate.
15 I'm here. Thank you.

16 LETICIA MANNING: Sorry.

17 And from the Society for Inherited
18 Metabolic Disorders, Gerard Berry.

19 (No audible response)

20 LETICIA MANNING: Okay. Thank you.

21 And that is roll call.

22 OPENING REMARKS

1 LETICIA MANNING: So, now I'm just
2 going to go over a few things regarding ethics and
3 conflicts of interest. I just want to remind the
4 Committee members that you must recuse yourself
5 from participation in all particular matters
6 likely to affect the financial interests of any
7 organization with which you serve as an officer,
8 director, trustee, or general partner unless you
9 are also an employee of the organization or unless
10 you have received a waiver from the Department of
11 Health and Human Services authorizing you to
12 participate.

13 As in the case today, when a vote is
14 scheduled or an activity is proposed and you have
15 a question about a potential conflict of interest,
16 please notify me immediately. You can email me at
17 lmanning@hrsa.gov.

18 According to FACA, all Committee
19 meetings are open to the public. If the public
20 wish to participate in the discussion, the
21 procedures for doing so are published in the
22 Federal Register and/or are announced at the

1 opening of a meeting.

2 For the main meeting in the Federal
3 Register notice, we said that there would be a
4 public comment period. Only with advance approval
5 of the chair or the designated federal official
6 may public participants question Committee members
7 or other presenters.

8 Public participants may submit
9 written statements. Also, public participants
10 should be advised that Committee members are given
11 copies of all written statements submitted by the
12 public.

13 As a reminder, it is stated in the
14 FRN, or the Federal Register notice, as well as
15 the registration website that all written public
16 comments are part of the official meeting record
17 and are shared with Committee members. Any
18 further public participation will be solely at the
19 discretion of the chair and the designated federal
20 officer.

21 If there are questions, once again
22 you can email me at lmanning@hrsa.gov.

1 Next slide.

2 (Slide)

3 LETICIA MANNING: Thank you.

4 And now I just want to go over a few
5 webinar instructions. Many of you are already
6 logged in, and I see names, so that's good. When
7 you log into Zoom, you'll be prompted to enter
8 your name as you would like it to appear in the
9 Zoom display name to ensure the meeting host can
10 easily identify you in the audience. Please use
11 your first and last name along with any relevant
12 organization name.

13 If this screen does not appear to
14 you, and your name is not clearly conveyed in the
15 display name, please email Emma Kelly at
16 ekelly@lrginc.com. And please note that when you
17 are prompted or promoted to be a panelist, the
18 system will briefly log you out of the meeting,
19 and then you will automatically be joined within
20 10 seconds.

21 Next slide, please.

22 (Slide)

1 LETICIA MANNING: This is an
2 instruction on how to enable closed captions. You
3 can do that for yourself if you need to.

4 Next slide.

5 (Slide)

6 LETICIA MANNING: This is the
7 schedule for the ACHDNC meetings for 2023. May
8 4th through 5th will be in-person and virtual.
9 August 10th through 11th will be virtual only. It
10 is a new date. November 2nd through the 3rd is
11 in-person and virtual, and it is also a new date.
12 So, please mark your calendars accordingly.

13 And now I turn it back over to you,
14 Dr. Calonge.

15 NED CALONGE: Thanks, Leticia.

16 Before we turn to Committee business,
17 I want to make mention of two important funding
18 opportunities from the Maternal and Child Health
19 Bureau to strengthen newborn screening systems.
20 The two programs are NBS Propel and NBS Excel.

21 The purpose of the State Newborn
22 Screening Priority Program for NBS Propel is to

1 strengthen the NBS system to provide screening,
2 counseling, and health care services to newborns
3 with or at risk for inheritable disorders.

4 There are two focus areas, one which
5 is activities related to improving collection of
6 specimens, testing of specimens, and reporting
7 results; and implementing screening for newly
8 added RUSP conditions.

9 The other focus area is in regard to
10 activities related to improving short-term follow-
11 up to long-term follow-up and helping families
12 understand how to navigate the process from
13 confirmation of the diagnosis to treatment.

14 The National Center for Newborn
15 Screening System Excellence, or NBS Excel, will
16 fund an organization to support state NBS
17 programs. The program will also fund stakeholders
18 as well as programs by providing leadership,
19 technical assistance, and quality improvement
20 expertise.

21 I hope you'll look for more
22 information on the grants.gov site and search

1 there for newborn screening. And you can see the
2 due date for the applications on the website.

3 I also want to make the Committee
4 aware that there is a nationwide antibiotic
5 solution supply shortage, which can affect
6 children with sickle-cell disease. We use the
7 liquid form prescribed for babies and young
8 children with sickle-cell disease who are unable
9 to swallow pills as a standard of care.

10 But there are alternatives that have
11 been posted on the Sickle-Cell Disease Association
12 website that I would refer clinicians and families
13 to while that shortage is in place.

14 I'll turn now if I could to Committee
15 business.

16 **COMMITTEE BUSINESS**

17 NED CALONGE: I'll first announce
18 that Secretary Becerra on January 4th approved the
19 addition of GAMT deficiency to the Routine Uniform
20 Screening Panel. The Secretary considered the
21 utility of current screening technologies, the
22 treatment for GAMT deficiency, and the impact on

1 public health systems, and with that recommended
2 to expand the RUSP to include GAMT deficiency.

3 I remind folks that the addition of
4 GAMT deficiency to the RUSP is a recommendation.
5 It does not constitute a requirement for states to
6 implement screening.

7 In addition, the Secretary requested
8 a report of state implementation of GAMT
9 deficiency screening to look also at potential
10 barriers to treatment and especially look at long-
11 term follow-up and health outcomes in five years.
12 So, I hope our state lab will start looking at the
13 implementation of GAMT and hopefully, if need be,
14 take advantage of the two new grant programs to
15 help implement the new screening.

16 Finally, when I just close these
17 introductory comments, I'm noting that we are
18 making two decisions during this meeting. We'll
19 be voting whether to recommend to the Secretary to
20 add Krabbe disease to the Routine Uniform
21 Screening Panel. We'll also be voting whether to
22 send Duchenne muscular dystrophy onto the Evidence

1 Review Group for an evidence-based review.

2 I want to pause and just remind the
3 panel and the rest of the attendees that the
4 integrity of the decisions we make at this meeting
5 are really based on the integrity to which we
6 adhere to the process that we put forward.

7 The evidence-based review and
8 evidence to decision framework we use at the
9 Advisory Committee has been in place with some
10 modifications since the Committee first started it
11 four years ago and has stayed relatively the same,
12 if you will, under different secretaries and
13 different presidential administrations.

14 The systematic evidence review that
15 looks at peer-reviewed published reports, decision
16 modeling analysis that helps us make decisions
17 within the context of a public health program, and
18 the public health assessment that gives us
19 information on how implementation might be
20 possible, feasible, and appropriate moving forward
21 -- all things that we use in our decision making.

22 The process is a scientific process,

1 and while there are a lot of ways to make
2 decisions, choosing an evidence-based approach
3 brings to bear a science and a process and a
4 methodology that's well-established for screening
5 and other settings, including infants, children,
6 adults, adolescents, and pregnant people, all of
7 which is looked at by the United States Preventive
8 Services Task Force. But for newborn screening
9 it's this Committee.

10 And I just want to remind people that
11 it's the science that drives the integrity of the
12 process. It's the science that underlies our
13 decisions. And our judgments are based on our
14 expertise and how we view and weigh the different
15 elements that will bring forward and evidence-
16 based review that will look forward and how we
17 weigh the available information for the nomination
18 process that we will do in discussing muscular
19 dystrophy.

20 I also want to just pause and let
21 members of the public and families and other
22 advocates know -- and I feel like I speak for the

1 entire Committee -- how much we value your
2 presence, how much we appreciate and need you
3 sharing your very personal stories, and how much
4 we acknowledge, recognize, and respect your
5 personal investments into the process that we've
6 put forward.

7 And we hear your stories. We weigh
8 those as we look through the scientific evidence.
9 And we make our decisions in view of the impact on
10 you. So, regardless of the outcome of the votes,
11 I want to make sure I express my gratitude for
12 your being here and your involvement in the
13 process.

14 Next slide, please.

15 (Slide)

16 NED CALONGE: We have the November
17 2022 meeting summary, which has been put into the
18 packet. I want to thank Committee members and
19 organizational reps for reviewing that, and at
20 this point ask if there are any corrections to the
21 summary before we accept it.

22 (No audible response)

1 NED CALONGE: Hearing none, I would
2 entertain a motion to accept the November minutes.

3 SHAWN McCANDLESS: This is Shawn
4 McCandless.

5 I move to accept the November
6 minutes.

7 NED CALONGE: Thanks, Shawn.
8 And is there a second?

9 JENNIFER KWON: This is Jennifer
10 Kwon.

11 I move to second.

12 NED CALONGE: Thanks, Jennifer.
13 Leticia, would you please do a roll
14 call vote?

15 LETICIA MANNING: Yes.
16 Kyle Brothers.

17 (Pause)

18 LETICIA MANNING: I'm sorry. I know
19 he's running late.

20 Michele Caggana.

21 MICHELE CAGGANA: Abstain.

22 LETICIA MANNING: Dr. Calonge.

1 NED CALONGE: Yes.

2 LETICIA MANNING: Jannine Cody.

3 JANNINE CODY: Yes.

4 LETICIA MANNING: Carla Cuthbert.

5 CARLA CUTHBERT: Yes.

6 LETICIA MANNING: Jane DeLuca.

7 JANE DeLUCA: Accept.

8 LETICIA MANNING: Kellie Kelm.

9 KELLIE KELM: Yes.

10 LETICIA MANNING: Jennifer Kwon.

11 JENNIFER KWON: Yes.

12 LETICIA MANNING: Ash Lal.

13 ASHUTOSH LAL: Yes.

14 LETICIA MANNING: Shawn McCandless.

15 SHAWN McCANDLESS: Yes.

16 LETICIA MANNING: Kamila Mistry.

17 KAMILA MISTRY: Kamila. Yes.

18 LETICIA MANNING: Kamila. I'm so

19 sorry.

20 Melissa Parisi.

21 MELISSA PARISI: Yes.

22 LETICIA MANNING: Chanika

1 Phornphutkul.

2 CHANIKA PHORNPHTKUL: Yes.

3 LETICIA MANNING: Michael Warren.

4 MICHAEL WARREN: Yes.

5 LETICIA MANNING: That is the full
6 Committee.

7 NED CALONGE: So, looking at the
8 vote, that passes. I appreciate that. And we
9 will move on.

10 NED CALONGE: Just to remind you of
11 what we're doing today and tomorrow, we'll do
12 public comment and then presentations from the
13 Evidence Review Group on the systematic evidence
14 review on newborn screening for Krabbe disease.
15 Then there will be a presentation on the Committee
16 report on newborn screening for Krabbe and a vote
17 on whether to recommend adding Krabbe to the
18 Routine Uniform Screening Panel.

19 Tomorrow -- next slide.

20 (Slide)

21 NED CALONGE: -- we'll have report
22 out from the Prioritization and Capacity Workgroup

1 in their update. We're going to have another
2 opportunity for public comment. Then we'll have
3 workgroup updates from follow-up and treatment,
4 laboratory standards and procedures, and education
5 and training.

6 After that we will have a nomination
7 summary for Duchenne muscular dystrophy and a vote
8 on whether to move DMD to the ERG for a full
9 evidence review. Then finally, we'll have grantee
10 presentations on the HRSA State Interoperability
11 Program.

12 At this time I'd like to move to
13 public comments.

14

15

PUBLIC COMMENT

16

17

18

19

NED CALONGE: I want to assure those
in the public comment period that I recognize
we're a bit behind schedule, but we will allow the
entire schedule, 45 minutes.

20

21

22

We received nine requests from
individuals to provide oral public comments to the
Committee today. And they will provide their

1 comments in the following order: Michael Wilson
2 with his parent, Tammy Wilson; Stacy Pike-
3 Langenfeld; Wendy Tierney; Lana Grujicic; Karlita
4 Blackwell; Jim Kelly; Joanne Kurtzberg; Maria
5 Escolar; and Dietrich Matern.

6 At this time I would like to turn
7 public comments over to Michael Wilson.

8 (Inaudible conversation)

9 MICHAEL WILSON: Good morning. Thank
10 you for inviting me to see me. I'm going to read
11 a pretty good report on why it's important to do
12 newborn screening for Krabbe.

13 Hello. My name is Michael Wilson,
14 and I am 12 years old with a rare disease called
15 Krabbe disease. I am the youngest of six
16 children. My brother Marshall was also born with
17 the same disease as me. He was born 15 months
18 before me, symptomatic at 12-13 months, diagnosed
19 at 18 months.

20 When I was born, my mom and dad had
21 me tested for the same disease as my brother, and
22 it turned out that I had Krabbe disease too. Even

1 though I was too young to remember, here is what I
2 know. I know I had a stem-cell, cord-blood
3 transplant for Krabbe disease when I was four
4 months old at Doernbecher Children's Hospital here
5 in Oregon. I also know without the treatment I
6 would not be alive today.

7 I know my brother was not treated for
8 the disease. He was in and out of the hospital in
9 his short lifetime, and at the age of six he
10 passed away. Marshall was not able to be treated
11 because the disease was already spreading
12 throughout his body when he was diagnosed.

13 Because of my brother's diagnosis,
14 there was time for me to get a lifesaving
15 treatment. If Oregon was screening for Krabbe, my
16 brother would have had the similar outcome as me.
17 Oregon newborn screening could have saved my
18 brother.

19 I came into this hospital as a normal
20 baby, then finding out I had Krabbe disease. I
21 was in the hospital for a very long time. My mom
22 and dad share stories with me about when I was a

1 baby in the hospital and coming home and
2 recovering from transplant.

3 I remember I had a whole team of
4 doctors. My parents took turns staying with me at
5 the hospital. My earliest memory of my brother,
6 Marshall, is laying in his bed in the living room
7 not able to move or talk. I remember the hospice
8 music teacher coming to our house, and Marshall
9 and I got to hear and play music.

10 I now run three businesses of my own.
11 I run a lawn-mowing business, sno-cone, and
12 lemonade stand. I hope to soon offer car-washing.
13 I just finished playing soccer for the season. I
14 also have played basketball in the past. I just
15 started lessons to play the electric guitar. I
16 also have an interest in learning to play tennis.

17 In my lifetime I have been able to
18 travel and help different organizations fundraise
19 and bring awareness to rare diseases and newborn
20 screening. Last year I was a patient designer for
21 the Nike Freestyle Program. I was recently asked
22 by our local children's hospital to be their 2023

1 Ambassador for the Children's Miracle Network.

2 I know I am reading my story in front
3 of a Committee that makes decisions on whether or
4 not to do newborn screening on children across the
5 country. The reason why all babies across the
6 country should be screened for Krabbe disease is
7 because if they don't catch it in the early stage
8 of disease, they will not make it. They will live
9 with the disease and die in a short time.

10 If they are tested and treated, then
11 it means they have a better chance of living their
12 entire life. I am proof that if treated they can
13 live their best life just like me. My brother was
14 not given that chance or that treatment even
15 though it was available.

16 Thank you.

17 NED CALONGE: Thank you, Michael.

18 Next, Stacy Pike-Langenfeld.

19 STACY PIKE-LANGENFELD: Hi, everyone.

20 Thank you so much for this time for talk. And,
21 well, thank you, Michael, for sharing your story.

22 I want to extend a special thank-you to all of the

1 Committee members today.

2 I am Stacy Pike-Langenfeld, President
3 at Krabbe Connect. And I am also the mother of a
4 child who had Krabbe disease. Her name was
5 Makayla. She died on May 4th, 2001, at the age of
6 two.

7 Probably today you will hear many
8 amazing stories about Krabbe disease, so I have
9 chosen to use my time to address the readiness of
10 this disorder on the RUSP. Krabbe disease meets
11 the pediatric onset criteria. The science shows
12 90 percent of our cases each year are the early
13 infantile Krabbe disease cohort.

14 In these cases, immediate action is
15 required. The only -- and I need to stress the
16 only -- way these children can live a quality of
17 life is by undergoing a hematopoietic stem cell
18 transplant conducted in the first 30 to 45 days of
19 life.

20 Other challenges with transplant
21 everyone knows in this room. There's always a
22 risk with any medication or treatment. But the

1 challenge of the transplant to help treat Krabbe
2 disease will never compare to the challenges of
3 the non-treated early infantile Krabbe disease
4 cohort.

5 Yes, time is of the essence. But
6 we've witnessed the great work that the 10 states
7 currently screening for Krabbe disease, each of
8 whom have helped families successfully seek
9 transplants, and these children are living well
10 today.

11 Krabbe disease also meets the level
12 of severity criteria. Science shows and written
13 medical descriptions state that Krabbe disease is
14 a severe metabolic disease. It causes premature
15 death if the baby does not receive treatment in
16 the first 30 to 45 days of life.

17 Babies identified too late for
18 treatment are completely immobilized by tragic
19 miscommunication to all 10 of their body systems:
20 their skeletal, muscular, nervous, endocrine,
21 cardiovascular, emphatic, respiratory, digestive,
22 urinary, and reproductive systems.

1 Transplant children may have gross
2 and fine motor delays, but they do not experience
3 a war on all 10 body systems. In fact, transplant
4 children, as you see with Michael, are happy,
5 interactive with their family members, and even
6 attend school.

7 Number three, Krabbe disease meets
8 the treatment intervention criteria. Science
9 shows transplant has been utilized for Krabbe
10 disease since the early 1990s. The number one
11 thing we've learned from transplants is early
12 intervention is key.

13 If you seriously believe transplant
14 is not a worthwhile treatment for Krabbe disease,
15 I urge you to change the treatment intervention
16 criteria for newborn screening to only diseases
17 with curative therapies will be considered.

18 And lastly and most importantly,
19 Krabbe disease meets the effective testing
20 criteria. We can without a doubt effectively
21 diagnose early infantile Krabbe disease. I have
22 been tightly tied to the research on Krabbe

1 disease since 2007. This was the criteria
2 severely lacking in the 2009 RUSP submission.

3 The research and clinical experts
4 have implemented the right testing mechanisms to
5 properly identify this disease. Do we wish we had
6 all the specifics on genotype versus phenotype to
7 help navigate the cases? Absolutely. However,
8 this is not listed as a criterion for newborn
9 screening readiness.

10 In fact, the genotype/phenotype
11 correlation in cystic fibrosis and the role of
12 modified genes is still evolving, and we've been
13 screening for cystic fibrosis for 14 years.

14 So, I understand it's not easy to be
15 in your seats. Many of you know and feel the
16 stress of newborn screening expansion. Some of
17 you sit on your states' newborn screening advisory
18 committees and hear about the labor and funding
19 shortages.

20 Today I have one simple ask. Please
21 keep your focus on the readiness of Krabbe
22 disease. Because from where I sit, we're ready

1 for all states to screen.

2 Thank you.

3 NED CALONGE: Thank you, Stacy.

4 Next we have Wendy Tierney.

5 WENDY TIERNEY: Good morning. Thank
6 you for this opportunity to share our story and
7 the importance of Krabbe newborn screening with
8 you.

9 My husband Chad and I have been
10 blessed with two beautiful daughters. Our oldest
11 daughter, Grace, was diagnosed with Krabbe disease
12 when she was five months old, after she became
13 symptomatic and therefore unable to receive the
14 treatment for the disease.

15 Because of Grace's genetic history,
16 we pursued pre-implementation genetic diagnosis
17 for our next child. Even though this minimized
18 the risk of another child having Krabbe, we had
19 our youngest daughter, Madison, tested when she
20 was born for our peace of mind.

21 Tragically, a potentially grave
22 mistake was made by the clinic and Madison tested

1 positive for Krabbe. So, at five days old,
2 Madison arrived at Duke University Hospital to
3 begin her transplant process. Since Madison was
4 tested for Krabbe at birth, she has an amazing and
5 full life, unlike her sister, Grace.

6 To help you understand the impact of
7 early detection and treatment for Krabbe disease,
8 here is a timeline of their lives.

9 Grace was born on September 14th,
10 2000, and Madison was born on May 31st, 2004.
11 Around two months old, they both smiled for the
12 first time. At three months old, they both began
13 to grab at toys. At four months, Madison began
14 passing toys from hand to hand. Grace began
15 having difficulty eating.

16 At five months, Madison was sitting
17 in her Bumbo seat playing with toys. Grace was
18 diagnosed with Krabbe disease and couldn't hold
19 her head up. At six months, Madison was learning
20 to roll over. Grace was getting a feeding tube
21 and smiled for the last time.

22 Around nine or ten months, Madison

1 was sitting on her own, playing peek-a-boo and
2 patty-cake. Grace had lost all milestones and had
3 no voluntary movements. At 11 months, Madison was
4 saying Mama and Da-da, waving bye-bye, blowing
5 kisses, eating Cheerios for the first time. Grace
6 was requiring oxygen and suctioning around the
7 clock.

8 Around one year old, Madison was
9 crawling, doing the motions for Itsy-Bitsy Spider
10 and stacking blocks. Grace was beginning to have
11 seizures. At two years of age, Madison was
12 walking, taking swimming lessons, climbing a
13 ladder to slide, and counting to 10. Grace was
14 lying in a vegetative state.

15 At three, Madison began taking
16 gymnastics, attending preschool, reciting the
17 Pledge of Allegiance, and reading books while
18 Grace was requiring 24/7 medical care. At four
19 years old, Madison began her second year of
20 preschool, swimming on a local swim team, and
21 riding a tricycle. Grace was no longer with us.

22 At five, Madison was in kindergarten,

1 was still swimming, or was still active in
2 swimming and gymnastics, but Grace was no longer
3 with us. Madison successfully completed first
4 grade and began dance lessons. Grace was no
5 longer with us.

6 Madison has continued to grow and
7 develop like all of her friends. She's excelled
8 academically and participated in school dances,
9 field trips, and clubs. In high school, she
10 received student of the month several times and
11 was inducted into the National Honor Society,
12 enjoying times honorary. She was enrolled in
13 honors classes and dual-credit college courses.

14 Madison got her driver's license at
15 17 and her first job at a retail clothing store.
16 Madison graduated with honors in 2022 and is now
17 in college majoring in criminal justice and
18 minoring in forensics. She finished her first
19 semester and was on the dean's list. Needless to
20 say, we are beyond proud of her.

21 We also have so much to look forward
22 to with Madison as she continues through her life.

1 If Madison could accomplish all this because she
2 was given the opportunity for a test when she was
3 born, what could Grace have accomplished if she
4 received the same test? What amazing things could
5 I be sharing about her today?

6 As happy as we are to celebrate all
7 the accomplishments and achievements of Madison,
8 we are just as saddened by not having Grace with
9 us here to share whatever her life would have
10 brought had she also been tested at birth.

11 So, today I'm asking that you please
12 add Krabbe to the Recommended Uniform Screening
13 Panel so that U.S. babies no longer suffer the
14 tragic outcome of Grace, but have that opportunity
15 to live and excel the way Madison has in her life.

16 Thank you.

17 NED CALONGE: Thank you, Wendy, so
18 much for sharing.

19 Next, Lana Grujicic.

20 (Pause)

21 NED CALONGE: Lana, I see your name,
22 and it appears as if you're muted.

1 Thank you. Yes.

2 LANA GRUJICIC: Good morning. Oh,
3 gosh, I'm going to try to keep it together. I'm
4 sorry.

5 (Pause)

6 LANA GRUJICIC: My name is Lana
7 Grujucic, and this is my son Nikola.

8 (Pause)

9 LANA GRUJICIC: And Nikola was
10 diagnosed with Krabbe disease when he was six
11 months old. He is going to be turning five in
12 March.

13 Nikola's symptoms started when he was
14 six months old, but they were not very severe.
15 But they've already progressed past the point of
16 any type of stem cell transplant.

17 To be honest with you, when I was
18 asked to speak today, my first thought was no, I
19 can't do this. The timing couldn't have been
20 worse. My husband and I just spent a whole week
21 taking care of Nikola.

22 While he cried from nerve pain and

1 breathing difficulties, not sleeping for a week,
2 and having to give our young child rescue breaths
3 to keep him alive, the last thing we want to do is
4 tell a bunch of strangers our story at the risk of
5 them brushing us off.

6 But here I am because it is
7 important. And I would do anything to keep
8 another child from suffering from this cruel
9 disease.

10 At first Nikola seemed like a normal
11 baby boy. He met all his milestones, and he was
12 really happy. But when he was diagnosed, the
13 doctor told us there were no treatment options,
14 that Nikola had two years to live, so go home and
15 take lots of pictures. He also said that our
16 children -- we were not to plan to have any
17 children because there was a high risk that any
18 other child would have the same disease.

19 That morning we walked into that
20 office with the hope that our child was dealing
21 with something like cholic. But we walked out
22 with a death sentence. We were in shock. And we

1 were not willing to accept that fate for our son.
2 We found an expert who helped us manage Nikola's
3 pain with the right medication, told us what to
4 expect going forward, gave us information about
5 all of the equipment we would need to help Nikola
6 have the best quality of life possible.

7 Today Nikola gets 13 medications
8 daily. He takes no food by mouth. He has not
9 smiled or laughed in four years. He's losing his
10 sight. He does not move. He requires breathing
11 treatment, chest therapy machines, and round-the-
12 clock oxygen. And he needs collective suctioning
13 to manage his secretions, as I'm sure he's going
14 to get right now.

15 Because Nikola cannot move on his
16 own, he depends on a wheelchair, a stander,
17 activity chair and a bath chair. It's normally a
18 two-person job. On average, children like Nikola
19 have over half-a-million dollars in medical costs
20 each year. That's just for one child for one
21 year.

22 Before learning about Krabbe, I

1 thought the worst diagnosis you could get was
2 cancer. And boy, was I wrong. Even someone with
3 a cancer diagnosis has a chance of surviving with
4 chemo and radiation.

5 As doctors, would you deny your
6 patients chemotherapy? Not every cancer patient
7 has the best possible outcomes. But they're still
8 given a chance to fight and live. We want that
9 same chance for our babies.

10 Krabbe without early diagnosis has no
11 chance of survival. Newborn screening gives our
12 kids a chance at treatment and a chance to live,
13 like Marshall. Yes, Krabbe is rare, but it should
14 not be ignored. Our kids should not be
15 disregarded like they do not matter.

16 I really hope you consider all the
17 facts and the testimonies and make the right
18 decision for our nation's children. A vote
19 against Krabbe means more children would suffer
20 and die. And you have the power today to make the
21 chance at life.

22 Thank you for your time.

1 NED CALONGE: Thank you, Lana.

2 Next I'd like to welcome Karlita
3 Blackwell.

4 KARLITA BLACKWELL: Good morning.

5 Thank you for allowing all of us to speak, and
6 thank you, Lana. That was very powerful.

7 My name is Karlita Blackwell. And in
8 October of 2016, my husband and I became parents
9 to a perfect baby boy named Ezra. After just two
10 weeks at home navigating the task of becoming new
11 parents, we received our son's newborn screening
12 results confirming the diagnosis of Krabbe
13 leukodystrophy.

14 To say this left us incomprehensively
15 devastated is an understatement. In an instant
16 our hopes and dreams for our son's life felt like
17 they were stripped by a diagnosis we had never
18 even heard of. However, with infinite gratitude,
19 I am here today to say that that is not at all
20 what happened.

21 Because Krabbe was on the newborn
22 screening panel in Missouri, Ezra was able to have

1 a lifesaving treatment in the form of a stem cell
2 transplant at Duke University. Today Ezra is six
3 years old. And everything we hoped and prayed for
4 his life prior to his diagnosis has come to
5 fruition in one capacity or another, the same
6 hopes that all parents have for their children.

7 To get an education. Ezra has been
8 thriving in kindergarten, and just this month he
9 was awarded Super Student of the Week and Employee
10 of the Month for his excellent communication
11 skills, respectfulness, and interpersonal skills.

12 To have meaningful relationships.
13 The first thing people typically notice about Ezra
14 is his smile. He thrives in social situations.
15 And the way that he connects with his peers has
16 been the most magical thing for us to watch.

17 To gain independence. We are so
18 grateful to live in a time where there is a way to
19 modify almost anything if there isn't already a
20 piece of equipment for it. Ezra loves riding his
21 bike. He loves to help us cook. He is constantly
22 learning. He's kind to those around him. And he

1 voices his wants, needs, and emotions.

2 To feel loved, happy, and safe. The
3 ripples Ezra has created go beyond our friends and
4 family. The number of times that he's been
5 described as "sunshine" is more than I can count.
6 Ezra lives a full and joyful life. Our family
7 will never take for granted the fact that without
8 newborn screening, Ezra's life would look very
9 differently.

10 We are reminded each time when
11 another unscreened child in our Krabbe community
12 passes away far too soon and their parents are
13 left coping with the fact that this could have
14 been prevented through newborn screening for
15 Krabbe.

16 Thank you for your time.

17 NED CALONGE: Thanks, Karlita.

18 Next I'd like to welcome Jim Kelly.

19 JILL KELLY: Good morning. Well, I
20 wish I was Jim Kelly. But I don't throw a
21 football. So, he'll have to deal with his wife
22 instead.

1 NED CALONGE: I'm sorry, Jill.

2 JILL KELLY: That's okay. That's
3 okay.

4 Good morning, and thank you for
5 allowing me to share this morning. And I also
6 just want to thank everyone that has shared so
7 far. These are amazing people, amazing stories.
8 And I am blessed to know each one of these people
9 who shared. So,.

10 My name is Jill Kelly, not Jim Kelly.
11 And I'm the co-founder of the Hunter's Hope
12 Foundation and the wife of Hall of Fame
13 quarterback Jim Kelly and the mother of Erin,
14 Cameron, and Hunter.

15 When I found out that our second
16 child would be a son, I was filled with joy. We
17 already had a healthy two-year-old daughter, and
18 now we would have the son that Jim always wanted.
19 He comes from a family of six boys, so he wanted a
20 boy. This son would follow in his daddy's
21 footsteps. The son who would do with his dad what
22 fathers and sons do, and of course that would

1 include football.

2 Hunter was born on Jim's birthday,
3 Valentine's Day, February 14th, 1997. As a
4 newborn, Hunter had a perfect Apgar test and
5 passed all the newborn screening tests in New York
6 State at the time. When we took him home from the
7 hospital, we assumed we were bringing home a
8 beautiful, healthy baby boy.

9 But early on in Hunter's life it was
10 clear that something was wrong. We had a nephew
11 born 10 days before Hunter who was reaching all
12 the milestones, smiling, holding his head up, and
13 thriving. Hunter wasn't. Hunter was very
14 irritable, crying most of the hours that he was
15 awake. We thought it was colic because his
16 sister Erin had colic. It wasn't colic.

17 Eventually, it took me over an hour
18 to feed Hunter an ounce of formula. So, we tried
19 changing the formulas because we thought it was
20 maybe the problem. Maybe it was the formula. It
21 wasn't the formula. Hunter was unable to eat
22 because he couldn't swallow.

1 As Hunter's symptoms continue to get
2 worse, we continued to seek help from doctors.
3 Unfortunately, our search for help and answers
4 only led to more pain, tears, and frustration.
5 Hunter was misdiagnosed numerous times.

6 And it wasn't until some bloodwork
7 was done by a neurologist that led to an answer.
8 An answer we never expected, an answer that is a
9 parent's worst nightmare and greatest fear. An
10 answer that was a diagnosis that devastated our
11 family and changed our lives forever.

12 On a beautiful sunny day in June,
13 when Hunter was four months old, we were told that
14 he had Krabbe disease. We were told there was no
15 treatment and there was no cure, and that we
16 should prepare for him to die before his second
17 birthday.

18 Miraculously, Hunter lived to be
19 eight-and-a-half years old. And although every
20 day was a battle that included seizures,
21 suctioning, broken bones, excruciating nerve pain,
22 pneumonia numerous times, many trips to the ER and

1 ICU hospital stays, medications too many to count,
2 interventions like physical and occupational
3 therapy and respiratory therapy, 24-hour oxygen,
4 and much more.

5 Despite all of this, Hunter wanted to
6 live. And we did everything possible that we
7 could do so that he could live. Hunter was and
8 still is the most amazing person I have ever met.
9 The impact his life has had on mine is beyond
10 measure. And the loss and grief is oftentimes
11 unbearable.

12 Hunter changed my life. And
13 hopefully his story and all the stories that
14 you've already heard will change yours and the
15 lives of every child born in our country from this
16 day forward.

17 Today I urge you to remember children
18 like Hunter who deserve a chance to live. Since
19 2009 when this Committee voted against having
20 Krabbe, adding Krabbe to the RUSP, 136 children
21 that we know of were born in the United States and
22 like Hunter diagnosed too late to receive

1 treatment. One-hundred and thirty-six children
2 died. One-hundred and thirty-six families were
3 devastated like ours.

4 Those children should be here. Those
5 children should have been screened at birth for
6 Krabbe so they could get a chance to live.

7 I've had the privilege of getting to
8 know several children who were identified through
9 their states' newborn screening who received a
10 transplant for Krabbe. These children, like
11 Michael, are independent, playing, speaking,
12 laughing, attending school, thriving in so many
13 ways. They're living.

14 For children with Krabbe who aren't
15 screened at birth, the outcome is always fatal.
16 Without Krabbe's inclusion on the RUSP and on
17 every state's newborn screening panel, children
18 will continue to go undiagnosed, and they will die
19 just like Hunter.

20 The question we are faced with today
21 is, Do children with Krabbe disease deserve a
22 chance to live? And the answer is yes. They

1 deserve to live. Hunter deserved to live.

2 Thank you all so much for your time,
3 and God bless all of you.

4 NED CALONGE: Thank you, Jill.

5 Next we would like to hear from
6 Joanne Kurtsberg.

7 JOANNE KURTSBERG: Hello, everyone.

8 And thank you for the opportunity for me to
9 testify in favor of adding Krabbe disease to the
10 RUSP.

11 My name is Joanne Kurtsberg, and I'm
12 the pediatric transplant physician who pioneered
13 the use of hematopoietic stem cell transplantation
14 using unrelated cord blood donors for treatment of
15 pediatric patients with Krabbe disease.

16 Over the past 24 years at Duke
17 Health, my team and I have transplanted nearly 400
18 infants and children with leukodystrophies
19 including 60 with Krabbe disease. In the mid-
20 1990s when we first started transplanting patients
21 with Krabbe disease, most of the babies whom we
22 treated were three to ten months of age with

1 multiple clinical manifestations of the disease.

2 In those days, the parents of these
3 babies had suffered through months of diagnostic
4 odysseys which began with reassurance. And after
5 it became clear that their baby just didn't have
6 cholic or reflux, multiple referrals, until MRI
7 was obtained showing white-matter disease and
8 testing for leukodystrophies revealed Krabbe
9 disease.

10 By the time we evaluated them, these
11 babies were spastic, severely developmentally
12 delayed, and failing to thrive because of
13 inability to take sufficient nutrition by mouth.
14 Some also had lost vision and developed seizures.
15 And to me the saddest symptom was that the mothers
16 of these babies couldn't comfort them due to the
17 extreme irritability caused by the disease.

18 During the first years using
19 transplantation, we treated these symptomatic
20 babies hoping that the procedure, which provides
21 enzyme replacement through engraftment of donor
22 cells in the blood, bone marrow, and brain, would

1 reverse some of the existing brain damage and help
2 these babies recover lost developmental
3 milestones.

4 Sadly, we learned that this was not
5 the case and that the transplant did not help
6 babies who already had progressive clinical
7 symptoms. However, we also transplanted 11
8 babies, including Madison, who Wendy Tierney told
9 you about, in the first three to six weeks of life
10 who were diagnosed in utero or at birth because of
11 the family history of Krabbe disease.

12 These pre-symptomatic babies, who
13 could be compared to the fate of their untreated
14 siblings, dramatically benefited from transplant,
15 which prolonged their lives by decades and
16 improved their neurologic function and quality of
17 life.

18 Since these early days, we've
19 restricted transplant for use in babies with the
20 infantile disease who could be treated in the
21 first month or so of life, or for older children
22 with later onset disease and minimal

1 symptomatology.

2 While transplant is not a cure, it is
3 highly effective. And it's a treatment that
4 transforms the lives of babies and children with
5 infantile Krabbe disease and the lives of their
6 families, as you've heard today.

7 Most parents who carry mutation for
8 Krabbe disease have no idea that this is the case
9 and only learn that they're carriers when the
10 diagnosis is made in their sick baby. Newborn
11 screening is the only way to identify these babies
12 at a time when treatment can make a difference.

13 While this is true for transplant
14 today, it will also be true for gene therapy and
15 other innovative therapies that are in the early
16 clinical trials and are expected to be available
17 in the next several years.

18 I've heard that there's concern that
19 state screening for Krabbe disease will struggle
20 to be able to diagnose it and refer babies for
21 treatment within the first few weeks of life. But
22 this is not the case.

1 There are also questions about
2 whether babies need to be referred out of state
3 for optimal treatment. There are over 100
4 pediatric transplant programs in tertiary care
5 medical centers throughout the United States.
6 These programs have the expertise needed to
7 transplant infants with a variety of life-
8 threatening conditions.

9 We've shown in the last nine babies
10 diagnosed through newborn screening over the past
11 seven years that referrals can be accomplished
12 quickly and that outcomes are equivalent whether
13 the baby is transplanted in an in-state transplant
14 center or in a referral center like Duke.

15 Whether the babies in these last
16 seven years were transplanted in-state in four,
17 including twin siblings who were referred to Duke,
18 all nine babies are surviving durable and grafted,
19 off all transplant medications, free of graft I
20 versus host disease and gaining developmental
21 milestones.

22 In contrast, every few weeks I hear

1 from a family of a symptomatic baby desperate for
2 treatment when it's already too late to help that
3 baby.

4 Yes, it will be necessary for states
5 performing newborn screening for Krabbe disease to
6 rapidly refer a baby with infantile Krabbe
7 disease. But this is entirely possible with
8 advanced planning and referral to a collaborating
9 pediatric transplant program whether in or out of
10 state.

11 I've also heard that some have
12 questioned whether the newborns transplanted with
13 Krabbe really have Krabbe disease. I can assure
14 you that all of the babies transplanted as
15 newborns had evidence of Krabbe disease in
16 neurophysiologic and neural imaging studies.

17 Newborns with infantile Krabbe
18 disease referred for transplant may look
19 clinically normal, but they already have the
20 evidence of disease on these diagnostic studies,
21 and that disease will progress without treatment.

22 For these reasons, and as a physician

1 and a person who has directly witnessed the human
2 suffering caused by Krabbe disease and the
3 benefits of cord blood transplant in pre-
4 symptomatic babies, I strongly encourage the
5 Advisory Committee on Heritable Disorders in
6 Newborns and Children to vote in favor of
7 recommending that Krabbe disease be added to the
8 Recommended Uniform Screening Panel.

9 Thank you.

10 NED CALONGE: Thank you, Joanne.

11 Next, Maria Escolar.

12 (Pause)

13 NED CALONGE: Maria, I see your name
14 and it looks like you are muted.

15 MARIA ESCOLAR: We would like to
16 thank the Advisory Committee on Heritable
17 Disorders in Newborns and Children for providing
18 Forge Biologics, and me in particular, the time to
19 voice our support of the adoption of Krabbe
20 disease to the Recommended Uniform Screening
21 Panel.

22 My name is Maria Escolar. I'm a

1 neuro-developmental pediatrician, and I have
2 dedicated my last 20 years of work to treating
3 patients with Krabbe. The last two years I have
4 been Chief Medical Officer of Forge Biologics, a
5 biotech company advancing gene therapy for Krabbe
6 disease.

7 As a clinician, I provided care for
8 more than 190 babies from 49 states and other
9 countries with infantile and late-infantile Krabbe
10 disease, which is about half of all the patients
11 diagnosed in this time period. Most babies were
12 unfortunately diagnosed after symptoms.

13 I also took care of those who were
14 diagnosed before symptoms because of newborn
15 screening or previously affected siblings, such as
16 those we have heard today, and those were treated
17 with transplantation, which is now the standard of
18 care.

19 This experience led to the largest
20 prospectively designed published study of the
21 progression of this disease and also the long-term
22 transplant outcomes in infantile and late-

1 infantile Krabbe onset. I know of which you heard
2 today, and I collaborated in many of these studies
3 with Dr. Kurtzberg.

4 I would like to highlight that not
5 only the asymptomatic infantile onset patients are
6 benefiting remarkably after transplant, but also
7 the asymptomatic late-infantile onset patients
8 results are even better, with completely normal
9 developmental outcomes.

10 At Forge, we have recently presented
11 data from the first two infantile Krabbe patients
12 treated with our gene therapy, FBX-101. They were
13 identified through newborn screening. The gene
14 therapy is administered intravenously after
15 hematopoietic stem cell transplant, and it was
16 safe and well tolerated.

17 Increasing significantly the division
18 in single galactocerebrosidase. It decreased
19 psychosine. It resulted in normal brain growth,
20 no demyelination, and improved motor development
21 above the range of that achieved with only
22 transplant.

1 Gene therapy for infantile Krabbe has
2 the potential to normalize outcomes when babies
3 are treated early, following transplant. If the
4 infantile Krabbe population is left without
5 accessing newborn screening, this will undoubtedly
6 slow the progression of not just our product, but
7 any other new treatment. Since no matter what new
8 therapies used, by the time the patient develops
9 identifiable symptoms, there is irreversible
10 damage to the brain. And this is because the
11 brain myelinates so quickly in the first months of
12 life.

13 The clinical trial evaluating FBX-101
14 is currently active and enrolling patients in the
15 U.S. The potential impact of this program has
16 been recognized through designation as a priority
17 medicine by the European Medicine Agency and fast-
18 tracked designation by the U.S. Food and Drug
19 Administration, along with recognition as an
20 orphan drug by both agencies.

21 These designations are awarded only
22 to those programs that have demonstrated evidence

1 that a treatment has the potential to result in
2 clinically meaningful outcomes in patients with a
3 seriously debilitating or life-threatening
4 condition when there are no other treatment
5 options.

6 Similar to FBX-101, so genes now, is
7 a gene therapy that was in clinical trials while
8 spinal muscular atrophy was up for adoption to the
9 RUSP back in 2018. Like Krabbe disease, SMA is a
10 severe disease with less than 20 percent survival
11 beyond two years. Where there is a drug,
12 Spinraza, that's most progression of the disease
13 and where early treatment is necessary to achieve
14 good outcomes.

15 With SMA patients needing more
16 readily able to be diagnosed by newborn screening,
17 it was within a really short time that Zolgensma
18 was approved by the FDA and found to be a
19 transformative medicine. It is based on all this
20 evidence already proven that transplant can
21 prolong life and good outcomes of Krabbe disease
22 when treated early.

1 Also, the promising evidence of
2 efficacy that FBX-101 offers. The similarities to
3 SMA, the significant impact newborn screening has
4 on the ability to continue to develop new therapy
5 for Krabbe disease that I urge the Committee to
6 adopt Krabbe disease to the RUSP.

7 Thank you for your time.

8 NED CALONGE: Thank you, Maria.

9 And next we have Dietrich Matern.

10 DIETRICH MATERN: Thank you, Dr.
11 Calonge, for giving me the opportunity to return
12 to the Committee as a private person and speak in
13 strong support of the nomination of Krabbe disease
14 to the Recommended Uniform Screening Panel.

15 As you know, New York State began
16 screening for Krabbe disease already in 2016 with
17 a procedure that was highly sensitive, but not
18 very specific. As I said previously, especially
19 for a devastating disease like Krabbe disease, I
20 firmly believe that the false positive rate must
21 be kept as low as possible so as not to scare
22 unnecessarily young families for even only a few

1 days or weeks.

2 We learned a lot from the New York
3 experience. For one, screening for Krabbe disease
4 cannot rely on the measurement of GALC activity
5 alone.

6 And second, molecular genetic
7 analysis of the GALC seen as a second kit test
8 improves specificity but not sufficiently. That
9 is because of the more than 1,000 GALC variants
10 that have been reported to date, only a third we
11 know whether they cause disease or not.

12 Therefore, most babies with reduced
13 GALC activity, though, have a GALC genotype that
14 includes at least one variant for which their
15 effect is not fully predictable.

16 Luckily, however, Dr. Orsini from the
17 New York screening lab was first to show that
18 psychosine can be measured in dried blood spots and
19 is elevated in babies affected with Krabbe
20 disease. Psychosine is a toxic byproduct
21 generated when galactocerebrosidase activity is
22 deficient.

1 Given this knowledge, at the Mayo
2 Clinic we made psychosine analysis an integral
3 part of our screening approach for Krabbe disease.
4 When the Kentucky newborn feeding program asked us
5 whether we could help them fulfill a new
6 legislative requirement to provide screening for
7 Krabbe disease, we employed the strategy.

8 Next Wednesday, we will conclude the
9 seventh year of Krabbe screening of babies born in
10 Kentucky, 700 miles away. Also included in our
11 newborn screen of Kentucky babies are currently
12 Pompe disease, MPS I, and ALD.

13 Among the almost 380,000 Kentucky
14 babies screened, we identified two babies with low
15 GALC activity and elevated psychosine. Both
16 babies were then diagnosed with infant type Krabbe
17 disease and received bone marrow transplants. So,
18 far, we have zero false positive cases -- zero.

19 The first Kentucky baby with Krabbe
20 disease was transplanted on the 24th day of life.
21 The second baby was born three days before an
22 extended holiday weekend, but results were still

1 reported on the fourth day of life, and the
2 transplant occurred on the 30th day of life.

3 Not only do I want to emphasize
4 timeliness of the screening process that includes
5 shipping samples from the birthplace through the
6 Kentucky Department of Health and then on to Mayo
7 Clinic, but also of the speedy path to transplant,
8 especially because both babies were transplanted
9 out of state, one at the University of North
10 Carolina and the other at Pittsburgh Children's
11 Hospital. Both patients are alive and doing well
12 at six and one year old.

13 Clearly, we can already say the
14 transplant was lifesaving for both patients, given
15 what we know about the natural history of infant
16 type Krabbe disease. Please also know that
17 neither patient had a genotype of certain
18 significance, meaning there was one known
19 pathogenic variant in combination with a variant
20 of uncertain significance in the first case, and
21 with only a likely pathogenic variant in the
22 other.

1 Accordingly, I am certain that these
2 babies would not have received the diagnoses and
3 transplants as quickly as they did if psychosine
4 had not been part of the screening process.

5 A good amount of misunderstanding, if
6 not misrepresentation, is associated with
7 discussions of newborn screening for Krabbe
8 disease. The cause appears to be an assumption
9 that nothing has changed in the last 17 years of
10 screening for Krabbe disease.

11 While it is true that the screening
12 approach as recommended in the nomination you will
13 be voting on today is not yet fully employed in
14 all 10 states screening for Krabbe disease, it is
15 also true that significant progress has been made.
16 Except for Ohio and New Jersey, the other eight
17 states now include psychosine in their screening
18 approach.

19 Additional states, like Minnesota and
20 South Carolina, which are currently reviewing the
21 addition of Krabbe disease to their screening
22 panels, are very likely going to follow the

1 screening strategy as nominated.

2 Thirty percent of U.S. newborns are
3 already doing screening for Krabbe disease. Since
4 Krabbe was renominated in July of 2021, our
5 laboratory alone diagnosed 12 patients with Krabbe
6 disease who were born between March 2019 and April
7 2022 in states not yet screening for Krabbe.

8 They were already six months old at
9 diagnosis, and all of them had progressed too far
10 to be considered for transplant. Therefore,
11 suffering through the pain and agony of the
12 relentless brutal disease process until they
13 passed away or they will pass away.

14 But it doesn't have to be this way.
15 And in 2023, it shouldn't be that way any longer.
16 As Dr. Kemper will tell you, the evidence shows
17 that

18 (a) the nominated screening procedure
19 for Krabbe disease is sufficient and effective,
20 and states already screening for Pompe disease or
21 MPS I can easily get Krabbe disease through their
22 panels;

1 (b) relevant follow up in monitoring
2 guidelines have been published in peer-reviewed
3 articles, and as ACMG ACT sheets and follow-up
4 algorithms; and

5 (c) experts in the field working with
6 patient advocacy groups stand ready to facilitate
7 timely and appropriate triaging of screened-
8 positive newborns at any time necessary.

9 In conclusion, I beseech you to vote
10 for the addition of Krabbe disease to the RUSP as
11 described in the nomination package. Newborn
12 screening for Krabbe disease is currently the only
13 and equitable means to minimize the suffering
14 caused by this devastating and truly horrific
15 disease.

16 Thank you again for giving me the
17 opportunity to speak to you, and I'm happy to
18 answer any questions you may have.

19 NED CALONGE: Thank you, Dietrich.

20 I want to again pause and thank
21 everyone who has come to the meeting today to
22 provide public comments. I especially want to

1 acknowledge and thank those parents who shared
2 very personal and emotional stories about their
3 children. This is an important part of federal
4 advisory committees and an important part of the
5 deliberations and considerations by the Committee.

6 And I know I'm speaking for every
7 Committee member when I let you know of our
8 appreciation for your time today.

9 It is time then to turn to evidence
10 review for Krabbe just to provide some background.

11 **NEWBORN SCREENING FOR KRABBE DISEASE:**

12 **A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 1)**

13 NED CALONGE: In July of 2021, the
14 Committee received a nomination for Krabbe disease
15 to be included on the RUSP. In the May 2022
16 meeting, the Committee voted to move Krabbe
17 disease forward to the Evidence Review Group for a
18 full evidence review.

19 At the August 2022 meeting, the
20 Committee received the phase 1 update on the
21 evidence review, and in November 2022 the
22 Committee received the phase 2 update. Today the

1 Committee will receive the systematic review of
2 evidence for Krabbe disease from Dr. Alex Kemper,
3 Lead for the Evidence-Based Review Group.

4 After lunch, Dr. Kemper will be
5 joined by an ERG member, Dr. Lisa Prosser, to
6 continue the presentation on the evidence that
7 includes review of a public health assessment
8 survey.

9 By way of introduction, Dr. Kemper is
10 the Division Chief of Primary Care of Pediatrics
11 at the Nationwide Children's Hospital, and
12 Professor of Pediatrics at the Ohio State
13 University College of Medicine. Dr. Kemper's
14 research focuses on the delivery of preventive
15 care services, including newborn screening. Since
16 2013, Dr. Kemper has also served as the Deputy
17 Editor of Pediatrics.

18 At this point, Dr. Kemper, I would
19 like to turn the meeting over to you.

20 ALEX KEMPER: Thank you very much,
21 Dr. Calonge. And before I begin with the evidence
22 review, I too would like to thank those who came

1 forward during the public comment period. I think
2 what they did was very helpful to have that
3 context as we talk about the evidence. And there
4 is no question that Krabbe disease is truly
5 devastating and a terrible condition.

6 Next slide, please.

7 (Slide)

8 ALEX KEMPER: So, I do want to
9 acknowledge the partners that I have on the
10 Evidence Review Group, including those who are
11 with me here at Nationwide Children's; those with
12 the Association for Public Health Laboratories to
13 help us assess what's going within newborn
14 screening programs; K.K. Lam, my larger partner at
15 Duke University; Lisa Prosser and her team at the
16 University of Michigan -- we will be talking
17 later; Scott Grosse with the CDC, as well as Anne
18 Comeau and Susan Tanksley, who provided their
19 laboratory expertise to this work; and then
20 finally, you will hear this afternoon from Dr.
21 Jennifer Kwon and Dr. Shawn McCandless as they
22 present the summary of this material and begin the

1 process of deliberation.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: I'm also very grateful
5 for the technical expert panel members that are
6 listed here who provide a feedback during the
7 course of their review and having an opportunity
8 to review an earlier draft, which again helped us
9 to improve the clarity of our message. Again, we
10 couldn't do this work without that input survey
11 very well.

12 Next slide, please.

13 (Slide)

14 ALEX KEMPER: So, over the next
15 little bit I'm going to summarize information
16 about the natural history of Krabbe disease. I'm
17 going to talk about screening. I'm going to be
18 talking about issues of outcomes, comparing
19 earlier identification to LIM, individuals with
20 Krabbe marked disease might become diagnosed
21 through the usual clinical care.

22 We're going to talk about what we

1 know from the newborn screening programs in terms
2 of their ability to adopt and influence Krabbe
3 disease newborn screening. And we'll be talking
4 about what might happen if all 3.65 million
5 infants born in this country each year was
6 screened for Krabbe disease.

7 This presentation is really going to
8 try to draw out the key, important points from the
9 full review. But the full review, which is in the
10 briefing book that you have, really includes
11 additional information, including the methods that
12 allowed us to develop this review.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: So, as you've already
16 heard this morning, Krabbe disease is an autosomal
17 recessive lysosomal disorder.

18 Next slide, please.

19 (Slide)

20 ALEX KEMPER: The causative issue is
21 low functional levels of galactosylceramidase,
22 which I'm going to be referring to as GALC over

1 the course of this presentation. GALC degrades
2 galacto lipids including psychosine. And
3 individuals with Krabbe disease have depth of
4 myelin-producing oligodendrocytes and Tron cells.

5 And there's also accumulation of
6 these globoid cells, which accumulate around the
7 areas of active demyelination and is one of the
8 pathologic hallmarks of Krabbe disease.

9 Next slide, please.

10 (Slide)

11 ALEX KEMPER: The clinical findings
12 associated with Krabbe disease are due to the
13 white matter damaged in the central nervous
14 system, as well as peripheral nerve demyelination.

15 Next slide.

16 (Slide)

17 ALEX KEMPER: Now I want to provide
18 additional information about the natural history.
19 So, Krabbe disease really presents across the
20 spectrum of ages and can be associated with a
21 broad range of onset --

22 Please advance.

1 (Slide)

2 ALEX KEMPER: And one more time.

3 (Slide)

4 ALEX KEMPER: So, untreated, most
5 individuals with Krabbe disease will develop signs
6 and symptoms by 36 months of age. And the earlier
7 that signs and symptoms develop, the worse the
8 prognosis is. The earlier developments in signs
9 and symptoms is associated with more severe
10 illness and more rapid progression of the disease.

11 Next slide, please.

12 (Slide)

13 ALEX KEMPER: The typical presenting
14 signs, and you heard a little bit about this
15 earlier this morning, are feeding problems and
16 then irritability, which becomes more and more
17 prominent.

18 Next slide.

19 (Slide)

20 ALEX KEMPER: And then without
21 treatment, Krabbe disease is associated with death
22 in early childhood.

1 Next slide.

2 (Slide)

3 ALEX KEMPER: This is a summary of a
4 systematic review of case reports and case series
5 from 1982 through 2017. And I just want to walk
6 through the different ages of presentation and the
7 expected outcome in the absence of treatment with
8 stem cell therapy.

9 So, first you'll see early infantile,
10 which in these reports is up to six months of age.
11 The age of onset is when symptoms became apparent,
12 which is four months with this range. Here three
13 to five months, these infants are associated with
14 median survival of one-and-a-half years.

15 This report describes late infantile
16 phenotype between seven and thirty-six months.
17 Those individuals came to diagnostic attention
18 around 14 months of age with a range of 10 to 24
19 months and had a median survival of nine-and-a-
20 half years.

21 There's also a juvenile and
22 adolescent, or adult, onset form that you can see

1 is associated with further increasing age of onset
2 and a much greater likelihood of survival.

3 Our focus for this morning is going
4 to be on what's listed here as the early infantile
5 and late infantile phenotypes. That is, children
6 affected with Krabbe disease with significant
7 involvement by 36 months of life.

8 Over time the terminology has changed
9 a little bit in terms of what's considered early
10 infantile and what's considered late infantile.
11 I'm going to try to be specific around ages when I
12 talk about it. But you can really think of early
13 infantile for the purposes we talk as those
14 between zero and six months, and the late
15 infantile as those after six months.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: So, the case definition
19 -- that is, what we're looking for with newborn
20 screening as nominated, is Krabbe disease with
21 expected onset with signs and symptoms by 36
22 months of age. It's associated with low GALC

1 enzyme activity and elevated psychosine
2 concentration. We'll be talking more about
3 psychosine in greater detail.

4 But there's also one specific
5 deletion that is 30 kilobase, or 30-Kb deletion
6 that is uniformly associated with significant
7 Krabbe disease. Or there can be other pathogenic
8 variances. Dr. Matern talked about the gene
9 findings can be supportive, but not necessarily
10 diagnostic.

11 And then the diagnosis also depends
12 on findings from neurophysiologic studies and/or
13 neurologic imaging tests I all sort of put
14 together. I'm going to drill into these issues in
15 greater detail in a little bit.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: The *GALC* gene is
19 located on chromosome 14. It's about 60
20 kilobases, or 60-Kb, long with 17 exons. As I
21 just mentioned, the most common variant is
22 associated with significant pathology is the 30-

1 kilobase deletion that has an allele frequency of
2 about one in twenty-seven hundred. And depending
3 upon the study that you look at, it's associated
4 with between about 25 percent and about 65 percent
5 of cases of Krabbe disease.

6 I've listed here figure for databases
7 the genome aggregation database, which has one in
8 fourteen-hundred *GALC* gene variants reported. And
9 you can see that 62 of them have been
10 characterized as pathogenic or largely pathogenic,
11 and another 179, which are benign, were likely
12 benign.

13 I've also listed here ClinVar. You
14 can think of ClinVar as your curated database that
15 variants can be submitted to. That has fewer *GALC*
16 variants reported, 964 of which 207 are described
17 as likely pathogenic or pathogenic, and 340 that
18 are considered benign or likely benign.

19 But the key message just for this
20 particular slide that I think is worth remembering
21 is that it's the 30-kilobase deletion that
22 accounts for many of the cases of Krabbe disease

1 and is associated with newly significant disease
2 and that there are many, many variants of the *GALC*
3 gene, not all of which have been fully
4 categorized.

5 Next slide, please.

6 (Slide)

7 ALEX KEMPER: How common is Krabbe
8 disease? Well, I'm listing here some studies of
9 the birth prevalence of Krabbe disease based on
10 clinically identified cases. So, there's one
11 recent study from Finland that estimates about 1.1
12 cases of Krabbe disease per 100,000. Another
13 report from Sweden which reports a higher birth
14 prevalence, 2.6 per 100,000.

15 In contrast to that, I've also listed
16 two studies that report a much lower prevalence,
17 one from the United Kingdom, which estimates the
18 number of cases at 0.5 per 100,000; and a report
19 of pediatric hospitalizations, they reported 0.3
20 per 100,000.

21 I think it's safe to say that the
22 study of hospitalizations, the one that's listed

1 0.3 per 100,000 likely really underestimates the
2 number of cases, because it depends on case
3 identification and proper coding. And the
4 denominator leading to those hospitalizations is
5 less clear as well.

6 Next slide.

7 (Slide)

8 ALEX KEMPER: There's also another
9 report that recently estimated the prevalence to
10 be as high as 8.3 per 100,000 live births. That
11 was based on working with potential pathogenic
12 variants, including those that are associated with
13 adult-onset disease, not really the focus of this
14 report today.

15 I think as a number to carry with you
16 as we talk about it, it's probably really on the
17 order of about 1.1 per 100,000 live births.

18 Next slide, please.

19 (Slide)

20 ALEX KEMPER: With that, I'm going to
21 transition and talk about issues with screening
22 and diagnosis.

1 Next slide, please.

2 (Slide)

3 ALEX KEMPER: So, Krabbe disease
4 newborn screening is fundamentally based on
5 identifying low GALC enzyme activity on dried
6 blood spots. So, all programs that screen for
7 Krabbe disease use low GALC enzyme activity as
8 their first tier.

9 That could be done using tandem
10 aspect, which is the case for most of the
11 programs, or through a fluorometry process, which
12 only one state is using. MS/MS, or tandem aspect,
13 can also be multiplexed with other lysosomal
14 storage disorders. When you're multiplexing, it
15 can increase the incubation time, but there is
16 that efficiency, obviously, of multiplexing.

17 Next slide, please.

18 (Slide)

19 ALEX KEMPER: There's also, and Dr.
20 Matern spoke about this, second-tier testing. So,
21 for blood spots that have a positive screen based
22 on low GALC enzyme activity, psychosine, measuring

1 the psychosine concentration, which can be done on
2 the same dried blood spot, can greatly increase
3 the specificity, help remove things like
4 phytochemical pseudodeficiency.

5 The psychosine concentration itself,
6 measuring that requires precise equipment and
7 experience. So, psychosine concentration now is
8 generally sent to a referral laboratory.

9 I'm going to be talking a little bit
10 about cases that have been identified and their
11 outcomes. One of the things that it's important
12 to remember is that psychosine concentration
13 measurement has only been available after 2015.
14 So, we don't have psychosine for a lot of the
15 cases that we're going to be talking about.

16 GALC molecular analysis can also be
17 helpful, especially if their 30 kilobase deletion
18 is identified, or if the other variants have been
19 identified.

20 Now, it's not going to be surprising
21 to anyone that newborn screening programs have
22 adopted various strategies of testing, especially

1 for second-tier testing. And the process that has
2 evolved with newborn screening programs have
3 gotten experience with doing that.

4 I'm going to try to group the
5 different states to help with understanding how
6 the current map is. But just know that that is
7 part of natural evolution. There have been these
8 changes. And I also want to highlight that the
9 nomination package itself specifies scrutiny with
10 first tier GALC enzyme activity and second-tier
11 psychosine testing.

12 Next slide, please.

13 (Slide)

14 ALEX KEMPER: So, in terms of going
15 from screening to diagnosis, I just want to be
16 clear again that one of the challenges with Krabbe
17 disease is it has variable ages of onset. And in
18 the ideal diagnostic process, after newborn
19 screening would not only identify which infants
20 have Krabbe disease, but also help with predicting
21 the age of onset to guide monitoring and
22 treatment.

1 For many cases, that can be done.
2 For example, there's already seen an involvement
3 or involvement that can be identified or in
4 testing or the baby has the 30-Kb deletion of
5 alleles.

6 Next slide, please.

7 (Slide)

8 ALEX KEMPER: So, there have been
9 expert panel recommendations for follow-up after
10 positive newborn screening that's based initially
11 on the dried blood spots psychosine level.

12 Please advance.

13 (Slide)

14 ALEX KEMPER: So, first it classifies
15 with individuals with dried blood spot psychosine
16 levels. Two or greater is being at normal.

17 Next slide.

18 (Slide)

19 ALEX KEMPER: And 10 or above is
20 strongly predictive of early infantile Krabbe
21 disease. And those are the children for whom
22 follow-up is really time-critical.

1 Next slide.

2 (Slide)

3 ALEX KEMPER: Now, this expert panel
4 recommends three different pathways that I'm going
5 to go over. The first is for the babies who have
6 likely early infantile Krabbe disease based on
7 their psychosine level. And those are infants who
8 are recommended for immediate referral for
9 diagnostic evaluation and treatment.

10 And because of the time pressure for
11 treatment, that diagnostic evaluation really can
12 happen as treatment plans are developed so that
13 there's no slow-down.

14 Next slide.

15 (Slide)

16 ALEX KEMPER: The second pathway is
17 what's referred to as at-risk for late-onset
18 disease. So, to use our infants again between the
19 two and ten psychosine level who require follow-up
20 in two to four weeks by a specialist or a primary
21 care provider and consultation with a specialist
22 for further testing. And of course genotype can

1 further help clarify what to do and can be used to
2 stratify infants, too.

3 Next slide, please.

4 (Slide)

5 ALEX KEMPER: A high-risk category,
6 which requires more intensive follow-up.

7 Next slide.

8 (Slide)

9 ALEX KEMPER: Or a low-risk category.
10 So, individuals who are not identified with
11 pathogenic variants who still require close
12 follow-up, but not as intensive as those in the
13 high-risk group.

14 Next slide, please.

15 (Slide)

16 ALEX KEMPER: Then for those infants
17 who are not expecting to have Krabbe disease, then
18 there's no specific follow-up that's needed.

19 So, these are the three pathways that
20 you can classify a child based on first the GALC
21 enzyme activity, and then with the psychosine
22 concentration.

1 Next slide.

2 (Slide)

3 ALEX KEMPER: The diagnostic
4 evaluation includes measuring the GALC enzyme
5 activity. That is a clinical sample, not the
6 dried blood spot, newborn screening sample. And
7 the same thing with the psychosine concentration,
8 as well as molecular testing with the *GALC* gene if
9 that was not previously done.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: Those children also
13 need a complete exam, so neurophysiologic studies,
14 neurologic imaging that includes -- these things
15 all include MRIs, nerve conduction studies,
16 electroencephalogram, or EEG, auditory and visual
17 evoked potentials, and looking at the CSF, the
18 cerebrospinal fluid protein concentration.

19 All these tests can help establish
20 whether there is disease involvement and help
21 chart the course for --

22 Next slide, please.

1 (Slide)

2 ALEX KEMPER: So, again, the timing
3 of the specific studies and when imaging needs to
4 be done can be based on the risk classification,
5 as I talked about earlier.

6 Next slide.

7 (Slide)

8 ALEX KEMPER: And there are staging
9 systems that have been developed that help
10 synthesize the findings based on the laboratory
11 results and these other neurophysiological and
12 neurological imaging tests that I've just
13 described.

14 Next slide, please.

15 So, I now want to transition and talk
16 about newborn screening in the United States. So,
17 there are 19 newborn screening programs that
18 currently include Krabbe disease newborn
19 screening. You've already heard that represents
20 about 30 percent of the births in the country.

21 Next slide.

22 (Slide)

1 ALEX KEMPER: If you look at when
2 screening began, and regardless of like how the
3 screening algorithms have changed and those kinds
4 of things, if you just look across all the
5 newborns that have been screened since screening
6 was first reported --

7 Next slide.

8 (Slide)

9 ALEX KEMPER: -- there have been
10 about 7.4 million infants screened.

11 Next slide.

12 (Slide)

13 ALEX KEMPER: And there have been 28
14 infants with expected onset prior to 12 months of
15 age, or the equivalent of about 0.38 per 100,000
16 infants screened.

17 And I'm going to take a little pause
18 here and talk about -- because of variation in how
19 we were able to obtain information from the state
20 newborn screening programs, you're going to hear
21 me talk a lot about expected onset prior to 12
22 months of age compared to expected onset after 12

1 months of age, which is the convenient way to be
2 able to combine somewhat disparate data.

3 And, you know, of those with expected
4 onset prior to 12 months of age, it's likely that
5 half or so or more are going to have the early
6 infantile phenotype, that is, between zero and six
7 months. So, I just want to be clear about why
8 we're using that classification of 12 months.

9 Next slide, please.

10 (Slide)

11 ALEX KEMPER: These are published
12 reports of newborn screening, and I'm just going
13 to go through each of them. There's two reports
14 of newborn screening in New York that covered the
15 period from 2006 to 2015. Again, this was pre-
16 psychosine.

17 So, there's a molecular analysis
18 second-tier test that they used; 2.2 million
19 infants were screened in New York during this
20 period of time. And you can see that there were
21 five identified with early infantile Krabbe
22 disease and fifty-five at risk for later onset.

1 Missouri is the state that uses the
2 fluorometric process and a second-tier molecular
3 analysis in its report. They covered a period
4 from 2012 to 2015, and there were two infants with
5 disease-causing variants. Two at the time of this
6 published report were asymptomatic.

7 Illinois published in the peer-
8 reviewed article their experience from 2017 to
9 2020. And this is where you can see psychosine
10 concentration coming in as the second-tier test.
11 They screened about half-a-million infants with
12 the identification of two with infantile onset and
13 six with suspected late onset.

14 And then Kentucky, Dr. Matern talked
15 about how the Mayo Clinic conducts the Krabbe
16 disease, usually screening for Kentucky and the
17 Mayo Clinic. Also includes other lysosomal
18 storage disorders. The published report covers
19 the period of February 2016 to February 2017 with
20 psychosine second-tier testing in that report.

21 There were a little over 50,000
22 infants who were screened, with one infantile

1 onset case that was identified. And the
2 references for this information is listed here.

3 Next slide, please.

4 (Slide)

5 ALEX KEMPER: This is a slide that
6 lists the 10 programs that are currently offering
7 Krabbe disease newborn screening and the year at
8 which newborn screening began. How they're
9 currently testing for GALC enzyme activity,
10 whether or not they're using second-tier
11 psychosine testing. If so, who is doing the
12 analysis?

13 You can see that some states are
14 sending their samples to the Mayo Clinic, and
15 others are using PerkinElmer. PerkinElmer is
16 using a slightly lower threshold for their
17 psychosine testing.

18 And I just also want to draw your
19 attention to the fact that there are two states,
20 New Jersey and Ohio, that currently do not use
21 second-tier psychosine testing. And you'll see
22 the impact of not using psychosine testing as the

1 second-tier test in a second.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: So, this is a slide of
5 newborn screening outcomes, newborn screening for
6 Krabbe disease. I want to thank our partners at
7 APHL for working with these state newborn
8 screening programs to get these data for us.

9 We group states generally based on
10 the protocol during this period of time. So, you
11 can see Ohio and New Jersey, which refer based on
12 GALC enzyme activity alone. We have grouped
13 together Missouri and Tennessee based on their use
14 of looking at the 30 kilobase deletion. And then
15 we group the remainder of the states based on
16 whether they would refer based on psychosine
17 concentration alone in the end, or the 30 kilobase
18 deletion.

19 The real difference between these
20 states, however, is really Ohio and New Jersey
21 compared to the others. And so, I just want to
22 draw your attention to a few things. First is the

1 number of referrals per 100,000 screened are
2 higher in the states that do not use psychosine
3 second-tier testing -- 54 per 100,000 in Ohio and
4 28.9 per 100,000 in New Jersey.

5 There is some variation in terms of
6 rates of referral in the other states. And I
7 can't comment on why that variation exists.

8 Next I want to point out the Krabbe
9 disease with expected onset. And here's a -- I
10 just noticed an error in this slide. It should be
11 less than 12 months, not greater than 12 months
12 per 100,000 screened. And so you can see that
13 there's some states that haven't identified a case
14 with expected onset.

15 In the first 12 months we have some
16 follow-up for those that were identified in these
17 states, including, for example, if you look at
18 Kentucky. They during this period of time
19 identified 0.6 cases per 100,000. And those two
20 infants received stem cell transplanted 24 and 30
21 days of life.

22 The next column you can see those who

1 were at risk for onset after 12 months per 100,000
2 screened. And then the final column is those
3 infants who have either pending classification,
4 they're still working their way through the
5 system, families that have declined follow-up, or
6 who were lost. And what I'd like to point out is
7 that most of the cases of pending, declined, or
8 lost were from Ohio, which does use the first tier
9 GALC enzyme activity.

10 Again, because of the way the data
11 are collected, pulling this kind of table together
12 can be difficult, especially because, you know, we
13 know that the children are working through the
14 diagnostic system. And the information that the
15 laboratory has about cases that have been
16 identified and the information that the follow-up
17 coordinators might have, you know, there's
18 sometimes a delay and sometimes the assistants
19 don't talk.

20 So, there is a risk of ominous
21 classification. So, for example, in New York they
22 have -- we learned that there were two cases that

1 were classified as having Krabbe disease with
2 expected onset in the first year of life. But it
3 turned out those infants didn't need to have stem
4 cell transplant based on their psychosine levels
5 and the lack of symptoms.

6 And those two infants, as you'll hear
7 about in a little bit, did receive a transplant
8 after 12 months of life. And I'll highlight that
9 when we talk about outcomes.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: So, what I want to
13 highlight, though, from what we just talked about
14 is that since 2016 with about 3.6 million infants
15 who have been screened.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: If you look at
19 referrals for newborn screening programs that use
20 GALC enzyme activity alone, that's about 47 per
21 100,000 infants screened. And if you combined all
22 the other states that use psychosine and/or

1 molecular analysis, that is associated with a
2 referral rate that's much lower, at 7.7 per
3 100,000 infants screened.

4 In terms of diagnoses, the Krabbe
5 disease with expected onset in the first 12
6 months, there were 13 cases, or about 0.3 or 0.4
7 per 100,000. In terms of expected onset after 12
8 months, there were 15 infants in that category, or
9 about 1.5, 1.46 per 100,000. And then there are a
10 number of pending classifications, lost their
11 follow-up. And most of these come from a single
12 program that just screens using GALC enzyme
13 activity.

14 Next slide, please.

15 (Slide)

16 ALEX KEMPER: And there was one
17 identified case of expected Krabbe disease in the
18 first 12 months of life where the family refused
19 stem cell transplantation.

20 Next slide, please.

21 (Slide)

22 ALEX KEMPER: And I already talked

1 before about the risk of this classification in
2 the previous table.

3 Next slide.

4 (Slide)

5 ALEX KEMPER: So, as we are required,
6 we do look at the direct cost of -- not the
7 direct, I shouldn't say direct -- at the cost of
8 newborn screening from the laboratory perspective.

9 Next slide, please.

10 (Slide)

11 ALEX KEMPER: So, the estimated cost
12 from the program perspective, above and beyond the
13 fixed cost of the existing newborn screening, has
14 been estimated to be between two and seven
15 dollars. I want to thank Dr. Scott Grosse at the
16 CDC for helping us with this estimate.

17 Again it's a fairly broad range. It
18 can be hard to tease out the specific value
19 associated with Krabbe disease, in particular
20 because it's oftentimes multiplexed with screening
21 for other lysosomal disorders. Most of the costs
22 reflect equipment costs from agents and laboratory

1 technician and laboratory scientists assigned for
2 the first-tier screening. Again, there's a
3 relatively small number of infants who will need
4 to go on to get psychosine concentration testing.

5 Next slide, please.

6 (Slide)

7 ALEX KEMPER: What do we know about
8 the impact of early treatment?

9 Next slide, please.

10 (Slide)

11 ALEX KEMPER: So, hematopoietic stem
12 cell transplantation, HSCT or sometimes you'll
13 just hear me refer to it as stem cell
14 transplantation, was first described in the late
15 1990s.

16 There is a case series from 2005 that
17 really established the recommendation for
18 treatment by around six weeks of life for those
19 with early infantile Krabbe disease that's been
20 included with 11 subjects who were diagnosed
21 prenatally or shortly after birth, again this is
22 before we were screening, with early infantile

1 Krabbe disease who received a transplant around 19
2 days of life when they were asymptomatic.

3 And those 11 subjects were compared
4 to the 14 subjects who were diagnosed from four to
5 nine months of age and who received
6 transplantation around 142 to 352 days of life.
7 So, in terms of the outcomes, there were no deaths
8 in the asymptomatic group with follow-up to about
9 three years. And compare that to 16 and 14
10 infants in the symptomatic group who survived for
11 a median follow-up of about 41 months.

12 In terms of motor development, 10 of
13 the asymptomatic group had follow-up. There is
14 one with severe delays, four with mild to severe
15 delays, two with truncal weakness. And in the
16 symptomatic group, during the period that those
17 subjects were still alive, they were described to
18 have the "developmental level equivalent to that
19 of a one-month-old."

20 Next slide, please.

21 (Slide)

22 ALEX KEMPER: The clinical practice

1 guidelines was developed by the Hunter's Hope
2 Leukodystrophy Care Network and was published in
3 2019. And quoting from that protocol practice
4 guideline, "HSCT does not offer benefit to infants
5 with EIKD" -- early infantile Krabbe disease --
6 "after symptoms have developed." And that really,
7 again, emphasizes the goal of stem cell
8 transplantation by four to six weeks after birth
9 for infants with early infantile Krabbe disease.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: As Dr. Escolar talked
13 about, there are experimental therapies in
14 progress. So, there's an intrathecal delivery of
15 a stem cell line designed from umbilical cord
16 blood that can be used in addition to
17 transplantation, which is still under study.

18 There are two gene therapy products.
19 These are both delivered by an adeno-associated
20 virus. They're in phase 1 and 2 trials after FDA
21 fast-tracked clearance for investigation on these
22 drugs and for human trials.

1 Given that this is not an FDA-
2 approved product and given our evidence reviews,
3 I'm not going to be talking about gene therapy
4 further and again want to highlight for the
5 Committee that it's HSCT stem cell transplantation
6 which is the current recommended therapy that's
7 targeted for Krabbe disease.

8 Next slide, please.

9 (Slide)

10 ALEX KEMPER: So, we're going to talk
11 about treatment studies and cases of early
12 identification versus later identification. And I
13 think it's worth taking a step back just enough
14 for us all to -- you know, potential that the
15 method of diagnosis could impact the outcome. So,
16 if you were identified by family history or
17 diagnosed by key testing in utero, that can help
18 expedite treatment.

19 So, we've heard from experts that
20 could even impact the decision to deliver the
21 child a little bit earlier to help protect the
22 developing nervous system by transplanting at a

1 slightly lower gestational age when they've been
2 exposed to the -- when the infant's been exposed
3 less to the harmful effect of Krabbe disease.

4 Next slide.

5 (Slide)

6 ALEX KEMPER: The other thing that's
7 important to understand is that our understanding
8 of Krabbe disease and treatments and, you know,
9 the best way to go about doing things has evolved
10 over time. And so, you know, I think it's
11 important to have sort of a historical context as
12 we look at the treatment studies as well.

13 Next slide.

14 (Slide)

15 ALEX KEMPER: And there's a lot of
16 information that I think will be of interest to
17 the Committee that we are not going to be able to
18 answer. So, for example, the specific pathway to
19 diagnosis and the method of categorizing
20 phenotype. Sometimes genotype isn't provided.
21 Psychosine concentration, clearly, you don't have
22 for the cases that were treated before psychosine

1 concentration became available.

2 And then there's a gap in the
3 literature related to having standardized outcome
4 measures as specific ages. So, it would be nice
5 to have the same developmental outcome measure as
6 standardized ages for all the infants and we could
7 easily compare across and summarize things.
8 That's generally not done, and it makes
9 summarizing outcomes more difficult.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: So, in the full report
13 we talk about all the studies of treatment
14 outcomes that we could find. Not all those
15 studies provided granular enough data where we
16 could, with confidence, pull out individual cases
17 so we could talk about the different cases.
18 Sometimes aggregate information was provided.

19 So, what I'm going to go through is
20 the subset of subjects where we could clearly get
21 aggregate information, and I'm going to begin by
22 talking about the cases that were ascribed as

1 being identified based on family history.

2 The classification that you see in
3 the first column is based on the classification
4 that is provided in the report. The next column
5 is about the GALC genotype information and the
6 psychosine concentration.

7 And NR is not reported, and you can
8 see it again for most of these cases it's not
9 reported. That very last case, which is described
10 as a late infantile case, was a compound
11 heterozygote, compound heterozygous for the 30
12 kilobase deletion. So, we don't have psychosine
13 concentration, so many of those infants.

14 I've ordered this table based on the
15 age at stem cell transplantation. So, you can see
16 the youngest at 3.3 weeks, and the oldest at 4.5
17 months.

18 And the next column is the age at
19 last follow-up, so you can see that most of these
20 infants were followed to five years or older. At
21 the time of the report, there was one report, the
22 second from the bottom, where we have information

1 out to only 11 months.

2 And again, I talked before about the
3 challenge of the lack of standardized neurologic
4 outcome reporting. So, instead, I have it
5 qualitatively described.

6 So, the first case is an infantile
7 case who -- that child received transplantation at
8 a little over three weeks is now five years of age
9 and described as going to kindergarten with an
10 aide, able to walk, talk in five-word phrases,
11 feed herself, has some ankle clonus, upgoing
12 plantar responses, and the tendency to toe-walk.

13 The next case transplanted around the
14 same age, in contrast, is described as having
15 spastic quadriparesis, needing a g-tube, having
16 some speech and "dependent for all cares." Again,
17 these are quotes.

18 So, I'm not going to read the outcome
19 at each stage, but just highlight that there is
20 heterogeneity in these cases.

21 You know, one thing that I didn't
22 talk about before about how we put this together

1 is that it's clear that some cases are reported
2 multiple times and given case reports. And we did
3 group things together as best we can. Looking at
4 clues in terms of, you know, when the transplant
5 was done and genotype, that kind of thing. But
6 there is a risk that a case could appear more than
7 once in this table.

8 Next slide.

9 (Slide)

10 ALEX KEMPER: I'm now going to
11 highlight the cases that were identified through
12 newborn screening. And this table is similar to
13 the last one except for in the left-hand column, I
14 have the state newborn screening program that led
15 to identification.

16 There is one report that didn't say
17 exactly which state the subjects came from, but
18 said that wherever it was, it was not New York,
19 which is why you see "not New York" listed in that
20 column. So, the only outcomes that we have in the
21 study are from New York, Kentucky, and not New
22 York.

1 I just want to highlight some
2 important things. And one is some of these
3 studies are relatively older, especially some of
4 the reports of outcomes from New York, which were
5 from a publication in 2016. I would also like to
6 point out heterogeneity in terms of follow-up at
7 outcomes. This table, like the previous one, is
8 organized by the age at which transplant occurred.

9 So, if you look at the early
10 infantile cases who were transplanted before 18
11 months, which is typically everybody, but the last
12 two you can just see that the heterogeneity now
13 comes, including some infants who did die after
14 transplantation.

15 There was one family who refused stem
16 cell transplant, and that subject died by 18
17 months.

18 The last two rows were recently
19 reported in an abstract. Those were the two cases
20 that I mentioned before from New York. They were
21 transplanted at 18 months of age, and at five
22 years are reported as "normal." So, maybe I'll

1 just leave this. It's in the briefing book, but
2 I'll just leave this here for one second just so
3 that you can scan the range of status that's
4 described.

5 (Pause)

6 ALEX KEMPER: Okay, let's go on to
7 the next slide.

8 (Slide)

9 ALEX KEMPER: This slide continues
10 what we had before but were for infants who were
11 classified as being high-risk, and being followed
12 but not transplanted. So, it doesn't have any
13 further information except for I do want to
14 highlight that case from New York in the middle of
15 -- which was identified as high-risk, but later
16 retrospectively assigned as onset in late infancy.

17 This case report describes an infant
18 who was considered to have likely pathogenic
19 bearings, but an initial psychosine concentration
20 of 1.2. That infant was followed closely and did
21 eventually develop signs and symptoms of Krabbe
22 disease after the window of treatment had closed.

1 And that infant died by 26 months of age.

2 This is the one case that we've seen
3 where there was a non-elevated increase, where
4 psychosine is not elevated and the infant turned
5 out to have significant Krabbe disease.

6 The authors of this case report point
7 out other characteristics of the case that just
8 didn't fit with what's standardly expected with
9 Krabbe disease and suggested there might have been
10 some secondary condition. So, again, it's hard to
11 generalize from that, but I do want to point out
12 that one particular case.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: So, there are a number
16 of reports that are not clear about how the case
17 was identified, whether it was through family
18 history or screening or some other way. And this
19 slide is just, you know, like the others. And you
20 can see the age at which transplant was done,
21 ranging from 2.6 weeks of life all the way out to
22 six months of life. And you can see that there is

1 some heterogeneity in outcomes.

2 And so instead of reading that column
3 of the Age of Follow-up and Status, I'll just
4 leave it there for a few seconds for people to
5 look at again. Again, this is in the briefing
6 book, again part of the full report.

7 (Pause)

8 ALEX KEMPER: Okay. Next slide.

9 (Slide)

10 ALEX KEMPER: So, let me summarize
11 these slides. So, first, stem cell transplant is
12 the recommended treatment for individuals with
13 Krabbe disease with expected onset of signs and
14 symptoms by 36 months of life.

15 Next slide, please.

16 (Slide)

17 ALEX KEMPER: For those with expected
18 early infantile Krabbe disease -- that is,
19 expected to have involvement by six months of life
20 -- HSCT transplants is recommended with the goal
21 of treatment four to six weeks after birth.

22 Timely transplant can reduce the risk

1 of child mortality, so we reduce the risk of
2 death. But the other outcomes are more variable,
3 and there's insufficient evidence to allow us to
4 predict these outcomes. So, again, Krabbe disease
5 is rare, and because of the data that are reported
6 in individual studies, it's just not possible to
7 kind of buildup that model.

8 Next slide, please.

9 (Slide)

10 ALEX KEMPER: For individuals of
11 Krabbe disease with expected onset of signs and
12 symptoms between six and thirty-six months, the
13 available evidence suggests that treatment before
14 the development of signs and symptoms reduces the
15 risk of mortality. And although the evidence base
16 is limited, there does seem to be an association
17 with improved cognitive, language, and fine motor
18 development.

19 Next slide, please.

20 (Slide)

21 ALEX KEMPER: And I didn't go through
22 the data in this presentation. But it's important

1 to recognize that the greatest risk of mortality
2 following transplant is within the 100 days after
3 transplant. There can be harm from the chemicals,
4 from the treatment regimen that's needed before
5 the transplanter's risk of graft-versus-host
6 disease, and there's also a risk of infection
7 during stem cell transplant.

8 So, it's really in the 100 days after
9 treatment that there's the highest risk. And the
10 limited data that we have suggest the risk is
11 about 11 percent. But that comes from centers
12 with expertise in transplant for Krabbe disease.
13 And we're not able to comment on other potential
14 long-term negative outcomes associated with
15 transplant outside of that 100-day window.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: So, I now want to take
19 a step back and talk first about potential
20 benefits of screening.

21 Next slide, please.

22 (Slide)

1 ALEX KEMPER: So, Krabbe disease
2 newborn screening can eliminate the diagnostic
3 odyssey. You heard about that during the public
4 comment period. There are no studies that address
5 the diagnostic odyssey specifically for Krabbe
6 disease.

7 Based on the natural history studies,
8 though, infants with early infantile Krabbe
9 disease develop feeding problems and extreme
10 irritability. The natural history studies suggest
11 that when there's no family of Krabbe disease, the
12 diagnosis of infantile Krabbe disease is delayed
13 beyond the recommended period of four to six weeks
14 when transplant would be an option.

15 Next slide, please.

16 (Slide)

17 ALEX KEMPER: Detection of early
18 infantile Krabbe disease for newborn screening
19 allows families to decide whether to have their
20 infant receive a transplant within that
21 recommended period of four to six weeks. And what
22 we found from looking at the evidence, there is at

1 least, you know, one case identified where a
2 family chose not to have their infant receive a
3 transplant.

4 Next slide, please.

5 (Slide)

6 ALEX KEMPER: And that stem cell
7 transplant by four to six weeks of age for early
8 infantile Krabbe disease is associated with
9 decreased risk of childhood mortality. You're
10 going to hear more about this when Dr. Prosser
11 presents the modeling.

12 Next slide, please.

13 (Slide)

14 ALEX KEMPER: And that HSCT by four
15 to six weeks of age for early infantile Krabbe
16 disease is associated with improved functional
17 outcomes, although these outcomes, as I mentioned
18 before, can be variable and difficult to predict.
19 A limitation of the evidence base is that the
20 studies lack specific outcome measures at specific
21 ages related to standardized health outcome
22 measures and of quality of life.

1 Similarly, the articles do not
2 specifically address the impact of Krabbe disease
3 with or without transplant on the family.

4 Next slide, please.

5 (Slide)

6 ALEX KEMPER: And there's a limited
7 evidence base that suggests that transplant for
8 late infantile Krabbe disease early in the disease
9 course is associated with decreased mortality and
10 improved functional outcomes, with some
11 variability as I discussed earlier.

12 Next slide, please.

13 (Slide)

14 ALEX KEMPER: So, as with all
15 screening programs --

16 You can go to the next slide, please.

17 (Slide)

18 ALEX KEMPER: -- there's potential
19 harms associated. And I want to talk about those
20 as well.

21 Next slide, please.

22 (Slide)

1 ALEX KEMPER: So, a false negative
2 screen would be a harm because it could lead to
3 false reassurance and potentially delay diagnosis
4 until after signs or symptoms appear. We did find
5 one report that talked about how premature infants
6 might have a higher likelihood of false negative
7 first-tier GALC screening, first-tier enzyme
8 activity screening.

9 It's hard to know if this is just a
10 theoretical risk. We certainly couldn't find any
11 cases of missed screening for premature infants.
12 And then I already talked about that one infant
13 who had low initial psychosine concentration.
14 Again, it's hard to generalize from that. The
15 authors suggest this infant might have had a
16 secondary condition.

17 Next slide, please.

18 (Slide)

19 ALEX KEMPER: Treatment with stem
20 cell transplant when it's not required would be a
21 harm. But using the current diagnostic approaches
22 -- that is, low GALC enzyme activity and elevated

1 psychosine level, looking at the *GALC* gene
2 variants and doing the complete neurologic
3 evaluation like I talked about -- the risk of
4 transplant being performed for Krabbe disease when
5 it's not indicated is assumed to be low.

6 Again, we don't have the direct
7 evidence of that, but it's likely to be the case.

8 Next slide, please.

9 (Slide)

10 ALEX KEMPER: And then Krabbe disease
11 newborn screening could lead to transplant in
12 centers with less experience than the small number
13 of treatment centers that currently provide most
14 of the outcome data that were included in this
15 report, potentially leading to worse outcomes.
16 You know, most of the cases have been treated at
17 Duke University or Children's Hospital of
18 Pittsburg.

19 Next slide, please.

20 (Slide)

21 ALEX KEMPER: And then infants at
22 risk from late-onset Krabbe disease can require

1 long-term clinical follow-up. And little is known
2 about the impact of that follow-up on families.
3 We didn't identify any reports that specifically
4 addressed that.

5 Next slide, please.

6 (Slide)

7 ALEX KEMPER: So, now I'm going to
8 transition and talk about the public health system
9 impact assessment. There's a much more in-depth
10 analysis that's in the briefing book. For the
11 purposes of this presentation, I'm going to just
12 talk about really the key points.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: So, as we've mentioned,
16 there are 10 programs that have implemented Krabbe
17 disease newborn screening.

18 Next slide, please.

19 (Slide)

20 ALEX KEMPER: Virginia, the
21 Commonwealth of Virginia, recently chose not to
22 implement Krabbe disease newborn screening.

1 That's described in an article by Dr. Vergano. It
2 was recently published.

3 Their reason for not adding it is
4 that it's currently not on the Recommended Uniform
5 Screening Panel. There are concerns about risk
6 prediction when an infant had a positive screen.
7 And they identified challenges in assuring that a
8 stem cell transplant would be available by 30 days
9 after birth.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: There was a filed
13 letter by a number of experts who emphasized that
14 transplant can be given up to six weeks for those
15 with early infantile Krabbe disease.

16 Next slide.

17 (Slide)

18 ALEX KEMPER: So, in terms of
19 evaluating the ability to add Krabbe disease
20 newborn screening, APHL sent a survey out to all
21 53 newborn screening programs. I'm going to focus
22 on the 44 that did not include Krabbe disease

1 newborn screening. Thirty-four of those forty-
2 four responded to the survey that APHL sent.

3 Next slide, please.

4 (Slide)

5 ALEX KEMPER: At the very highest
6 level, expected time to implementation after a
7 recommendation might be made by the Secretary of
8 Health and Human Services to add it to the
9 Recommended Uniform Screening Panel is as follows:
10 in less than two years, 36 percent; two to three
11 years, 43 percent; three to four years, 12
12 percent; and more than four years was 3 percent.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: The survey did ask
16 about barriers and facilitators to adopting Krabbe
17 disease newborn screening. Some of the
18 facilitators were advocacy activities, the ability
19 to multiplex screening if you're using tandem
20 aspect, and then the expected clinical outcomes
21 were considered to be a positive facilitator.

22 They also listed a number of barriers

1 including the availability of treatment; other
2 program activities that they needed to focus on;
3 the need to increase the newborn screening
4 program; the newborn screening fee; the
5 availability of GALC enzyme activity testing; the
6 availability of specialists for diagnosis fee;
7 expected clinical outcomes; administrative
8 challenges; availability of second-tier psychosine
9 testing; and the availability of staff for short-
10 term follow-up.

11 But by far the most common barriers
12 were related to concerns about availability of
13 timely HSCT and also other program activities
14 including adopting other recently recommended
15 newborn screening tests.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: A question has come up
19 about treatment center availability. And our
20 methods including evidence review and the surveys
21 that are sent out to the state programs cannot
22 directly identify treatment center availability

1 for HSCT.

2 What I'd like to point out is that in
3 the Leukodystrophy Care Network there are
4 currently 12 centers. There is the Hunter's Hope
5 Krabbe Newborn Screening Advisory Council, which
6 was developed as a resource for influencing
7 things.

8 And we were told by Hunter's Hope,
9 Krabbe Connect, and other advocacy organizations
10 and other experts in the field that there is
11 willingness to share expertise. But again, we
12 can't comment on the degree to which, you know,
13 having stood up a program and having great
14 experience influences outcomes and the ability for
15 this technology transfer to occur.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: So, Dr. Calonge, would
19 you like this to continue with Dr. Prosser's
20 modeling? Or take a break? We're still before
21 noon, and I think Dr. Prosser can do that quickly.

22 NED CALONGE: Well, it was your last

1 frame. Do that quickly.

2 ALEX KEMPER: Sure.

3 NED CALONGE: Made me wonder. But I
4 wonder if we could, Alex, just take a five-minute
5 bio break. Everyone sat for a long time. And
6 just a little break, and then we'll try to
7 reconvene in about 10 minutes before the hour.

8 Please try to be prompt.

9 ALEX KEMPER: Thanks.

10 NED CALONGE: And, Alex, thanks for
11 an outstanding presentation.

12 We'll see you all in about five
13 minutes.

14 Though I do want it noted that Kyle
15 Brothers joined us in time for the evidence
16 presentation. I want the minutes to reflect that.

17 And welcome, Kyle.

18 KYLE BROTHERS: Thank you. Sorry to
19 be late.

20 (Whereupon, a short break
21 was taken.)

22 **NEWBORN SCREENING FOR KRABBE DISEASE:**

A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 2)

1 NED CALONGE: Welcome back. We're
2
3 right at 10 minutes to the hour, so in the
4 interest of moving forward and maintaining our
5 momentum, I'd like to bring us back to the table.

6 At this point, Dr. Kemper will be
7 joined by Dr. Lisa Prosser to continue the
8 presentation on the systematic review of the
9 evidence of Krabbe disease.

10 Dr. Prosser is the Marilyn Fisher
11 Blanch Research Professor of Pediatrics and the
12 Director of the Susan B. Meister Child Health
13 Evaluation and Research Center. Dr. Prosser also
14 holds an adjunct faculty appointment at the
15 Harvard School of Public Health.

16 Her research focuses on measuring the
17 value of childhood health interventions using
18 methods of decision sciences and economics.

19 Current research topics for Dr. Prosser include
20 newborn screening programs, vaccination program,
21 and methods for valuing family spill-over effects
22 of illness.

1 And Alex and especially Lisa, I would
2 like to turn things over to you at this point.

3 ALEX KEMPER: Thank you very much.
4 And I just wanted to make sure that -- Lisa was
5 promoted.

6 NED CALONGE: Congratulations, Lisa.

7 LISA PROSSER: I am fully promoted
8 here.

9 ALEX KEMPER: Permitted to speak.
10 She's already high in my book.

11 LISA PROSSER: Great. Wonderful.

12 Well, thanks so much. Thank you, Dr.
13 Calonge, and thank you, Dr. Kemper. Thank you to
14 the Committee and to all of our expert panel, as
15 well as everyone who contributed to developing the
16 modeling.

17 If you could, please, next slide.

18 (Slide)

19 LISA PROSSER: Great. Thank you.

20 As in previous condition reviews,
21 we've incorporated decision analysis, which is an
22 approach to decision-making under conditions of

1 uncertainty. And what this allows us to do is to
2 project ranges of short-term outcomes, comparing
3 newborn screening to clinical identification of
4 the condition being considered.

5 It allows decision-making to identify
6 which alternative is expected to yield the most
7 health benefit. At the same time, it also
8 identifies key parameters and assumptions, and
9 allows us to identify where there are key gaps in
10 the evidence base.

11 Next slide, please.

12 (Slide)

13 LISA PROSSER: So, using modeling,
14 the objective for this part of the evaluation is
15 to project population-level health outcomes
16 comparing newborn screening to clinical
17 presentation. So, using an annual US newborn
18 cohort of 3.65 million events, we'll be projecting
19 outcomes on the newborn screening side; short-term
20 screening outcomes, so numbers of positive
21 screens; confirmed cases of Krabbe disease;
22 confirmed infants at risk for Krabbe; and other

1 screening outcomes.

2 We'll also project the number of
3 newborns who receive transplants, transplant
4 outcomes, and mortality at 2.5 years of life. And
5 we'll compare this to clinical identification with
6 the numbers of identified cases of Krabbe disease
7 for infantile and later-onset, and again comparing
8 mortality at 2.5 years of life.

9 Next slide, please.

10 (Slide)

11 LISA PROSSER: This slide is just a
12 preview of one of the table's outcomes that will
13 be projected using this simulation model, and then
14 just a preview of the outcomes that we'll be
15 focusing on in the left-hand column.

16 Next slide, please.

17 (Slide)

18 LISA PROSSER: In the next few
19 slides, I'm going to review some assumptions that
20 underlie the construction of the simulation model
21 here that are derived directly from the evidence
22 review that Dr. Kemper just completed. And also

1 augmented by additional discussions with our
2 clinical expert panel that met with us several
3 times and the condition review.

4 So, the classification used in the
5 modeling will divide the cohort into two age
6 groups, those that are identified at less than 12
7 months of onset -- and Dr. Kemper reviewed this
8 earlier -- compared with those at 12 months or
9 later for onset.

10 We are assuming that for newborn
11 screened identified newborns recommended for
12 immediate treatment that transplant occurs before
13 the development of overt symptoms.

14 Another assumption underlying the
15 modeling is that differences in outcomes for
16 transplants occurring at less than or equal to 30
17 days of life or greater than 30 days of life are
18 not included in the model.

19 This is a threshold that's used in
20 many of the published papers. But there's
21 extensive discussion that, for example, if a
22 newborn received transplant at 32 or 38 or 48 days

1 of life, the primary determinant on the outcomes
2 for that infant would be more likely due to other
3 factors than to the exact date of the transplant
4 itself.

5 Next slide, please.

6 (Slide)

7 LISA PROSSER: Thank you.

8 And just a few other assumptions here
9 before we start reviewing the models. So, another
10 assumption is that newborns identified with
11 markers suggesting Krabbe disease onset by 12
12 months are recommended for transplant. This slide
13 says most of these will be symptomatic, but again
14 this could be signs and symptoms and markers, but
15 not again overt symptoms of Krabbe disease.

16 Another assumption in the model is
17 that the probability of mortality due to
18 transplant-related complications within 100 days
19 of transplant is the same for infants identified
20 through newborn screening or clinical
21 presentation.

22 And another assumption related to

1 transplant is that transplant-related morbidity
2 beyond 100 days post-transplant is typically not
3 life-threatening, and this is not included in the
4 modeling.

5 Next slide, please.

6 (Slide)

7 LISA PROSSER: And just sort of the
8 implications of the evidence on treatment when
9 reviewed, specifically for integration into the
10 modeling analysis that again assume that newborn
11 screen identified newborns, recommended for
12 transplant, likely represent the most severe
13 phenotype.

14 And when we compare to clinically
15 identified newborns who receive transplant, these
16 represent a wider range of severity of illness at
17 the time of transplant than in published studies.
18 And just to note that's a typo that then should
19 not be here. This is just considering the
20 universe of published studies that this is a wider
21 range of illness for those infants.

22 And because of this, the subjects in

1 these two groups of studies are not comparable.
2 And therefore, these results have not been
3 integrated directly into modeling of long-term
4 outcomes.

5 Next slide, please.

6 (Slide)

7 LISA PROSSER: So, next we're going
8 to review the structure of the newborn screening
9 simulation model.

10 Next slide, please.

11 (Slide)

12 LISA PROSSER: So, I want to go
13 through this slide in detail. But just to orient
14 to the structure of the model that on the left-
15 hand side we're starting with identical cohorts of
16 hypothetical newborns who are not at higher risk
17 for Krabbe disease.

18 And so then comparing with the
19 outcomes for those two identical cohorts of
20 newborns, 3.65 million, would be across a group
21 that received newborn screening compared to
22 infants who presented through clinical

1 identification.

2 So, moving across the top of the
3 decision tree, for those infants that received
4 newborn screening, we have some proportion. And
5 each of these arrows in the schematic represents a
6 probability that we will see on the next few
7 slides what the data are that are going to these
8 probabilities.

9 So, there's a probability that a
10 newborn can screen positive and be referred for
11 diagnostic evaluation. Following that evaluation,
12 an infant can be referred for transplant
13 evaluation, can then receive transplant, or may
14 not receive transplant either due to the
15 progression of disease at the time or potentially
16 declined by the family.

17 Following transplant, you can survive
18 or die based on complications. What's grayed out
19 in the very last segment of this model are longer-
20 term outcomes based on the quality of life of
21 following a transplant. And that's where there
22 was insufficient evidence to quantify what those

1 outcomes would be at 2.5 years of life. So, those
2 are represented here as gray-out and not presented
3 in our quantitative outcomes.

4 And then just to focus on kind of the
5 middle column, which will be our primary screening
6 outcomes, will be quantifying the number of
7 infants that are again at risk for late onset
8 Krabbe disease, either high risk or low risk,
9 follow-up pathways, and those that are not
10 recommended for a regular follow-up.

11 Next slide, please.

12 (Slide)

13 LISA PROSSER: We'll then be
14 comparing to infants who present with Krabbe
15 disease through clinical identification. And
16 again, if you follow the structure of the model --
17 so again this is the full cohort of 3.65 million
18 infants, so some will present with Krabbe disease.
19 Most will not.

20 But those that present with Krabbe
21 disease, some proportion will present before one
22 year of life. And this is the comparison cohort

1 that we'll use for the newborn screening again of
2 that cohort that is recommended for transplant.

3 Again, a proportion of these infants
4 will receive transplant. Under clinical
5 presentation, the primary defense here is that
6 many of these infants are likely to not be
7 recommended for transplant given the progression
8 of their disease at the time of clinical
9 presentation. Then again, we'll be presenting
10 mortality at 2.5 years of life.

11 Next slide, please.

12 (Slide)

13 LISA PROSSER: This slide shows a
14 summary of some of the data that Dr. Kemper
15 presented earlier. These newborn screening data
16 for all of the outcomes that we've included in the
17 model -- the screening outcomes.

18 Important to note that across the
19 second row for "Referred," that there are wide
20 differences across states. And this is due to the
21 different algorithms that are being used to
22 identify which infants are referred at that first

1 stage of screening.

2 Next slide, please.

3 (Slide)

4 LISA PROSSER: So, important to note
5 that for the modeling what has been done is to
6 adjust the state-reported data to reflect a
7 referral protocol that is based on a low GALC
8 enzyme level and high psychosine levels.

9 So, essentially, assuming that all of
10 the newborn screening programs nationally would be
11 using that protocol that would be incorporating
12 the GALC levels as well as psychosine as part of
13 the initial screening determining which infants
14 move on to referral for diagnostic evaluation.

15 This slide just goes into some detail
16 on how those adjustments were made state by state.

17 Next slide, please.

18 (Slide)

19 LISA PROSSER: So, this slide shows
20 the adjusted data again. So, this represents what
21 would be happening at the state level for states
22 that -- state newborn screening programs that we

1 have data for if all of the states were following
2 a screening protocol that referred based on the
3 GALC enzyme levels, as well as the psychosine.

4 Next slide, please.

5 (Slide)

6 LISA PROSSER: So, translating this,
7 this is just translating this into our probability
8 inputs into the model. And so, to focus on the
9 right-hand panel, which are the adjusted
10 projections. So, for the combined number of
11 newborns that have been screened to date across
12 all of the screening programs, there would be --
13 this shows the adjusted numbers.

14 So, there would be 51 that would be
15 referred for diagnostic evaluation. And then
16 looking at the very final column, the conditional
17 probability, given a referral, would be 20 percent
18 for infantile Krabbe disease; 73 percent of those
19 would be identified as at risk for late-onset
20 Krabbe disease; and 6 percent of those would not
21 be recommended for any regular follow-up following
22 diagnostic evaluation.

1 Next slide, please.

2 (Slide)

3 LISA PROSSER: And then this slide is
4 just translating this into the specific parameters
5 that were incorporated into the model. And so,
6 what has been added to this slide is the range.
7 Again, there is uncertainty around all these
8 inputs. We have small numbers with newborn
9 screening.

10 The ranges for most of the parameters
11 that will be presented on the following pages have
12 been derived using an assumption of binomial
13 distribution. And so, some of these, as you will
14 notice on there, aren't very wide, which again
15 represents the small numbers that we typically
16 have for newborn screening.

17 Next slide, please.

18 (Slide)

19 LISA PROSSER: The next few slides I
20 won't go through in detail. But just to know that
21 the source of these data either come from the
22 newborn screening programs that have been underway

1 in the states, from the public literature, or from
2 the clinical expert panel that we worked closely
3 with during the evidence review.

4 Next slide, please.

5 (Slide)

6 LISA PROSSER: This slide shows the
7 parameter inputs for the clinical presentation. A
8 sub-model, I'm shown the proportion of infants
9 that are likely to be diagnosed at less than 12
10 months of life, and those that are likely to be
11 diagnosed beyond 12 months of life, and of the
12 ones that are diagnosed at less than 12 months of
13 life, what proportion are likely to receive
14 transplant.

15 Next slide, please.

16 (Slide)

17 LISA PROSSER: On the newborn
18 screening side, this just shows the final branches
19 of this tree, the probabilities of transplant
20 outcomes, and survival at 30 months both with and
21 without transplant. They've published, yes.

22 Next slide, please.

1 (Slide)

2 LISA PROSSER: So, this slide shows
3 the results of the model on projected screening
4 outcomes, again for an annual cohort of 3.65
5 million newborns, assuming that all states are
6 using the protocol based on the low GALC enzyme
7 levels, as well as psychosine levels.

8 So, the projected number would be
9 roughly 75 annually with a wide range, as you can
10 see on the right-hand side. Of those, about 15
11 would be projected to be identified as having
12 infantile Krabbe disease.

13 Fifty-five would be identified as at-
14 risk for late-onset Krabbe. And of those, about
15 40 percent are identified as needing to follow the
16 high-risk follow-up pathway, and 33 of those for
17 the low-risk follow-up pathway. And just under
18 five would not be recommended for regular follow-
19 up.

20 Next slide, please.

21 (Slide)

22 LISA PROSSER: On the clinical

1 presentation sub-model, on the projected cases for
2 infantile onset, less than 12 months of age, it's
3 roughly 19 per year with those identified as post-
4 infantile onset, about 21 per year.

5 Next slide, please.

6 (Slide)

7 LISA PROSSER: Now, this slide is
8 just taking some of the information from the
9 previous two slides, specifically focusing on the
10 number of infants. So, infants and early years of
11 life that are identified with Krabbe disease.

12 So, the most important part of this
13 slide is on the right-hand panel at the bottom
14 right, comparing the projected incidence for
15 newborn screening compared to clinical
16 presentation of 1.02 per 100,000 compared to 1.1
17 per 100,000 under clinical presentation. Again,
18 there's a range around these, but kind of roughly
19 similar projected incidents under newborn
20 screening compared to clinical presentation.

21 Next slide, please.

22 (Slide)

1 LISA PROSSER: So, this slide shows
2 the projected outcomes following screening in
3 terms of the numbers of infants expected to
4 receive transplants. So, I'll just walk through
5 this slide in a little bit of detail.

6 So, across the first row, of the
7 newborn screening presents 13.4 infants would
8 receive transplant compared to 1.9 under clinical
9 presentation. Again, this is for that cohort of
10 newborns who are identified with Krabbe disease
11 and expected to present before 12 months of life.

12 Of those infants under newborn
13 screening, 1.4 would be expected to die from
14 complications of transplant compared with 22 under
15 clinical presentation because there are fewer
16 undergoing transplant. And those who survive
17 transplant, there would be 12 under newborn
18 screening compared to 1.7 under clinical
19 presentation.

20 And for those who did not receive
21 transplant, 1.9 under newborn screening compared
22 to 16.9 under clinical presentation, again

1 assuming that those infants under clinical
2 presentation are presenting at a later stage of
3 disease and would most likely not be eligible for
4 a treatment except for a small proportion.

5 So, then skipping to the bottom row.

6 So, the outcomes at 2.5 years of life are those
7 that combined or projected to have died even from
8 transplant by way of complications or by Krabbe
9 disease by age 30 months is 2.9 under newborn
10 screening compared to 13.2 under clinical
11 presentation, representing 10.3 deaths averted
12 under the newborn screening compared with clinical
13 presentation. And again, there's a range there of
14 likely 7 to 14.

15 Next slide, please.

16 (Slide)

17 LISA PROSSER: So, this slide is
18 again just presenting these data in a slightly
19 different summary format. So, on the left-hand
20 side with universal newborn screening for Krabbe
21 disease, 15 infants projected to be referred for
22 evaluation for transplant; 13.4 would receive

1 transplant; 1.4 would die from complications of
2 transplant within 100 days of life; all others
3 would be expected to be alive at 2.5 years.

4 An additional 22 would be identified
5 at high risk for Krabbe disease and would require
6 close clinical follow-up.

7 Compared to clinical identification,
8 without universal newborn screening, 18.8 events
9 would present before age one year. Of those, 1.9
10 would be eligible for and receive transplant, and
11 13 infants would be expected to die from Krabbe
12 disease for this cohort by age 2.5 years.

13 Next slide, please.

14 (Slide)

15 LISA PROSSER: So, from the modeling
16 projections, the outcomes show differences in
17 survival at 2.5 years of life for identification
18 under newborn screening compared with clinical
19 presentation. An additional 10 babies would be
20 alive at 2.5 years with newborn screening compared
21 to clinical presentation.

22 The evidence on treatment outcomes

1 relating to quality of life were insufficient to
2 model improvements in quality of life across
3 cohorts at that 2.5 year time point.

4 Next slide, please.

5 (Slide)

6 LISA PROSSER: So, now I will turn
7 things back over to Dr. Kemper for any questions.

8 ALEX KEMPER: So, thank you, Dr.
9 Prosser, for going over the model.

10 There were a couple of things I just
11 wanted to correct in the record. These are kind
12 of low level. I don't think they're going to
13 affect any decisions. But just for making sure
14 that everything is right.

15 One is in the study that was done at
16 Mayo Clinic with the samples that were sent to
17 Kentucky, that first case that was identified
18 having the initial psychosine 61, so far in excess
19 of the threshold of 10 that we talked about
20 before. And I'm certain this would be in the
21 slide somewhere. But I just want to put that in
22 there.

1 Another thing is I got asked to
2 clarify the issues about incubation time. So, I
3 do talk about incubation time with the fluorometry
4 test that's used in Missouri -- what is it? And
5 that's not multiplexed with anything because I
6 didn't bring it up. But that requires an
7 overnight incubation period.

8 There's incubation that's required
9 for the first tier GALC enzyme activity test with
10 tandem mass, but that's separate from the fact
11 that they're multiplexed. But I just wanted to
12 bring up the issue that there is this incubation
13 period that is required, just because it impacted
14 the flow within the newborn screening program.
15 So, I just wanted to clarify that in case anyone's
16 confused.

17 NED CALONGE: Thanks, Alex. Thanks,
18 Lisa.

19 I'd like to open it up to Committee
20 members and see if there are any questions for
21 Alex and Lisa.

22 (Pause)

1 NED CALONGE: Shawn.

2 SHAWN McCANDLESS: Thank you. Shawn
3 McCandless, Committee member.

4 Alex, thank you for that
5 presentation. And the data are complex, and that
6 was a tremendous amount of work. So, thank you to
7 you and your Committee for providing such a clear
8 presentation of the evidence.

9 I have a question about the
10 recommendations for follow-up of those patients
11 that are not thought to need immediate HSCT. And
12 so, there's two categories, low risk and high
13 risk. And they're different. There are different
14 follow-up strategies.

15 Can you just enlighten us about why
16 and how those two strategies came about and
17 whether there's any data supporting the difference
18 between the low-risk and the high-risk strategy?

19 And the second follow-up question
20 would be: do we know, using those strategies, do
21 we have a sense of what proportion of those
22 individuals will end up eventually being

1 transplanted?

2 Thanks.

3 ALEX KEMPER: So, in terms of how
4 that algorithm was developed, it was really an
5 expert consensus process recognizing that if there
6 is known or the potential for pathogenic bearings
7 to be involved that those kids should be more
8 closely followed. I would say, you know, it's a
9 rare disease. So, it's hard to come up with ways
10 to test the algorithms.

11 I did ask at one point, "What do you
12 do if it's unclear if the variants are pathogenic
13 or not?" And you know, the best I can tell you is
14 that it's a small community and people talk. And
15 it's just a matter of clinical consensus. So, I
16 think that's really the best that I can do in
17 terms of that process.

18 How many of those infants will
19 eventually go on to transplant is something that I
20 can't tell you from the evidence.

21 SHAWN McCANDLESS: Thanks.

22 I think Dr. Ream may have been an

1 author on that paper.

2 Is it okay if I ask her if she has
3 any better answer than that?

4 (Crosstalk and laughter)

5 MARGIE REAM: Yes. So, the decision
6 point of the low-risk versus high-risk follow-up
7 pathways is primarily based on genotype. So, if
8 the genotype is associated with younger onset
9 earlier in childhood, that's a high-risk pathway.
10 And so that follow-up is more frequently, more
11 intense because we do expect, you know, earlier
12 onset of disease.

13 And earlier onset therefore means,
14 you know, more rapid progression. Where if a
15 genotype is associated with adolescent or older
16 onset, then that would be in the low-risk pathway.

17 SHAWN McCANDLESS: And how about if
18 the variants are not clearly associated with a
19 specific genotype?

20 MARGIE REAM: That is a much more
21 difficult situation, and that is a situation in
22 which what Dr. Kemper said, that many of the

1 experts would discuss and look at the psychosine
2 level and the GALC activity level and kind of come
3 up with a most reasonable approach.

4 SHAWN McCANDLESS: Thank you.

5 NED CALONGE: Ash.

6 ASHUTOSH LAL: Thank you.

7 It was a really enlightening
8 presentation.

9 My one question is if you come across
10 the difference in the outcomes post-transplant
11 between infants identified through family history,
12 which already planned for newborn screening, and
13 if there is a difference, then one might lie
14 behind it. Oh, are you muted?

15 ALEX KEMPER: So, again, being a rare
16 disease, the way the reports are, we can't, you
17 know, specifically model outcomes from detection
18 through family history versus newborn screening.
19 I would say that when I spoke to experts, they
20 said that if there's an expectation that an infant
21 is going to be born with Krabbe disease, that
22 there might be the decision to deliver a little

1 bit early and to expedite, further expedite the
2 treatment.

3 So, I can tell you in the studies
4 that we've looked at, they don't -- that that
5 level of granularity is not reported in terms of,
6 you know, whether a decision was made to deliver
7 early what the gestational age was and those kinds
8 of things. Those are also families that are more
9 prepared to go on to transplant if needed. So,
10 there's less of this, you know, shock to the
11 family.

12 But again, the evidence base is
13 insufficient to really tease those things apart.
14 And from what we can tell from the evidence, most
15 of the cases that are identified are not
16 identified by family history. They're new
17 findings to the family.

18 Does that answer your question?

19 NED CALONGE: You're muted, Ash.

20 ASHUTOSH LAL: Yes. Yes. Thank you.

21 NED CALONGE: Jennifer.

22 JENNIFER KWON: Hi. I was going to

1 try to keep to the evidence talk that Alex gave,
2 but Shawn opened the door. And so, I'm going to
3 continue.

4 I am curious, and this is again
5 probably more for Margie and people who are
6 involved with long-term follow-up in this
7 population.

8 What if any are plans for experts
9 like you to come together and sort of revisit
10 these follow-up guidelines to see how effective
11 they are? These guidelines were developed partly
12 in response to the number of families who are lost
13 to follow-up because of the high false positive
14 rate, or the high call-out rate, shall we say,
15 that earlier programs experience.

16 So, I'm wondering how you're going to
17 measure the success of these new guidelines?

18 MARGIE REAM: Should I go ahead with
19 that question?

20 NED CALONGE: Yeah, yeah.

21 MARGIE REAM: Okay, great.

22 I think that's a very, very important

1 point to heritable history and context for that.
2 The initial follow-up guidelines out of New York
3 State resulted in very intense follow-up for the
4 individuals considered at risk for disease onset
5 outside of the elevated -- outside of the initial,
6 you know, early infantile type of disease.

7 And those intense follow-up
8 recommendations that required a recommended,
9 repeated lumbar punctures and nerve conduction
10 studies, and things like that were in some cases
11 felt to be a little daunting for families. And
12 so, then families perhaps didn't follow up with
13 the recommendations.

14 So, the newer paper which Rob Stone
15 was the first author on and involved many of us
16 that are in this meeting today was an attempt to
17 address those concerns, bringing, you know, very
18 close follow-up to the children that were at most
19 risk and relaxing the follow-up for the children
20 who were at less risk.

21 I think that, you know, we haven't
22 been at it long enough to really see the outcomes

1 of those two pathways. But we're actively
2 discussing. In fact, a meeting last week and an
3 email this week actively discussing a way to
4 follow those -- you know, to collect outcomes data
5 on the follow-up pathway to see how often children
6 are following the recommended testing schedule.

7 And then also hopefully evaluate if
8 the recommendations were appropriate. Are we
9 using the right decision plants for putting kids
10 in one pathway or the other?

11 I feel like there was another part to
12 your question, Jennifer. I think I lost it.

13 JENNIFER KWON: Yeah, I probably did,
14 too.

15 But I was just curious based on what
16 you said. So, how many states are participating
17 with you in this long-term follow-up?

18 MARGIE REAM: We're just at the
19 beginning of conversations about that. So, if you
20 can ask again in a few months I might have a more
21 specific answer for you.

22 JENNIFER KWON: Thank you so much.

1 MARGIE REAM: And I think it's a
2 really important -- a very important point
3 because, based on information that was discussed
4 earlier, 90 percent -- historically we believe
5 that 90 percent of patients with Krabbe disease
6 had early infantile.

7 But in the modeling that Lisa
8 Prosser's team did, you know, there were more
9 patients following -- more newborns following it
10 to the need for follow-up, long-term follow-up
11 than the patients being referred immediately for
12 transplant.

13 JENNIFER KWON: Thank you.

14 NED CALONGE: Chanika.

15 CHANIKA PHORNPHTKUL: Thank you so
16 much, Alex, for this wonderful presentation, and
17 Dr. Prosser as well.

18 I was struck by one of the slides
19 that looked at the transplant of -- and I
20 recognize that it was in older literature, that
21 there's kind of a variable outcome of the
22 children, you know, that at older ages in

1 wheelchair and all that.

2 So, the question for the group, for
3 you, is, "How do we take into account the evolving
4 technology and outcome of transplant itself?"

5 Because could it be that, you know, the children
6 who are transplanted at later years, which we
7 don't have a long-term outcome, will do better?

8 And is there other -- you know, using
9 transplant as a -- not using Krabbe, but using
10 transplant to kind of counteract that effect of
11 time and evolution of transplant? I'm not sure
12 that I'm making myself clear.

13 ALEX KEMPER: You're making yourself
14 100 percent clear. It's not a question I'm going
15 to be able to answer satisfactorily just because,
16 until we have the data, I can't say anything.

17 What I can say is certainly there has
18 been, you know, since the late 1990s significant
19 advancement in knowledge about transplants and
20 improvements. But still with that, there does
21 seem to be variability of outcomes. So, I think
22 the question you asked is probably one for later

1 in the day to debate.

2 And I think tied to that as well is,
3 you know, are there centers of excellence -- we've
4 already talked about two of them that clearly have
5 a lot of experience with transplants for Krabbe
6 disease, and what does that mean for how other
7 centers will be brought along, or should there
8 continue to be these centers of excellence?

9 NED CALONGE: Thank you.

10 Now I'd like to open up questions to
11 the organizational representatives.

12 And I see Debra Freedenberg has her
13 hand up.

14 DEBRA FREEDENBERG: Yes. So, I guess
15 my question really is about access to resources in
16 care. And I don't know whether I specifically
17 suspect it wasn't specifically addressed. But in
18 doing the overall review, were you aware of any
19 difficulties that were identified in screen-
20 positive kids that were felt to need a transplant
21 obtaining that transplant?

22 Because we know that some states

1 don't have those resources, and we know that some
2 insurances are more difficult to deal with than
3 others. So, I was wondering if you had any
4 information on that.

5 ALEX KEMPER: I don't. And I don't
6 know if that's because those cases just don't
7 appear in the literature or the degree to which
8 that hasn't been borne out to be a problem.

9 I can tell you that Virginia opted
10 not to add it on because of concern about getting
11 those transplants done within 30 days. But we
12 didn't find a single case of an infant who was
13 recommended to get a transplant that wasn't able
14 to get one.

15 There are some, as described in the
16 report of the not New York screenings, you know,
17 whatever those states are, where they were
18 certainly pushing up around the six-week mark.
19 But I can't describe a particular case of someone
20 who, where the family was recommended to get a
21 transplant and they went to get a transplant, but
22 were not able to get one. It may exist; it's just

1 not described.

2 DEBRA FREEDENBERG: Thank you.

3 ROBERT OSTRANDER: Thank you. Yeah,
4 Robert Ostrander, AAFP.

5 I just want to follow up. I thought
6 about Deb's question, and that is that I think we
7 have to be careful how much we use some of the
8 information about things like access in making
9 this firm-end decision, because that is a data
10 point that is going to change based on our
11 actions.

12 You know, as soon as these things get
13 implemented or put in a widespread way, we have to
14 think about whether there are going to be barriers
15 to access. But we shouldn't use our baseline
16 access, whether there's not a universal process,
17 to assume that that's going to be the same access
18 once we implement.

19 You know, this is one of these, you
20 know, Heisenberg uncertainty kind of things where
21 our action is going to change the data.

22 ALEX KEMPER: I agree with you. One

1 of the things that I'd like to add in that I meant
2 to mention before is that we know that states that
3 are not using the psychosine second-tier testing
4 are having a much harder problem because they're
5 identifying so many babies, of tracking them. And
6 there's a greater risk in those states of children
7 being lost.

8 NED CALONGE: I would also point out
9 that we've historically, looking back at SCID, the
10 Committee did not make a recommendation to add
11 until the Committee felt certain that there would
12 be treatment available to children identified
13 through screening. So, I understand that things
14 are changing. There is an issue, though, about
15 being uncertain, about access to treatment when
16 you start screening.

17 So, just talking historically about
18 past Committee actions, I just point that out.

19 Scott.

20 SCOTT SHONE: Thanks, Dr. Calonge.

21 Scott Shone, organizational rep from ASTHO.

22 So, Alex, I guess two quick things.

1 You know, Dr. Ream commented that the model
2 reflects the likelihood of finding these other
3 types of Krabbe than what is predicted. And I
4 would submit that that's pretty much been the case
5 in newborn screening for every disorder we've
6 added going back, as Dr. Calonge just said, for
7 SCID.

8 I mean, how many idiopathic T cell
9 lymphopenias do we deal with? How many -- you
10 know, Pompe and MPS I. I mean, it just goes on
11 and on. And I think we all recognize -- and I see
12 a lot of nodding heads, so thank you -- that
13 that's pretty much been what we've set ourselves
14 up for with all this.

15 So, I just want to confirm my
16 understanding is that probably the biggest data
17 gap that we have in your evidence review is that,
18 what else are we going to find that some have
19 suggested can fall in the harm bucket or some fall
20 in the benefit-to-be-determined bucket? That's
21 one. Do you agree that there's a big data gap on
22 all of that and what that means for those babies

1 and families?

2 And then two, it seems to me that one
3 of the biggest questions is that significant
4 diversity in response to HSCT, that we've had
5 amazing public comments throughout this process
6 from families that have had wonderful outcomes
7 with -- let me back up and rephrase because I
8 don't want to say that because life is a wonderful
9 outcome. Let me pitch myself and my comments.

10 That have had outcomes with less
11 severe sequelae, almost some children having
12 apparently none, and others, as you articulated in
13 your evidence review, wheelchair bound or having
14 more profound developmental or intellectual
15 disabilities.

16 So, it seems to me that that is
17 another potential data challenge. So, one is,
18 what are we going to find that we don't know and
19 the benefits and harms of that? And then also,
20 this diversity of outcomes of the same therapy
21 perhaps at the same timeline. Is that fair?

22 ALEX KEMPER: Yeah. Yeah. So, I

1 think that as we summarize, you know, the evidence
2 does suggested the decrease in mortality, but the
3 morbidity is more variable.

4 And I think it probably -- I mean,
5 you know, I'm sure it depends on a million
6 different features related to the variants. Who
7 knows what else it's involved with? Even just the
8 tradeoff. All these things can affect it. So, I
9 can't comment on that further.

10 And it is true that screening will
11 identify children who will need to continue to
12 have follow-up. And I can't comment on
13 comorbidity outcomes either that would harm
14 somehow the family. So, yeah, I agree with you.

15 NED CALONGE: Thanks.

16 Michele Caggana.

17 MICHELE CAGGANA: I just want to take
18 a couple of minutes to make a couple of comments
19 and kind of remind people where we came from.

20 So, I agree with Scott. I mean, when
21 we do newborn screening, we always find things we
22 don't anticipate. We've also seen that states,

1 including New York, began screening for Krabbe all
2 the way back to 2006. It's been quite a while.
3 And so, we have identified different kinds of
4 issues and different hardships along the way. And
5 we've done our best to report those and make those
6 available for Alex's review.

7 When we began in 2006, there was no
8 precedent. We were in uncharted waters, and we
9 were filling a mandate. So, we had risk
10 categories that were set at low, moderate, and
11 high risk. And as Margie said, there was a very
12 thorough follow-up protocol that was agreed upon,
13 and that was back in 2006, as I said.

14 These consensus guidelines that we
15 had access to that were published by Dr. Stone and
16 some of my colleagues from New York and across
17 other parts of the country, people who treat
18 Krabbe, are kind of the best because it's the
19 third iteration of their plan for consensus
20 guidance now.

21 And I will say that for a lot of
22 newborn screening conditions, we haven't really

1 had even that to start with when we began
2 screening.

3 To the question of the longer-term
4 follow-up, we do -- it was mentioned in Alex's
5 presentation, we have a set of twins who were
6 followed. They were closely monitored, and they
7 were transplanted. And they are doing quite well.
8 So, that does work.

9 And as far as access is concerned,
10 when we began screening for Krabbe in New York, as
11 I said there was really no precedent. We didn't
12 know how many babies we would find and who would
13 be referred. And so, we spent considerable time
14 comparing, I would say, a good year-and-a-half or
15 so. But we talked to insurers, and we also talked
16 to our Medicare people within the health
17 department.

18 And we were able to sort of set off
19 the expectation that if they needed to get a stem
20 cell transplant, that they may have to travel, but
21 it had to be quick. And we were successful in
22 making that happen.

1 And so, I think some of these things
2 are difficult and take time. But they certainly
3 can be worked through. And I will say with the
4 addition of psychosine, we use molecular to gauge.
5 But the use of CLIR and psychosine helps us to
6 really narrow down the number of expected infants
7 that we refer and therefore will need all of this
8 follow-up downstream.

9 So, thank you.

10 NED CALONGE: Thank you, Michele.

11 Bob Best.

12 ROBERT BEST: Yes. So, regarding the
13 long-term issue, I don't think any of us like
14 about-ism. But it's important for us to remember
15 that long-term follow-up is an issue for all of
16 the conditions.

17 I think it's fortunate that in GAMT
18 endorsement, the Secretary asked for an update in
19 five years. And I expect that that will be the
20 case in the future. So, I think status quo is not
21 just an acceptable outcome, anyway.

22 NED CALONGE: Thank you.

1 I want to first thank Margie for
2 being willing to be part of this question-
3 answering team. I appreciate you stepping into
4 that role.

5 I again thank Alex and Lisa, and the
6 entire ERG team. I want to thank the expert
7 advisory committee, which I know provided great
8 information to help guide the ERG through the
9 evidence review.

10 I think people understand this is and
11 was an extraordinarily complex evidence review
12 based on what I would firstly characterize as an
13 evolving evidence base. We have to, as a
14 Committee, make decisions based on evidence that
15 we have. And I appreciate the presentation,
16 because I think Alex and team, you've given us
17 information we can use to move forward in
18 decision-making.

19 So, what we're going to do is take a
20 break for lunch. After lunch, at 1:30 Eastern
21 Time, Shawn McCandless and Jennifer Kwon from the
22 Committee will do the presentation on the

1 Committee report, after which we'll have
2 discussion and vote.

3 Let me just check with my colleagues
4 at HRSA to make sure there aren't any other
5 announcements I need to make.

6 (Pause)

7 LETICIA MANNING: No. I don't think
8 there's any additional announcements.

9 NED CALONGE: So, we have a little
10 bit more than 45 minutes. We will start promptly
11 at 1:30 Eastern Time. And I hope you all take the
12 advantage to get up, move a little bit, have a
13 little something to eat. And we'll see you back
14 soon. Thanks.

15 **LUNCH**

16 (Whereupon, a lunch recess was taken,
17 to reconvene at 1:30 p.m. Eastern Standard Time.)

18 NED CALONGE: So, just to get us
19 started and remind folks, when we have a full
20 evidence review, I select two Committee members
21 and ask them to serve as liaisons to the review
22 group. And they're tasked with presenting a

1 summary of the evidence review and formulating a
2 recommendation for the condition rating. They
3 will also help me in leading the Committee
4 discussion.

5 Just a reminder that the Committee
6 assesses the magnitude of net benefits and the
7 certainty of the evidence around net benefits. We
8 also look, as Alex talked about, at state public
9 health programs, readiness, and feasibility.

10 And with those elements, we use an
11 evidence-to-decision feedback that we call the
12 matrix and adhere to the principles of evidence-
13 based and make sure that we pay most attention to
14 outcomes that accrue to the person being screened.

15 And we'll show the major decision
16 moving forward. The A ratings are high certainty
17 that adoption will lead to significant benefit.
18 And then the Bs are moderate certainty of
19 significant net benefit or high certainty -- I'm
20 sorry. Moderate certainty of significant benefit.
21 And below that are all of the lower ratings.

22 And then we use the matrix to help

1 our discussion and to inform our final vote.

2 I'm just going to remind
3 organizational representatives that unless I
4 direct otherwise, deliberation for the
5 presentation is for Committee members.

6 So, with that, I'd like to turn
7 things over to Shawn McCandless and Jennifer Kwon.

8 **COMMITTEE REPORT: NEWBORN SCREENING FOR KRABBE**
9 **DISEASE**

10 JENNIFER KWON: Okay, great.

11 So, Shawn and I decided to go
12 alphabetically on this by both first name and last
13 name.

14 So, liaisons to the Evidence Review
15 Group, Shawn and I will summarize and distill what
16 we thought were the important points from the
17 previous talks and the final report, or at least
18 the version of the final report in the briefing
19 book, that we hope will help our fellow Committee
20 members reach a decision about adding Krabbe
21 disease to the Recommended Uniform Screening
22 Panel.

1 Next slide.

2 (Slide)

3 JENNIFER KWON: As Ned said at the
4 start of the meeting and he just repeated just
5 now, our decision about Krabbe disease newborn
6 screening needs to address the following:
7 understanding the level of certainty of net
8 benefits of newborn screening for identification
9 of infants affected by Krabbe disease. We also
10 are looking at the states' readiness to implement
11 newborn screening for Krabbe disease.

12 And then finally, the feasibility of
13 Krabbe disease newborn screening with feasibility
14 encompassing those other features that bring
15 together high throughput screening procedures that
16 are completed by the public health laboratories,
17 then having a clear approach to diagnostic
18 confirmation.

19 And then having an acceptable
20 treatment plan with an established approach to
21 long-term follow-up to those who don't need early
22 treatment, but who are still at risk for disease.

1 Next slide.

2 (Slide)

3 JENNIFER KWON: So, this is the
4 decision matrix itself. And the three components
5 I just described are represented in graphical
6 view. The criteria, let's say grades A1 through
7 A4 on down, are based on the net benefit and the
8 certainty of that benefit, as well as on the
9 readiness of laboratories. But as you can see,
10 feasibility is still an important component.

11 And we'll come back to the matrix
12 later, but let's first have a quick re-review of
13 Krabbe disease and the rationale for Krabbe
14 disease newborn screening.

15 (Slide)

16 JENNIFER KWON: Thanks.

17 So, as you know, Krabbe disease is an
18 autosomal recessive disorder due to deficiency of
19 GALC enzyme activity which leads to early injury
20 to myelin and brain cells. Neurodegeneration is
21 the hallmark of the disease with earlier age of
22 onset associated with earlier mortality.

1 And the literature showing that onset
2 at zero to six months is associated with a with
3 median age of death by two years. And onset from
4 six months to three years is associated with death
5 during childhood. We've also heard of the
6 significant disability of children with untreated
7 Krabbe disease and of the burdens of their
8 families there.

9 Next slide, please.

10 (Slide)

11 JENNIFER KWON: Thank you.

12 The path of physiology of Krabbe
13 disease is linked to psychosine. Psychosine
14 accumulates when GALC enzyme is deficient, causing
15 injury to myeline-producing cells and irreversible
16 damage to brain cells, or neurons.

17 Furthermore, recent work has shown
18 that psychosine levels correlate with disease
19 severity, being higher in those with infantile
20 onset disease. Those with infantile Krabbe
21 disease may appear normal at birth and within
22 weeks develop the challenging symptoms that we

1 heard about, including poor feeding, loss of head
2 control, loss of normal responsiveness, stiffness,
3 and abnormal reflexes.

4 MRIs can show abnormal white matter
5 findings and brain morphology, and peripheral
6 nerve electrophysiology is abnormal.

7 So, how do we detect this devastating
8 disease before the onset of symptoms?

9 Next slide.

10 (Slide)

11 JENNIFER KWON: States which have
12 been screening for Krabbe disease use low GALC
13 enzyme activity from dried blood spots as their
14 first tier. But as you've heard, screening by
15 GACL activity alone leads to unacceptably high
16 call-out rates. So, if your target is infantile
17 Krabbe disease, then the false positive rate is
18 unacceptably high.

19 Most states reduce referral rates and
20 false positive screening results using psychosine
21 from dried blood spots and/or GALC molecular
22 testing from dried blood spots. That is, Dieter

1 Matern pointed out in his public statement GALC
2 molecular testing is understood to be -- is
3 challenging because of the number of variants
4 whose pathogenicity is poorly understood.

5 This is why he and the nominators of
6 this condition have recommended that Krabbe
7 disease newborn screening include psychosine as a
8 second-tier test to reduce the number of referrals
9 and the false positive rate.

10 Confirmatory testing to more
11 definitively diagnose Krabbe disease then includes
12 GALC enzyme activity, psychosine levels, and GALC
13 molecular testing. Decisions about treatment may
14 also require additional testing and consultations.

15 Next slide.

16 (Slide)

17 JENNIFER KWON: The treatment for
18 Krabbe disease is hematopoietic stem cell
19 transplantation. And this is only recommended for
20 those without significant symptoms of disease.
21 And I should say it's only recommended in the
22 setting of early infantile Krabbe disease if you

1 don't show significant symptoms of disease.

2 We have heard about individuals with
3 later onset Krabbe disease who actually may have
4 some early symptoms, who are still successfully
5 transplanted and seem to have fairly good
6 outcomes.

7 Based on what we've heard about stem
8 cell transplantation in infantile Krabbe disease,
9 it may be a surprise that the evidence base about
10 the efficacy of stem cell transplantation is so
11 challenging to interpret. What we have been asked
12 to evaluate as a Committee is the evidence of
13 efficacy of stem cell transplantation, especially
14 in those identified by newborn screening.

15 As Shawn has said, we want to compare
16 apples to apples. There are data on the efficacy
17 of transplantation in cases that have been
18 identified through family history, and while we
19 want to keep those cases in mind, we want to focus
20 on the evidence for infants identified by newborn
21 screening.

22 Equally concerning were the

1 particular stem cell transplantation for very
2 young infants. Centers that are very experienced
3 with Krabbe disease transplantation still report
4 about a 10 percent morbidity and mortality rate.

5 Therefore, as a community of newborn
6 screeners and medical professionals, we do
7 understand and support those families who refuse
8 treatment even though their infants are eligible.
9 Because we understand that that risk is part of
10 the reason, and the variability of the outcome may
11 be another part of the reason.

12 So, with treatment, the most severe
13 cases -- that is, the early onset cases -- are
14 likely to live longer. But the evidence shows
15 variable neurologic disability.

16 As I have said before and as others
17 have noted, we are learning that later onset cases
18 may have greater benefit from stem cell
19 transplantation in terms of prolonged life and
20 improved quality of life. But still the data are
21 lacking to say this with confidence.

22 Next slide.

1 (Slide)

2 JENNIFER KWON: So, as Committee
3 members, we need to decide on the net benefit and
4 the certainty with which this benefit can be
5 assessed. The peer-reviewed evidence base
6 contained several case reports and case series,
7 but the data are still challenging to interpret
8 with confidence.

9 Therefore, much of the assessment of
10 the value of newborn screening understandably
11 relies on expert opinions. Overall, there is
12 evidence to show that newborn screening and early
13 treatment benefit those with early onset of
14 disease by improving survival.

15 And we have appreciated the views of
16 advocacy groups and families, and appreciated them
17 sharing their experiences and their strong
18 feelings about the value of treatment.

19 It is possible too that later onset
20 cases, while less common, may have more
21 significant benefit from stem cell
22 transplantation. But as we have said, the data

1 are limited.

2 Next slide, please.

3 (Slide)

4 JENNIFER KWON: So, here are the
5 modelling results shown by Lisa Prosser, which
6 show that projected annual numbers with U.S.-wide
7 KD newborn screening.

8 I just wanted to highlight the number
9 of infants likely to be referred to early
10 treatment. That would be approximately 15 a year
11 across the U.S. and the number who would need
12 ongoing follow-up. That would be about 55, with
13 22 of them needing what we would call closer
14 follow-up.

15 Now, before I pass the mic on to
16 Shawn, I guess I wanted to add one thing, which is
17 that even though, as others have said -- Michele
18 Caggana, Scott Shone, and Dr. Best -- that follow-
19 up of indeterminate diagnoses is part of the
20 business of newborn screening, I will say that as
21 a neurologist, as a child neurologist who follows
22 children who have been diagnosed with XALD or

1 Krabbe disease by newborn screening, I think that
2 those who need this type of ongoing follow-up and
3 surveillance also represent a harm.

4 Yes, they exist, and yes, we need to
5 care for them, and yes, we need to counsel them.

6 But these families are affected by newborn
7 screening in ways that are not positive. And so,
8 they should be considered more as a net harm than
9 a net benefit, in my opinion.

10 Anyway, Shawn, I'll give it over to
11 you. Thank you.

12 SHAWN McCANDLESS: Thank you,
13 Jennifer.

14 I want to echo what others have said,
15 and you can go ahead and advance the slide,
16 please.

17 (Slide)

18 SHAWN McCANDLESS: That we greatly
19 appreciate all of the comments that we've heard
20 from families, from experts, from our colleagues
21 in the ranks of the organizations representatives.

22 As we think about the benefit to

1 affected infants and children, we thought it would
2 be valuable to summarize. You can see on the left
3 the slide that Lisa Prosser presented earlier that
4 approximately 15 patients per year will be
5 referred for evaluation for hematopoietic stem
6 cell transplant. And of those, about 13 will
7 receive the transplant.

8 A very small number of those, but a
9 significant number, will die from complications of
10 the treatment within the first 100 days. All
11 others would be alive at 2.5 years.

12 This assessment was not able to take
13 quality of life into account. But there is no
14 reason to minimize the opinions of the parents
15 that we have heard from that, regardless of
16 residual disability, their quality of life is
17 better as a result of the treatment.

18 So, I think that we believe that it's
19 a reasonable assumption to assume that the quality
20 of life is better for these children, but we don't
21 have any way to measure the magnitude of that.

22 And then an additional 22 would be

1 identified as high risk for Krabbe disease and
2 require the very close clinical follow-up. And an
3 additional group would be requiring the lower-risk
4 follow-up, which still is recommended currently,
5 twice yearly follow-up visits, neurologic exams,
6 discussion of the potential to develop symptoms,
7 as well as MRI scans that would require general
8 anesthesia every few years.

9 So, when one looks at these data,
10 steps back to look at the data, for infants with
11 expected onset of symptoms before six months, the
12 current data suggest about 80 percent would
13 benefit from therapy. And that benefit is in a
14 longer lifespan and regardless of residual
15 disability.

16 It is also not clear in the long-term
17 whether children that undergo therapy are stable
18 forever, or if they will have deterioration later
19 in childhood or later in adolescent or adult life.

20 Twenty percent of those children that
21 would be expected to have onset of symptoms before
22 six months would not benefit from the treatment,

1 and in fact half of them would actually have a
2 significant harm of the early diagnosis, in that
3 they would die as a result of the transplant.

4 And 10 percent of families have
5 indicated -- 10 percent of families, or half of
6 those who would not benefit, have indicated that
7 they would not opt for the transplant. I'm not
8 sure that it's fair to say that they would not
9 benefit from screening, but they would not have
10 benefited from the treatment option.

11 An additional three to four times as
12 many patients would not be diagnosed with early
13 onset Krabbe disease and would enter follow-up
14 protocols, either a high-risk or low-risk
15 protocol. The risk of eventually developing
16 symptoms and undergoing transplant is not clear
17 from the data for those patients, nor is there
18 evidence regarding the impact of that on quality
19 of life for the families.

20 Some of those children, perhaps many,
21 will likely benefit from newborn screening because
22 they will eventually be having an earlier

1 diagnosis of Krabbe disease and be amenable to
2 whatever treatments are available at the time for
3 that condition.

4 But as I said, we don't have any data
5 about the effects of the repeated clinical visits
6 and the concerns about a very dire diagnosis for
7 those families with indeterminate status.

8 May I have the next slide, please?

9 (Slide)

10 SHAWN McCANDLESS: So, we believe
11 that reasonable assertions, based on this very
12 challenging data regarding early onset cases at a
13 very high level, are that we can expect increased
14 lifespan, more achievement of developmental
15 milestones in those patients identified by newborn
16 screening than those identified clinically,
17 although some will have substantial disability.

18 And that there is treatment-
19 associated mortality. So, there will be a small
20 proportion of patients who would die as a result
21 of the therapy.

22 May I have the next slide, please?

1 (Slide)

2 SHAWN McCANDLESS: The potential
3 harms are difficult to assess because of the lack
4 of data. And the lack of data should not be
5 assumed to mean that they don't exist, but just
6 means that they haven't been evaluated carefully.
7 And there are many reasons for that that we don't
8 need to go into here. I think most of them are
9 obvious.

10 But that does not mean -- the fact
11 that the data don't exist doesn't mean that there
12 are not harms. And those of us who have worked in
13 states and provided follow-up after newborn
14 screening for Krabbe disease are well aware of the
15 toll that this makes on families that either have
16 an indeterminate diagnosis and need to do follow-
17 up, or even those that have a false positive
18 result and are eventually reassured that their
19 child does not have the disorder.

20 The potential harms of NBS include
21 the harm that we've already discussed, premature
22 death as the result of the therapy, hematopoietic

1 stem cell transplant. We think that there is
2 significant risk from false positive results and
3 especially if there is not some sort of reflex
4 testing for psychosine.

5 And I would just ask that, regardless
6 of how you vote for this nomination that, if this
7 goes forward, it must, must include reflex testing
8 for psychosine before results are called to the
9 family to minimize the impact of the false
10 positive and the pseudo-deficiency allele.

11 The compressed timeframe for this
12 complex diagnostic process that follows an
13 abnormal screen and planning an initiation of
14 therapy in our opinion increases the risk that
15 errors will be made and increases the risk that a
16 child who does not actually need to be
17 transplanted will be transplanted.

18 Or, alternatively, that a child that
19 really might benefit from a transplant will not
20 receive a transplant because of uncertainty about
21 the follow-up data. We think that this is likely
22 to improve with time and as processes improve.

1 But it will never go completely away because it's
2 a very tight timeline for initiating therapy.

3 There is one report of a false
4 negative result, or at least it's presumed to be
5 false negative, although as you heard from Dr.
6 Kemper, there is some question of whether there
7 was another unidentified diagnosis in that infant.
8 And that the cause of death may not have been
9 Krabbe disease; it is not at all clear.

10 But at least as reported, it was
11 suggested that the child did have Krabbe disease
12 and the psychosine was not a reliable indicator of
13 disease or disease progression in that case. I
14 think it's important that we not over-emphasize
15 that, but we do need to recognize that that
16 happened and that that is a potential risk.

17 Probably the largest potential harm,
18 in our opinion, is the potential for psychological
19 and financial burdens for families after false
20 positive indeterminate diagnostic testing. With
21 indeterminate diagnostic testing being more
22 burdensome and the more prominent outcome,

1 particularly because of and for those that require
2 ongoing follow-up.

3 There's costs associated with that,
4 including time lost from work. There is almost
5 certainly lower quality of life for the family as
6 a result of anxiety and stress associated with
7 that. And the fact that there are a number of
8 patients who are lost to follow-up during that
9 process indicates that there is at least some
10 parental dissatisfaction with the process.

11 Again, we need to be careful not to
12 read too much into that because we don't have more
13 direct data. But it at least raises a serious
14 concern that there is parental dissatisfaction
15 with the process.

16 May I have the next slide, please?

17 (Slide)

18 SHAWN McCANDLESS: So, if you have
19 been to one of these meetings in the last few
20 years, you've seen this slide before.

21 And this is just to remind ourselves
22 that the decision matrix criterion, or this

1 decision, is the net benefit. It's the balance of
2 benefit minus harm in the population screened, not
3 just in patients that are affected, not just in
4 patients that have false positive results, but in
5 the entire population screened.

6 May I have the next slide, please?

7 (Slide)

8 SHAWN McCANDLESS: Regardless of what
9 state you live in, it is appropriate to recognize
10 that the benefit and the harm accrue to different
11 individuals in the population and that it is
12 reasonable to ask whether different groups will be
13 affected differently by benefits or harms related
14 to this screening program.

15 Is there any reason to think that
16 there would be inequities in the population of
17 individuals who encounter the harms of the
18 screening program versus the population that
19 encounter the benefits of the program? And is
20 there a reasonable justification for that inequity
21 if it exists?

22 And I'm not saying that it does

1 because I don't know. We don't have those data.
2 But I think that this is a question that this
3 Committee should always take into consideration.
4 It is: Is what we're providing equitable? And is
5 it just?

6 May I have the next slide, please?

7 (Slide)

8 SHAWN McCANDLESS: So, is there a
9 significant net benefit, benefit-less-harm, for
10 compulsory, population-based Krabbe disease
11 newborn screening? The benefits can be summarized
12 as moderate to significant benefit to most, but
13 not all, confirmed infantile onset cases.

14 And I say "not all" because some
15 families elect to not undergo the treatment. And
16 if they receive benefit, it's of a different
17 measure than how we would measure the benefit in
18 children undergoing the hematopoietic stem cell
19 transplant.

20 And we see a potential benefit for
21 uncertain diagnosis in later onset cases. And it
22 is possible, although we do not have data to show

1 this, but it is possible that that group would
2 actually have the greatest benefit from newborn
3 screening.

4 To summarize -- the harms, there's
5 treatment-related mortality. There's a small
6 potential for misclassification of disease onset,
7 leading to inappropriate treatment. And I reflect
8 on a statement that was made earlier. The
9 question is not, "Are all the cases that were
10 reported in the literature having had stem cell
11 transplants, do they all have Krabbe disease?"
12 There's no question about that.

13 The question is, "In all of those
14 cases where there's not objective data presented,
15 how can we assess whether they actually have a
16 later onset form of the disease or not?" And it's
17 not that anyone doubts the sincerity or the
18 evidence that was in front of the people who
19 published those results.

20 But when we're looking for evidence-
21 based review, it is helpful and important that we
22 look objectively at those patients that clearly

1 and objectively would have early onset disease.
2 And what were their outcomes? And the total
3 numbers that meet those criteria are much smaller.
4 So, we have a smaller evidence base on which to
5 make the decision.

6 Another potential harm is that there
7 is a relatively high number of individuals that
8 cannot be clearly classified early on. And if one
9 looks at the high-risk group, that's about the
10 same or slightly more than patients that can be
11 clearly identified as having early onset.

12 If you include the low-risk patients
13 that still have families living with the fear and
14 expectation that their child may have a terrible
15 disease, that's as much as four times as high as
16 the size or as large as the size of the group that
17 have clearcut early onset diagnosis.

18 There are, we believe, potential
19 harms from these uncertain diagnosis and later
20 onset cases, as well as potential benefits. And
21 the other concern is whether in all cases the
22 diagnosis before symptom onset is possible with

1 current follow-up protocol.

2 May I have the next slide, please?

3 (Slide)

4 SHAWN McCANDLESS: So, to put this in
5 the framework of the matrix, as you've already
6 heard, we're looking for -- the matrix limits us
7 to making a determination of certainty and the
8 magnitude of significance of the net benefit.

9 May I have the next slide, please?

10 (Slide)

11 SHAWN McCANDLESS: As we think about
12 this, newborn screening for Krabbe disease does
13 have an evidence of some positive net benefit.
14 But the potential harms, as we discussed, are
15 quite significant as well. And that tends to
16 dilute the benefit, the positive benefit. I guess
17 "positive benefit" is saying the same thing twice.

18 The magnitude of the potential harms
19 appears to be relatively large compared to the
20 magnitude of the potential benefit, which does
21 tend to temper the net benefit assessment.

22 Regarding readiness to enact

1 screening for Krabbe, it appears to us from the
2 data that were presented that developmental
3 readiness is there. Most states should be looking
4 to implement this in two to three years with a few
5 outliers due to funding or local response times
6 due to backlogs of other new conditions to be
7 added or other issues.

8 It has been said that states will
9 obviously use psychosine as a second-tier or
10 reflex text. But we don't see evidence that
11 confirms that for sure, and we know that there are
12 currently two states that have opted not to do
13 that. So, it is unclear if all states would
14 incorporate psychosine testing.

15 It's also unclear, at least to me,
16 whether this Committee is in a position to force
17 that or include that in the recommendation. But I
18 certainly think if we do recommend Krabbe disease,
19 it must be included in the recommendation.

20 We think there is limited evidence to
21 address feasibility of screening, and screening,
22 testing, and treatment follow-through. There

1 certainly have been concerns raised that screening
2 should not be a problem. It does appear that most
3 states would be able to implement screening.

4 The complexity of the diagnostic
5 process that follows the positive screen, or the
6 high-risk screen, is less clear. It is very
7 complex, and it will be different in every state,
8 the follow-up measures. Others have made the
9 comment that that is of lower concern, because
10 until there is pressure to do it, many states will
11 not build the structures that they need. And
12 that's very true.

13 The other concern that we have is the
14 process of diagnosing and treating infantile
15 Krabbe within four to six weeks will be
16 challenging. And there are potentials for errors
17 and delays unless the programs tightly coordinate
18 call-out diagnostic testing and referral. And
19 even then, we think that the tight timeline has
20 the potential to create errors.

21 Hopefully, we have a medical system
22 that is able to have multiple checkpoints to avoid

1 errors for something as significant as a
2 hematopoietic stem cell transplant. But as we
3 know, in every medical system, regardless of how
4 sophisticated the error prevention approaches are,
5 there will be things that fall through the cracks
6 and errors are likely to occur. And that's true
7 regardless of whether screening occurs or not.

8 So, feasibility of screening has
9 multiple components, and we think that the
10 screening itself is highly feasible. We do have
11 concerns about readiness for follow-up in some
12 states.

13 May I have the next slide, please?

14 (Slide)

15 SHAWN McCANDLESS: So, just to state
16 clearly, in terms of readiness we think there is
17 developmental readiness. Feasibility is probably
18 moderate to questionably low in some states for
19 follow-up.

20 But the big issue is the significant
21 benefit. And it is our assessment that the
22 overall benefit less the harms is modest in this,

1 based on the data that are available to us today.
2 And the certainty of that is again moderate. We
3 think that the data are, while there's lots of
4 data, they're just very difficult to interpret.

5 This is not to imply that we doubt
6 the veracity of any of the reports or the
7 integrity of any of the reports that are out
8 there. It's just that, as one of the speakers
9 earlier today alluded to, this field is changing
10 and improving rapidly. It's hard to compare old
11 data to new data because of the changes that are
12 occurring.

13 But overall, it is our assessment
14 that the net benefit, the benefit less the harms,
15 is modest in this situation.

16 May I have the next slide, please?

17 (Slide)

18 SHAWN McCANDLESS: The next slide,
19 please. Oh, I'm sorry.

20 So, we do rate this as a category --
21 we would say that the best fit under decision
22 matrix is category C1. We think that the ability

1 for screening programs to enact screening is ready
2 and that there's moderate to low evidence of
3 feasibility -- moderate evidence of feasibility
4 for screening, and low to moderate for readiness
5 for treatment.

6 May I have the next slide, please?

7 (Slide)

8 SHAWN McCANDLESS: So, our
9 recommendation is that at this time Krabbe disease
10 does not meet the threshold for addition to the
11 Recommended Uniform Screening Panel as a core
12 condition.

13 May I have the next slide, please?

14 That may be the end. Yeah.

15 So, that is our assessment of the
16 data. I would like to make a few
17 acknowledgements, that we appreciate all of the
18 comments that were made earlier today, and we
19 truly appreciate the families who have so clearly
20 and strongly expressed their desire for screening
21 to happen.

22 Our charge is to make a

1 recommendation regarding population-based
2 compulsory newborn screening based on evidence of
3 net benefit and feasibility of testing.

4 The fact that this recommendation
5 will be disappointing to parents and to advocates,
6 or this nomination is not intended to minimize and
7 should certainly not be characterized as
8 minimizing the impact of the condition on affected
9 children and families, nor does it imply that
10 treatment is not beneficial.

11 You've heard the rationale for the
12 recommendation. These are -- everyone on this
13 Committee is moved by the stories of the families
14 that we've heard. There is just no other way to
15 say that. We're moved by it. But we need to act
16 based on the totality of the evidence presented to
17 us.

18 The last thing I want to say is that
19 this should not be interpreted as brushing off the
20 concerns of the families or not attending to the
21 concerns of families, nor should it be interpreted
22 as in any way disregarding the value of your

1 children living longer with or without
2 disabilities. We hear you, and we value their
3 lives. I hope we value their lives as much as you
4 do.

5 But our charge is to the entire
6 population of the country, the 3.65 million
7 newborns a year, and we have to take into account
8 all of the evidence and all of the concerns and
9 consider all of those infants when we make a
10 decision.

11 So, finally, and I don't want to
12 belabor the point, but as you can see, this is a
13 balance like the picture of the rock, the
14 balancing rocks I showed. And unfortunately, we
15 don't have objective measurements for how to
16 weight each of these components. So, each person
17 on the Committee will have to make that decision
18 for themselves. How do you weigh the various
19 pieces of evidence that we've heard?

20 Dr. Kwon and I have given you a
21 recommendation based on how we perceive and weigh
22 the evidence. But each of you will need to use

1 your own values and way of thinking about the data
2 to come to some conclusion about where that
3 balance lies for you.

4 So, thank you for giving us the
5 opportunity to be involved with this. I would
6 like to say a big thank-you to the evidence review
7 group. Their work was incredible on this. The
8 data -- we looked at all these papers. We
9 reviewed the data. We listened in on the
10 meetings.

11 It was an incredible amount of work,
12 and it was a heroic effort to summarize it and
13 distill the evidence into the presentation that
14 you heard today.

15 Thank you.

16 NED CALONGE: Thank you, Shawn.

17 Thank you, Jennifer.

18 **COMMITTEE DISCUSSION AND VOTE**

19 NED CALONGE: Now at this point I
20 would like to open the floor for questions,
21 discussion, comments from Committee members. I
22 will ask you to use the raise hand feature in

1 Zoom. And when you speak, please unmute yourself
2 and state your first and last name.

3 And with that, I would like to
4 recognize Jannine Cody.

5 JANNINE CODY: Yeah. First I
6 apologize. I briefly lost the connection twice.

7 So, I have a comment and a question -
8 - I guess two questions.

9 I don't understand about putting the
10 risk of treatment into the harms. Because
11 treatment is a choice; it's not a mandate. So,
12 that's not really part of the mandated aspect of
13 this because the parents will be faced with a
14 discussion about the risks and benefits, and then
15 make their own choice. So, that part I didn't
16 really understand.

17 And also, because I'm new to this and
18 this is what I may have missed. In the report,
19 and it said the cost was two to seven dollars.
20 And I have no reference for that. I don't know if
21 other new things that are added are 50 cents or if
22 they're 50 dollars. So, I don't know where that

1 amount -- I don't know how to judge that amount.

2 Thank you.

3 SHAWN McCANDLESS: I'll take first
4 pass, Jennifer, unless you want to.

5 JENNIFER KWON: Go ahead, go ahead.

6 SHAWN McCANDLESS: First off, Ms.
7 Cody, thank you. I think your first comment is
8 well taken that the risk of the transplant is a
9 risk of treatment, not necessarily a risk of
10 newborn screening. I think that the intention of
11 including it in that way was thinking about it in
12 terms of the overall outcomes of the screening
13 program.

14 And I think it is hard to avoid the
15 conclusion that those patients would not have had
16 -- if they were not diagnosed, it would have had a
17 premature death, but it would not have been as
18 early as it was because of the transplant. But
19 your point is very well taken, and I appreciate
20 it.

21 The second around the cost. It's
22 often difficult because there are up-front costs

1 in many newborn screening labs. If you have to
2 buy a new mass spectrometer, then there's an up-
3 front cost that's quite significant.

4 But I think that the two to seven
5 dollars is generally assumed to be the cost
6 related to the additional reagents, technician
7 time, the actual cost once the equipment is in
8 place of doing the testing. And it's quite
9 variable and hard to -- I think those numbers are
10 sort of hard to pin down.

11 Typically for newborn screening, from
12 what I've seen in the past and from literature
13 that's been published, it's quite variable. It
14 depends anywhere from less than a dollar per
15 sample tested to ten or fifteen dollars per sample
16 tested. And it really just depends on the
17 assessment.

18 But typically -- and again this is my
19 opinion and my observation of what's been
20 described. Typically a few dollars per sample or
21 less is tolerable. And as numbers get higher for
22 the cost of each sample to be dealt with, it

1 becomes more and more challenging for newborn
2 screening programs to address that.

3 There are a lot of complex reasons
4 for that, including the fact that most newborn
5 screening labs are not in a position to increase
6 the cost for their revenue stream without either
7 legislative or regulatory action in their state.

8 There are others who may be more able
9 to comment about that.

10 (Crosstalk)

11 NED CALONGE: I'm sorry. Go ahead,
12 Jennifer. Please go ahead.

13 JENNIFER KWON: I just had one
14 comment about the treatment. And I agree; there
15 probably are better people to comment on the cost
16 of adding a test, which is an excellent question.

17 I think, Jannine, if that's okay if I
18 call you Jannine, that treatments are different in
19 different newborn screening disorders. And I
20 think what we wanted to emphasize is that all
21 treatments do have risks. But stem cell
22 transplantation, the risks of stem cell

1 transplantation are somewhat different as opposed
2 to treatments that have risks that are often
3 smaller. They're often not quite as serious.

4 And many of the newborn screening
5 disorders I feel have treatments that may not be
6 that helpful, but are not necessarily overtly
7 harmful. And I think that was part of what we
8 wanted to bring out, just the sort of difference
9 there. Thank you.

10 NED CALONGE: I did want to just say
11 that in other screening evidence-based framework,
12 like USPSTF, which deals for, again, screening
13 tests for infants, children, adolescents, adults.
14 But in pregnant women, the harms of treatment are
15 included in the harm. Because without the
16 screening, there wouldn't have been the treatment.

17 Not that that needs to inform our
18 therapy. But I would want to point out that in
19 other frameworks, that is common.

20 I have not been involved with the
21 cost of newborn screening for about 11 years. But
22 when I left, the state health department in

1 Colorado, seven dollars was the entire cost of
2 newborn screening. If we kind of inflate that to
3 nine dollars over the 11 years, this would be a
4 significant increase that needs to be passed on in
5 our state.

6 So, I don't know if that helps at
7 all, but so that's one state. Other states it
8 will be completely different.

9 Thanks, Jannine.

10 Jane.

11 (No audible response)

12 JENNIFER KWON: Muted.

13 NED CALONGE: Jane, are you having
14 microphone trouble?

15 JANE DeLUCA: How about now?

16 NED CALONGE: Yes.

17 (Inaudible conversation)

18 JANE DeLUCA: Thank you. Just takes
19 a few tries.

20 Thank you, Ned, and thank you,
21 Jennifer and Shawn, for your presentation. And I
22 want to especially thank the evidence-based group

1 for their very hard work.

2 I have two questions. So, I might be
3 frozen, but you can still hear me, correct?

4 NED CALONGE: That is correct.

5 JANE DeLUCA: So, there were other
6 tests that were performed after a baby has been
7 identified, such as MRI and an LP. And I wanted
8 to know if you could speak to which of those
9 actually gives the most value or it's efficacious
10 in terms of diagnostics for Krabbe disease.

11 And my second question is, do you
12 know about the states that are actually in waiting
13 right now to rule out Krabbe screening? And I was
14 curious about that.

15 JENNIFER KWON: So, I'm happy to -- I
16 don't know about the states that are ready to rule
17 out. So, if somebody else -- if Shawn wanted to
18 address that? Okay.

19 And then in terms of what testing is
20 the most helpful, I think that neonatal LPs and
21 MRIs can be very difficult to interpret. And so,
22 one process to consider as a best practice perhaps

1 for early infantile Krabbe disease would be to
2 identify a transplant center and a transplant
3 specialist that you are comfortable with and
4 trust, whose judgment you trust. Because you will
5 not be able to interpret either testing on your
6 own.

7 And ultimately, much of that testing
8 and the value of that testing in determining
9 whether or not a baby needs transplant will be in
10 the domain of the transplanter.

11 And so, I think that my own bias is
12 that a neurologist like me, who is very good at
13 interpreting MRI and LPs and neurophysiology,
14 probably isn't the best person to use those pieces
15 of information to determine whether or not a child
16 really needs transplant.

17 And I think that's part of the
18 challenge with this disorder. We're not just
19 saying, "Oh, now you need treatment. Here's the
20 person to treat you."

21 We're saying, "Okay. Your
22 biochemical testing is concerning. Your

1 psychosine level is high. And I know that the
2 testing that I can order is not going to be that
3 helpful. So, I'm going to confer with somebody I
4 trust whose judgment I trust and look through the
5 testing that needs to be done."

6 I hope that's helpful.

7 JANE DeLUCA: Thank you, Jennifer.

8 And can I ask the other question to
9 the group, Ned? Is that appropriate?

10 NED CALONGE: Yes. Is there anyone,
11 say Susan, or any organizational member who has
12 the information on the states that are ready for
13 their -- poised, I guess is what they said -- to
14 start screening for Krabbe? If you raise your
15 hand, I can -- yep.

16 (Pause)

17 NED CALONGE: Susan? Thank you.

18 SUSAN TANKSLEY: I'm just raising my
19 hand to say I don't know. I'm sorry. I wasn't on
20 all the calls with APHL to talk to states that are
21 in that, in kind of that waiting stage. I don't
22 know if Scott or Michele may be aware of anyone

1 else.

2 SCOTT SHONE: Thanks, Susan. Scott
3 Shone, ASTHO org rep.

4 So, I will say I don't know a
5 specific list of those who are waiting except
6 we've had presentations for this body on what has
7 been called RUSP alignment legislation. So, there
8 are many states that have laws in place that
9 require initiation of screening for conditions
10 that are on the RUSP within a certain timeframe,
11 between two and four years it seems to be.

12 So, those states, like ours here in
13 North Carolina, would be if you would determine in
14 waiting of the decision of whether or not to go.
15 But that is different from, I think, the question
16 of, Are they waiting for a RUSP decision because
17 of a law or because they were already in process
18 of adding it separately? So, I don't know if I
19 actually added anything; I apologize.

20 JANE DeLUCA: You did. Thank you,
21 Scott.

22 SHAWN McCANDLESS: Jane, how is that

1 -- can you just sort of share with me how that
2 impacts the decision-making around this question?

3 JANE DeLUCA: You know, there are
4 already 10 states that are screening for this.
5 And I guess for me, you know, I was just wondering
6 who else is poised to roll out screening for
7 Krabbe regardless of RUSP? So, that was my
8 thinking.

9 NED CALONGE: Kyle.

10 KYLE BROTHERS: Great. Thank you.

11 I don't know that I have a question.
12 But I just think it's important when we have
13 discussions like this that those who are voting
14 put our thoughts on the record. Because the
15 public deserves to hear that, not just a vote.
16 So, I'm just going to talk a little bit and give
17 you some thoughts.

18 First of all when you think about a
19 follow-up plan, it's based on expert opinion. And
20 there is absolutely no doubt that any plan that
21 involves follow-up, including MRIs, travel hours
22 to a specialty center, et cetera, is going to

1 carry harms.

2 But I think it is incumbent upon
3 those providers and experts who are making
4 recommendations for follow-up plans to recommend a
5 follow-up plan where the benefits at least
6 outweigh the harms. So, if the harms outweigh the
7 benefits for the follow-up plan itself, we need to
8 change the follow-up plan, right? It's a bad
9 plan.

10 So, I'm not saying we need to. I'm
11 just saying if the harms do in fact outweigh the
12 benefits, it needs to be modified.

13 I do very much worry myself about the
14 harms created by uncertainty, living with
15 uncertainty. And I'm deeply troubled by families
16 that receive a false positive through newborn
17 screening and then may live, you know, months to
18 years with the anxiety that something might be
19 wrong, when in fact there's nothing wrong.

20 So, I think it's important to
21 separate out the inherent risk and the
22 uncertainties of information from the harms and

1 benefits of a follow-up plan, which is a
2 manageable kind of harm.

3 I think it's a very good thing, from
4 my perspective as an ethicist, that some parents
5 are choosing not to pursue treatment. Because it
6 implies that families are getting good
7 information, they're engaging in forms of decision
8 making, and that their decision making reflects
9 their values. As we know, families' values differ
10 from one another. So, I think that's a very good
11 thing that that's happening.

12 And I think Shawn did a really nice
13 job of addressing this issue, but I just want to
14 repeat. We know there's good evidence that's
15 probably speaking -- members of the public, health
16 care providers, really the entire population tend
17 to under-value the lives of persons who live with
18 disability in ways that we often ourselves don't
19 recognize.

20 So, I admit to working actively in my
21 life to recognize if I am inadvertently under-
22 valuing the lives of folks who live with

1 disability and trying to straighten out, you know,
2 the way that I think about that. So, perhaps even
3 more important, there's strong evidence that
4 health care providers tend to overestimate the
5 burden that families experience when taking care
6 of a special-needs child.

7 So, again I'm not implying any of
8 that was going on with the report today, because I
9 think Shawn handled that really very nicely.

10 But I just think it's a really
11 important point to keep in mind that there's
12 evidence that hemopoietic stem cell transplant is
13 life-saving, and it leads to the lives of children
14 and families that live with disability. But we
15 know that those lives are better than maybe our
16 knee-jerk reaction would be to assume.

17 So, in any case, that's where my
18 thinking is. In summary, I disagree with the
19 classification of low net benefit. I think it's
20 closer to moderate net benefit simply because I
21 think some of the harms can be managed or should
22 be managed by the health care system.

1 Okay. Thank you so much for the
2 opportunity to talk.

3 NED CALONGE: Thanks, Kyle.
4 Michele.

5 MICHELE CAGGANA: This is Michele
6 Caggana. I'm a member.

7 I'd like to just take up and follow
8 up on what Kyle was saying. When we're talking
9 about Krabbe, it was said many times today that
10 Krabbe is a rare condition. So, we're actually
11 dealing with very small numbers overall over a
12 period of a year or so. I mean, we've been
13 looking at the numbers that were in the evidence
14 review and the number of babies that have been
15 screened.

16 There was a lot of discussion about
17 the lost to follow-up pieces. And most of those
18 were in the places that do not do psychosine as a
19 second-tier test. And one of the states is also
20 in a pilot mode as well.

21 And I believe that the Committee --
22 you can correct me if I'm wrong being a brand-new

1 member. But I believe we can make it such that we
2 ask states to do psychosine as part of the newborn
3 screening before we contact families.

4 This Committee has done something
5 similar when, on the SMA, decision was made saying
6 that we are screening for specific -- you know,
7 being very specific about what we're screening
8 for.

9 And the other thing I'll add is that
10 part of the newborn screening community, that we
11 are definitely a community. And we spend a lot of
12 time with APHL and amongst our individual state
13 leaders in sharing best practices and doing
14 quality improvement.

15 So, that people who have experience
16 with Krabbe screening would be very, very happy to
17 help other states in doing the best screening they
18 can.

19 And in our experience looking at the
20 use of GALC and then psychosine and CLIR, and we
21 also look at a panel of other lysosomal enzymes,
22 the referral rate is quite, quite well overall.

1 And in New York State compared to the early days
2 when we had very few tools to work with.

3 Also, stem cell transplant is used
4 for a lot of conditions, and it's used for the
5 newborn screening conditions. So, you know, I
6 know you can weigh mortality versus benefits. But
7 it's not a unique, new treatment for a condition.

8 The other thing about the harm about
9 inappropriately treating I think is maybe based on
10 either older data or older discussions. Because
11 we have not seen any evidence of that. And
12 treating someone before or too early is also not
13 new to Krabbe.

14 This is often parent-driven.
15 Sometimes parents make a decision to treat too
16 early in the mind of the expert. And in other
17 cases, as we saw, sometimes parents have autonomy
18 and decide not to treat at all. So, whether
19 that's a harm or not I think is somewhat
20 debatable.

21 I'd just say I have a baby who as a
22 child that was talked about with the low

1 psychosine, was referred by the newborn screening
2 program for follow-up. So, it wasn't a false
3 negative uniform screening in our case.

4 The other thing I'll talk about a
5 little bit is equity. Having a care network,
6 having experts who can help people go through the
7 process is truly a benefit, I think, in the
8 medical community and in the newborn screening
9 community.

10 And we also benefited from having
11 that capacity when we began ALD screening. New
12 York began ALD screening first, and we benefited
13 from experts in the field who helped us get
14 started. And so, I think there is a wealth of
15 knowledge with the experts and the Leukodystrophy
16 Care Network now that we would be able to manage
17 newborn screening for Krabbe.

18 So, thank you.

19 NED CALONGE: Jennifer, did you have
20 a response?

21 JENNIFER KWON: I just wanted to say,
22 and I had neglected to bring it up in the liaison

1 talk. And I'm just sorry.

2 The point about stem cell
3 transplantation and Krabbe disease is that even
4 though there are other disorders where we use stem
5 cell transplantation, in SCID, for example, none
6 of the transplant centers in the SCID consortium
7 would consider transplanting an infant under eight
8 weeks of age. And it used to be 12 weeks.

9 And that has to do with -- and again
10 it's a somewhat different situation. But it has
11 to do with the neurologic, the perceived
12 neurologic harms of the conditioning therapy that
13 infants receive.

14 Now, for infants with early infantile
15 Krabbe disease, those harms seem somewhat
16 negligible compared to the impact of the disease.
17 And I do understand that. But the other
18 conditions that have stem cell transplantation,
19 even like SCID where it's done in infants, are not
20 done in infants this young.

21 And in XALD, which is the other
22 example we have or that I can think of that I'm

1 used to -- I know that there are other conditions
2 out there as well -- tend to focus on older
3 children.

4 NED CALONGE: Thanks.

5 Ash.

6 ASHUTOSH LAL: Thank you.

7 My first understanding of the
8 evidence is that it felt like there were two
9 levels of psychosine elevation. And what is
10 probably the thing that's driving it most is
11 newborns will have levels over 10.

12 And that's informing at least my take
13 on the evidence and my decision-making is, What is
14 the benefit of stem cell transplant when somebody
15 is identified with very high psychosine levels, or
16 above the threshold of 10?

17 And what is the harm of missing a
18 potentially treatable disorder? Where things have
19 in some ways become diluted, I think, is the
20 intermediate levels of psychosine, less than 10,
21 more than 2.

22 And patients who likely will become

1 symptomatic later on, in these cases both the
2 benefit of the treatment are missing, the patients
3 who could be treated and missing that opportunity,
4 as well as diagnosing late, I think they are much
5 less intense compared to the former group.

6 But during the discussion of the
7 potential harms, then we considered the harms from
8 the diagnosis potential screening parts that the
9 cases with later onset, along with the infants who
10 really needed to be taken to treatment way early
11 in life.

12 In my view, the degree of
13 benefit/harm in the two groups are a little bit
14 separate. The problem with the second group
15 that's been obvious is the uncertainty because of
16 the tremendous genetic heterogeneity of the
17 disease itself. And I feel that this is something
18 that the medical system will gradually have to
19 come to grips with because this isn't the only
20 example.

21 There are many other examples where
22 pre-symptomatic genetic traits are identified,

1 either based on family history or for some other
2 reason. And we have to understand how to counsel
3 the families in a compassionate way, be realistic,
4 and also modeling what we are learning. And that
5 is something that we as the physicians and
6 caregivers have to figure out.

7 Thank you.

8 NED CALONGE: Thank you.

9 Melissa.

10 MELISSA PARISI: Thank you. Melissa
11 Parisi, NIH.

12 I want to thank all of the presenters
13 today and really acknowledge just how challenging
14 this is. I think if it were easy, we wouldn't be
15 having such heartfelt and difficult conversations
16 and really trying to grapple with the data as they
17 exist, recognizing that perhaps what is available
18 for us in making the decision is not ideal.

19 I think for me what is important to
20 keep in mind and has had an influence as I've been
21 struggling with this decision is the fact that the
22 current screening approach involving psychosine

1 seems to make a significant difference in the
2 number of newborns that are referred for
3 additional evaluation.

4 And in the pre-psychosine era,
5 clearly the creation of patients-in-waiting or
6 individuals, babies that were being followed who
7 may have had really low risk of developing severe
8 manifestations requiring treatment was
9 considerably higher.

10 And that as we consider this
11 nomination, I think we need to ensure that
12 whatever decision is made is made on the
13 presumption that the addition of psychosine would
14 be a requirement for a screening paradigm.

15 Having said that, I think trying to
16 weigh the relative risks and benefits is very
17 hard. And I do think that there is a degree of
18 subjectivity in the face of all of the data that
19 are presented in how one interprets net benefit
20 relative to harm.

21 I think for me, you know, I also
22 think about some of the paradigms that have been

1 presented before to this Committee and decisions
2 that have been made. And there are certainly, as
3 just described, other conditions in which stem
4 cell transplantation has been the modality of
5 treatment.

6 As just discussed very eloquently by
7 Dr. Kwon, SCID and XALD, although the parameters
8 for doing the transplantation are a little bit
9 different in those disorders, it's certainly been
10 the treatment for other conditions that have come
11 before the Committee.

12 And I think also the uncertainty
13 about the long-term outcomes has also been a
14 component as well. You may recall that SMA was
15 voted to add to the RUSP even with somewhat shaky
16 evidence of relative benefit over harm and some
17 uncertainty about long-term outcomes. And, you
18 know, we're still gathering the data.

19 I guess although I wish there were
20 better data, I guess I feel that there will be
21 more data that will come forward. But I wonder if
22 we were to say no to adding Krabbe to the RUSP,

1 what would be the clearcut advice that we would
2 give to the nominators about what would be
3 necessary to consider this more positively for
4 addition?

5 In my mind, it feels to me like I
6 would tend to put this in the category of moderate
7 benefit rather than low net benefit. But that's
8 again my subjective view at this point, and I'd
9 like to hear what other folks think about what
10 would be necessary to move this into that higher
11 category of classification.

12 Thank you.

13 NED CALONGE: Thanks Melissa.

14 And, Gerry, I just want to say that
15 this session and the comment session is for
16 members. And I appreciate you being here.

17 Could I ask if there are other
18 questions from members of the Committee?

19 Chanika.

20 CHANIKA PHORNPHTKUL: Again I just
21 want to thank Melissa and Kyle's comment that I
22 think a good reminder about longer-term follow-up

1 and the subjectivity of the net benefit is
2 something that we really need to think about.

3 You know, certainly I agree with you
4 that we as health care providers tend to over-
5 interpret or misinterpret or interpret incorrectly
6 the burden to the family. And I think that is
7 something that is very hard to be objective.

8 I also agree that if we were to move
9 forward, a more specific testing, psychosine, will
10 have to be part of it. Because the evidence is
11 quite clear.

12 And last but not least, I may again
13 want to really, you know, I really appreciate all
14 the families that have come to this meeting. And
15 we really appreciate your thoughts and inputs.
16 And I just want to -- you know, I think this is
17 why it's such a hard decision for all of us to
18 think through what evidence do we have.

19 And if we were not to move forward,
20 we definitely have to come up with a path forward
21 that is objective. Thank you.

22 NED CALONGE: Thanks, Chanika.

1 I think when I hear phrases like
2 "subjective" I have trouble balancing. That is
3 always translated as a need to an increased level
4 of uncertainty. And so I would just offer that.

5 Jannine.

6 JANNINE CODY: Jannine Cody, member.

7 You know, just to build on that
8 point, it's how you interpret it. And I
9 interpreted the intermediate levels to mean
10 families are told, "You know, we found out your
11 child is at risk. We're going to monitor them.
12 So, if they really just start to have first signs,
13 we're going to be on it like that instead of
14 waiting for them to deteriorate beyond help."

15 And so, to me, being intermediate
16 wasn't such a giant negative. So, it's very
17 subjective in how you view this. So, I appreciate
18 that it sounds like everyone else is having as
19 much, I don't know, anxiety over how to vote as I
20 am. So, I appreciate the discussion very much.

21 Thank you.

22 NED CALONGE: Shawn.

1 SHAWN McCANDLESS: Thanks. Michele,
2 I didn't mean to jump ahead of you in the line. I
3 just want to respond to what Jannine said.

4 The comment about intermediate being
5 a good thing and not that big of a deal, it has
6 not been my experience with other conditions that
7 that is how families perceive it. But I
8 understand that there's room for different
9 experiences and different interpretations of that.
10 But that has not been my personal experience as a
11 clinician of how families interpret that.

12 It's often that those calls have
13 resulted in -- those identifications have resulted
14 in very unhappy families who spend a lot of time
15 on the phone yelling at me for ruining their life.
16 And so, I feel where you're coming from.

17 I also wanted to just respond --
18 first off, I also -- several of you have said this
19 is really hard. And I agree with that completely.
20 I do want to be clear, though, to Kyle's point
21 about valuing life and not weighing sort of the
22 burden of what it means to any individual family

1 to care for a child with special needs. I'm fully
2 onboard with that, and I agree.

3 And I want to be clear that Jennifer
4 and I did not -- we did not take that into any
5 consideration in our recommendation. So, I just
6 want to be really clear about that.

7 For us, the benefit is these kids
8 live longer. Now, how that gets weighed in terms
9 of the balance is up to each person to determine
10 individually. But we see that as the benefit.

11 And that having your baby with you every day,
12 regardless of how much work they are or work
13 they're not, there's value in that for families.

14 So, I want to be really clear about
15 that, that our assessment did not in any way
16 incorporate a value judgment about what it means
17 to a family to live with a child with special
18 needs.

19 NED CALONGE: So, we're about out of
20 time.

21 Michele, I think maybe you have the
22 last comment.

1 Sorry, Dr. Warren.

2 Michele first.

3 MICHELE CAGGANA: Oh, okay.

4 So, with regard to sort of this
5 intermediate assessment, we do -- you know, we
6 have this category and we have -- it's in the
7 consensus guidelines.

8 But states have the ability to set
9 their intermediate or their infantile cutoff for
10 psychosine wherever they deem it good for their
11 population. So, they're still using psychosine,
12 but can make a decision whether or not they want
13 to do infantile-only screening or have these,
14 quote, "patients-in-waiting," that population.

15 And I'll just add that with Pompe
16 disease, we've been screening for that now for
17 many years. And we have a huge proportion of
18 families that are in that boat as well. And so,
19 you know, learning how to deal and deliver that
20 news I suppose is something that we'll continue to
21 get better at.

22 The other thing is that it was

1 mentioned during the presentations earlier today
2 that these kids, the kids who answer that later
3 onset, actually have a higher net benefit than
4 even the infantile cases in some cases. And so,
5 if the discussion is that, you know, we can't
6 deliver that news appropriately or be able to deal
7 with that group of children, we're actually losing
8 some kids who will have the most benefit overall.

9 NED CALONGE: Thank you.

10 Michael.

11 MICHAEL WARREN: Thank you.

12 I just want to share a few thoughts,
13 and I'm comforted to know that others are
14 struggling with this as well. I think sometimes
15 these decisions are very clearcut or presumed to
16 be clearcut, and I appreciate the candor.

17 So, I want to thank the evidence
18 review group and Dr. McCandless and Dr. Kwon for
19 their incredible work and for really trying to
20 better understand where we are, particularly in
21 places where the answers aren't clearcut.

22 I also want to thank all the families

1 who have been engaged. And we've got family
2 members who are on this Advisory Committee. And I
3 think it speaks to the importance both in terms of
4 the process of having public comment, and also
5 having membership on the Committee, of keeping
6 families as an integral part of this conversation.
7 And I think that's been an important value for
8 this Committee, and I appreciate that.

9 Several colleagues have raised this
10 notion that it's important we recognize disability
11 is not the same thing as quality of life and
12 continuing to make sure that we don't conflate
13 that. And I think it can be really easy for all
14 of us -- and I say "us" and include myself in that
15 -- to be ableists. And so, I think to the extent
16 that we can continue to understand those
17 perspectives, it's incredibly important.

18 And we need to do better in
19 understanding the impact of newborn screening on
20 quality of life. And so, for those of us who fund
21 research and fund projects, it's important for us
22 to think about how we advance our knowledge in

1 this space.

2 (Pause)

3 NED CALONGE: Thanks, Michael.

4 There were --

5 (Crosstalk)

6 SHAWN McCANDLESS: I'm sorry to
7 interrupt, Ned.

8 But I think you were still talking,
9 but your sound went off.

10 MICHAEL WARREN: Yeah, sorry. Can
11 you hear me now?

12 SHAWN McCANDLESS: Yes.

13 MICHAEL WARREN: Okay. Sorry about
14 that.

15 So, I think also what I was saying,
16 being sure that we understand the impact on the
17 system overall, we've heard about the availability
18 of providers, availability of treatment. As we
19 think about, you know, if this were to move from
20 10 states to all the states, the question of
21 equity becomes very important.

22 I think one of the things that we

1 often think about is where you live, where you
2 were born, should not influence how long you live
3 and how well you live. And yet we know there are
4 real questions of equity.

5 And lastly, I'll just say as we move
6 forward, we're likely to be continued facing these
7 conditions that are incredibly rare or very rare.
8 And I think it's important for this Committee to
9 continue to think that the question is the
10 framework for our decision making that has served
11 us well for conditions of a certain prevalence,
12 the framework that's the best moving forward.

13 And I'm not saying it is or it isn't.
14 But I think it's important periodically to ask
15 that question. So, thank you all.

16 NED CALONGE: Thanks.

17 I heard two Committee members express
18 a feeling that the net benefit was or might be
19 considered moderate instead of low. And as that
20 has ramifications for where the condition is in
21 the matrix, I think I will take the prerogative
22 for asking for a motion to upgrade the net benefit

1 to moderate.

2 I need a motion in order for us to
3 vote. So, that's why I'm saying it the way I'm
4 saying it. So, we would need a motion and a
5 second to change that.

6 Is it a comment or a motion?

7 SHAWN McCANDLESS: It's a comment.
8 And the comment is that the -- just to be clear
9 and maybe I'm misinterpreting the matrix. The
10 decision matrix is significant benefit versus
11 small-to-zero benefit. Those are the only two
12 choices. The moderate rating is the rates to
13 certainty of the net benefit.

14 Jennifer and I -- I can tell you we
15 struggled with this. And if we could have said
16 "moderate benefit," we would have. So, if that's
17 what we're saying, I'm fully supportive of that.
18 And I don't want to speak for Jennifer. But we
19 struggled with that when we tried to put this onto
20 the matrix.

21 JENNIFER KWON: Yes.

22 NED CALONGE: I appreciate that. Of

1 all the things I didn't have in front of me, it
2 was the matrix. So, I sent a quick message to ask
3 if that would change us from a C1. And with the
4 current matrix, that would not change that and I
5 think points to something that the Committee will
6 want to look at and to think about changing the
7 matrix going forward.

8 Thanks, Kyle. I knew you were ready.

9 KYLE BROTHERS: I want to be clear,
10 though. I'm not opposed to changing the
11 classification to B1. I just think that it's the
12 interpretation of the matrix as it's written is
13 that it's significant benefit versus small-to-zero
14 benefit. Those are the two choices. And that's
15 why in the slide I specifically circled that
16 component on the matrix.

17 If somebody wanted to move to make
18 the recommendation B1 as opposed to C1, I'm not
19 opposed to that. But I just want to be clear what
20 the terms actually say on the matrix.

21 NED CALONGE: Jennifer.

22 JENNIFER KWON: I was going to

1 reiterate that point. But also, in the interest
2 of transparency, I think, as Kyle stated, I think
3 it's important to sort of talk about where we're
4 at.

5 I think that for me the most
6 difficult part of this particular newborn
7 screening program is how people react to the fact
8 that they're told this information. And to me
9 it's one of those programs that really reminds you
10 that this is an unconsented activity. And this is
11 something that we're imposing on families. And
12 certainly, the number of families we're imposing
13 on is lower with psychosine. But it is still an
14 imposition.

15 And I think what I'm hearing from
16 people who care for patients is what I feel as
17 well. I feel like we can work this follow-up into
18 our routines. We can counsel families and give
19 them care through the process.

20 But the program itself, newborn
21 screening itself, is an unconsented tax,
22 basically, that people having babies are paying.

1 And so, I think that that is sort of the
2 background that I haven't heard in these
3 discussions. And I guess I just wanted to bring
4 that up.

5 NED CALONGE: Thanks.

6 Kellie.

7 KELLIE KELM: Hi. I guess I wanted
8 to talk a little bit about deciding that it was
9 definitely a 1 or a -- that the readiness level
10 was ready.

11 And I guess two things that I wanted
12 to make a comment on that is that it's not just
13 adding the first tier Krabbe test, which I think,
14 depending on, you know, the platform that people
15 use may itself not be a big addition. And I think
16 it probably depends on the state to assess that.

17 You know, obviously there also is the
18 addition of psychosine testing. It's not clear to
19 me and we didn't really discuss why there are two
20 states screening without psychosine and also
21 without, you know, the sequencing component as
22 well -- is one that is also potentially an added

1 item that not all states may be doing in-house.
2 And it's unclear about how they might add that in
3 all cases.

4 And to be clear, you know, I worry
5 sometimes that we -- and the statement here talks
6 about the public health department. And I'm going
7 to put my Susan Tanksley hat on and say that it's
8 also a system. And depending on the state, you
9 know, some states also include in their system out
10 to follow-up to a certain point. Each state is
11 different.

12 And it's not clear to me about how
13 all states will be including with this added
14 screening, you know, whether some of this is --
15 and I think some of the barriers were things like
16 adding psychosine testing, seeking out
17 specialists, you know, setting up the specialists,
18 and all the other steps as well. And so, I just
19 wanted to add that as an item.

20 And I know it also talked -- Michele
21 talked a little bit about using CLIR. So, it's
22 obviously some software and some other -- some

1 other assessments that are being done to put
2 people in different sort of areas.

3 And I realize that there's a lot of
4 sharing, but I just also wanted to add that, that
5 this is actually multiple steps. So, I don't know
6 if I would say that states are ready. And that's
7 just one thing I wanted to add.

8 NED CALONGE: Thanks, Kellie.

9 I wonder if we could stop the
10 screenshare now.

11 (Pause)

12 NED CALONGE: Are there any
13 additional comments from Committee -- oh, thank
14 you, Shawn.

15 SHAWN McCANDLESS: Jennifer, I see
16 your hand up freshly or is that old?

17 JENNIFER KWON: No, it's up freshly
18 because I was going to tell Kellie that was
19 Shawn's idea.

20 (Laughter)

21 SHAWN McCANDLESS: Yes, that was. I
22 take the blame for that.

1 Because technically, according to the
2 guidelines that we discussed in the past,
3 development or readiness for this test based on
4 the reporting back from the labs that responded to
5 the survey would be in developmental assessment
6 because the largest category of states said that
7 it would be one to three years, which is how I
8 believe developmental readiness is defined for
9 this purpose as it goes to the readiness, which
10 would be prepared to implement within one year.

11 I actually said I feel like it's
12 ready because I think that most states now have
13 already started. And your point about it being a
14 system implementation, not just a lab
15 implementation, is a really good point. I don't
16 know that we're as confident of our ability to
17 even assess that.

18 But it seemed to me that with most
19 labs screening now for some sort of lysosomal
20 storage disease, adding this with a reference lab
21 to do that psychosine would not be a heavy lift.

22 And so that would really -- you

1 called me out completely correctly. It's not --
2 technically doesn't meet the criteria for
3 readiness. But from a lab perspective, which is I
4 think how I was interpreting it for this purpose,
5 I think most labs could get this up and running in
6 a year if they needed to.

7 NED CALONGE: Michael.

8 MICHAEL WARREN: Okay. So, I wanted,
9 Dr. Calonge, to revisit your question about, Does
10 this move? Because I think it's helpful for us to
11 think about, to my knowledge, again we're likely
12 to face conditions coming down that are more and
13 more rare, and this is not -- conditions that are
14 murky.

15 And given the framework that we've
16 got now, I don't think we have moved the condition
17 forward to date that has had a C rating. So, I am
18 curious where the Committee is on moving from a C
19 to a B. So, to your point, I would move that we
20 raise that for a vote.

21 NED CALONGE: So, let me interpret
22 your motion as changing the rating to a B1?

1 MICHAEL WARREN: Correct.

2 NED CALONGE: Is there a second from
3 a Committee member?

4 I see a second from Kyle and from Lal
5 and from Michele.

6 All right. It's been moved and
7 seconded that we change the rating of screening
8 for Krabbe from a C1 to a B1.

9 **VOTE ON MOTION TO CHANGE SCREENING RATING**

10 NED CALONGE: And I will do a roll
11 call vote, starting with Kyle Brothers.

12 KYLE BROTHERS: Approve. Agree.

13 NED CALONGE: Yes. Say "I approve of
14 the motion," or "I approve," or say "in favor" or
15 "not in favor." That's what can be said. So,
16 that would be an "in favor"?

17 KYLE BROTHERS: In favor.

18 NED CALONGE: Michele.

19 MICHELE CAGGANA: In favor.

20 NED CALONGE: Jannine.

21 JANNINE CODY: In favor.

22 NED CALONGE: Carla.

1 CARLA CUTHBERT: Not in favor.

2 NED CALONGE: Jane.

3 JANE DeLUCA: Not in favor.

4 NED CALONGE: Kellie.

5 KELLIE KELM: Not in favor.

6 NED CALONGE: Jennifer.

7 JENNIFER KWON: Not in favor.

8 NED CALONGE: Michael. Michael.

9 MICHAEL WARREN: In favor.

10 NED CALONGE: Ash.

11 ASHUTOSH LAL: In favor.

12 NED CALONGE: Shawn.

13 SHAWN McCANDLESS: Not in favor.

14 NED CALONGE: Kamila.

15 KAMILA MISTRY: In favor.

16 NED CALONGE: Melissa.

17 MELISSA PARISI: In favor.

18 NED CALONGE: Chanika.

19 CHANIKA PHORNPHTKUL: In favor.

20 NED CALONGE: And my vote is not in

21 favor.

22 The motion passes eight to six.

1 That's correct, isn't it, Leticia? Just to get my
2 counting right.

3 LETICIA MANNING: Yes. Yes.

4 **VOTE ON MOTION TO RECOMMEND KRABBE DISEASE FOR**
5 **INCLUSION IN THE RUSP**

6 NED CALONGE: So, given that, I
7 would like to see if someone would like to make a
8 motion to add screening for -- sorry.

9 To make a recommendation to the
10 Secretary to add Krabbe disease to the Routine
11 Uniform Screening Panel.

12 MICHELE CAGGANA: I'll make a motion
13 to add.

14 NED CALONGE: Thank you, Michele.

15 Is there a second?

16 KYLE BROTHERS: Kyle Brothers.

17 I'll second.

18 NED CALONGE: It's been moved and
19 seconded. I'll pause for any further discussion.

20 (Pause)

21 NED CALONGE: And again we'll have a
22 vote -- sorry. I was just corrected. It's the

1 Recommended Uniform Screening Panel.

2 So, given the motion to make a
3 recommendation to the Secretary, I will call roll
4 again. And say, "I approve" or "I don't approve."

5 Kyle Brothers.

6 KYLE BROTHERS: I approve.

7 NED CALONGE: Michele Caggana.

8 MICHELE CAGGANA: I approve.

9 NED CALONGE: Jannine Cody.

10 JANNINE CODY: I approve.

11 NED CALONGE: Carla Cuthbert.

12 CARLA CUTHBERT: I do not approve.

13 NED CALONGE: Jane DeLuca.

14 JANE DeLUCA: I do not approve.

15 NED CALONGE: Kellie Kelm.

16 KELLIE KELM: I do not approve.

17 NED CALONGE: Jennifer Kwon.

18 JENNIFER KWON: I do not approve.

19 NED CALONGE: Michael Warren.

20 MICHAEL WARREN: I approve. Approve.

21 NED CALONGE: Thank you.

22 Ash Lal.

1 ASHUTOSH LAL: I approve.

2 NED CALONGE: Shawn McCandless.

3 SHAWN McCANDLESS: I do not approve.

4 NED CALONGE: Kamila Mistry.

5 KAMILA MISTRY: I do not approve.

6 NED CALONGE: Melissa Parisi.

7 MELISSA PARISI: I approve.

8 NED CALONGE: Chanika Phornphutkul.

9 CHANIKA PHORNPHTKUL: I approve.

10 NED CALONGE: I vote to not approve.

11 Leticia, my count is eight to six in
12 favor.

13 LETICIA MANNING: We did not hear

14 CDC's vote.

15 NED CALONGE: I apologize.

16 Carla Cuthbert.

17 CARLA CUTHBERT: I do not approve.

18 NED CALONGE: The vote is seven to
19 seven. Following Robert's Rules of Order, the
20 motion does not pass.

21 NED CALONGE: I want to recognize
22 that this has been a difficult decision, a

1 difficult and complex consideration of a very
2 serious condition. But without a majority vote,
3 it signals that we will not move Krabbe forward as
4 a recommendation for addition to the RUSP today.

5 I want to thank everyone who's been
6 involved in the nomination, everyone who was
7 involved in the evidence-based review and
8 decision-making process. Members of the
9 Committee, the ERG, the Technical Expert Panel,
10 people who have shared their stories with us
11 today.

12 I need to acknowledge that for the
13 nominators and advocates, I realize this is a
14 disappointing outcome. Moving forward, the
15 Committee will prepare a letter summarizing the
16 evidence that we believe will be helpful to have
17 in the future should a renomination come before
18 the Committee.

19 (Pause)

20 NED CALONGE: Just taking a long
21 breath. Sorry.

22 **ORIENTATION TO WORKGROUP SESSIONS**

1 We've come to the end of today's
2 agenda. What we're going to do is break and
3 assemble our three workgroups. I want to start by
4 telling folks that in discussion with the
5 workgroup chairs and with my colleagues at HRSA,
6 and others, we've decided to change the approach
7 and the structure of the workgroups.

8 We believe that we can have a more
9 effective approach if we create groups that are
10 going to review specific topics and who will be
11 able in a more program-oriented or project-
12 oriented approach to provide recommendations to
13 the Committee that help support newborn screening
14 and the newborn screening system.

15 We think that those topics can be
16 identified by the priorities that the workgroups,
17 the current workgroups, have been suggesting and
18 working on already. So, over the last two
19 meetings, we've asked for prioritized lists. And
20 the charge to the Committees today is, as your
21 last ask as these workgroups, to please bring
22 forward prioritized topics that we can arrange

1 group work on moving forward.

2 I think the other advantage to doing
3 this is an acknowledgement that if it is a
4 discrete issue that a group is working on, it's
5 very possible that HRSA can bring to bear
6 resources to move those topics forward. So, it is
7 for those reasons why we've decided to take a new
8 approach to the process.

9 I realize that there are members of
10 the public and the advocacy community who have
11 worked on the workgroups. It is our intent to
12 assure that any topic-related group includes input
13 from the public, from advocates, and from families
14 that they're interested in the specific topic.

15 I'll just pause to see if Committee
16 members have any questions or comments.

17 (Pause)

18 NED CALONGE: So, I believe that
19 we're going to put a link that you can go to that
20 will give you a Zoom link to join a workgroup.

21 We have a pause in the time now
22 before the workgroups start. They will convene at

1 3:45 and work through the charges as I've given to
2 the Committee chairs.

3 **ADJOURNMENT**

4 NED CALONGE: And with that, I'm
5 going to adjourn the Committee meeting for today
6 and remind everyone we will resume via Zoom
7 tomorrow morning at 9:30 Eastern Time.

8 And again, it's been a long and, I
9 would say, arduous day. I want to express my
10 appreciation to all attendees, to the Committees,
11 and the people who worked so hard on moving
12 newborn screening and supporting this system
13 forward.

14 And with that, unless, Leticia, you
15 have any other announcements, I'll adjourn the
16 meeting.

17 LETICIA MANNING: No other
18 announcements. Thank you.

19 NED CALONGE: Thank you all.

20 (WHEREUPON, THE MEETING WAS
21 ADJOURNED AND WILL CONTINUE ON
22 FEBRUARY 10, 2023 AT 9:30 A.M.)