

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

The Advisory Committee on Heritable Disorders in
Newborns and Children

Day One

HRSA Meeting

Washington, D.C.

August 03, 2017

9:30 a.m. - 5:00 p.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

A P P E A R A N C E S

COMMITTEE MEMBERS:

JOSEPH BOCCHINI, JR., MD, Committee Chair,
Professor and Chairman, Department of
Pediatrics, Louisiana State
University

MEI WANG BAKER, MD, Professor of Pediatrics,
University of Wisconsin School of Medicine and
Public Health, Co-Director, Newborn Screening
Laboratory, Wisconsin State Laboratory of
Hygiene

JEFFREY P. BROSCO, MD, PhD, Chair, Follow-Up and
Treatment Workgroup, Professor of Clinical
Pediatrics, University of Miami School of
Medicine

CARLA CUTHBERT, PhD, FACMG, FCCMG, Chief, Newborn
Screening Molecular Biology Branch, Centers for
Disease Control and Prevention

SCOTT GROSSE, PhD, Alternate, Research Economist,
Office of the Director, National Center on
Birth Defects and Developmental Disabilities,

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 CDC

2 KELLIE B. KELM, PhD, Food and Drug
3 Administration, Chair, Laboratory Standards and
4 Procedures Workgroup

5 FRED LOREY, PhD, Genetic Disease Screening
6 Program, California Department of Public Health
7 (Emeritus), International Society for Neonatal
8 Screening, North American Council
9 Representative

10 MICHAEL LU, MD, MS, MPH, Health Resources and
11 Services Administration, Associate
12 Administrator, Maternal and Child Health Bureau

13 DIETRICH MATERN, MD, PhD, Professor of
14 Laboratory Medicine, Medical Genetics and
15 Pediatrics, Mayo Clinic

16 KAMILA B. MISTRY, PhD, MPH, Agency for Healthcare
17 Research and Quality, Senior Advisor, Child
18 Health and Quality Improvement

19 MELISSA PARISI, MD, PhD, Chief, Intellectual and
20 Developmental Disabilities Branch, NICHD, NIH

21 ANNAMARIE SAARINEN, Co-Founder, CEO, Newborn
22 Foundation

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 JOAN SCOTT, MS, CGC, Health Resources and
2 Services Administration, Acting Director,
3 Maternal and Child Health Bureau

4 BETH TARINI, MD, MS, FAAP, Associate Professor
5 and Division Director, General Pediatrics &
6 Adolescent Medicine, University of Iowa
7 Hospitals & Clinics

8 CATHERINE A. L. WICKLUND, MS, CGC, Chair,
9 Education and Training Workgroup, Northwestern
10 University

11

12 ACTING DESIGNATED FEDERAL OFFICIAL:

13 CATHARINE RILEY, PhD, MPH, Health Resources and
14 Services Administration, Maternal and Child
15 Health Bureau

16

17 ORGANIZATIONAL REPRESENTATIVES:

18 NATASHA BONHOMME, Chief Strategy Officer, Genetic
19 Alliance

20 SIOBHAN DOLAN, MD, MPH, March of Dimes, Professor
21 and Vice Chair for Research, Department of
22 Obstetrics & Gynecology and Women's Health,

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Albert Einstein College of Medicine
2 CAROL GREENE, MD, Society for Inherited
3 Metabolic Disorders
4 ADAM KANIS, MD, PhD, Department of Defense
5 CHRISTOPHER KUS, MD, MPH, Association of
6 State and Territorial Health Officials
7 ROBERT OSTRANDER, MD, American Academy of
8 Family Physicians
9 BRITTON RINK, MD, American College of
10 Obstetricians and Gynecologists
11 SUSAN TANKSLEY, PhD, Association of Public Health
12 Laboratories
13 KATE TULLIS, PhD, Association of Maternal &
14 Child Health Programs
15 CATE WALSH VOCKLEY, MS, CGCS, National
16 Society of Genetic Counselors
17 MICHAEL WATSON, PhD, FACMG, American
18 College of Medical Genetics and Genomics
19
20 OTHERS:
21 SABRA ANCKNER, Nurse Consultant
22 DON BAILEY, PhD, MD, Distinguished Fellow,

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Early Childhood Development, RTI International
2 SUE BERRY, MD, Director, Division of Genetics
3 and Metabolism, Department of Pediatrics,
4 University of Minneapolis
5 DIANA W. BIANCHI, MD, National Institutes of
6 Health, Director, Eunice Kennedy Shriver
7 National Institute of Child Health and Human
8 Development
9 COLLEEN A. BOYLE, PhD, MS, Agency for Healthcare
10 Research and Quality, Director, National
11 Center on Birth Defects and Developmental
12 Disabilities
13 MICHELE CAGGANA, ScD, FACMG, Director,
14 Newborn Screening Program, New York State
15 Department of Health
16 CATHY CAMP
17 THOMAS CRAWFORD, MD, The Johns Hopkins Hospital
18 TERESE FINITZO, PhD, OZ Systems
19 DEBBY FREDENBERG
20 AMY GAVIGLIO, Follow-up Supervisor/Genetic
21 Counselor, Minnesota Department of Health
22 Newborn Screening Program

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 AARON GOLDENBERG, PhD, MPH, Institute for
2 Computational Biology
3 NANCY GREEN
4 JOYCE HOOKER
5 JILL JARECKI, PhD, Chief Scientific Officer, Cure
6 SMA
7 CAROL JOHNSON, Iowa Newborn Screening Program,
8 University of Iowa, Department of Pediatrics
9 ALEX R. KEMPER, MD, MPH, MS, Evidence Review
10 Workgroup, Nationwide Children's Hospital,
11 Ohio State University College of Medicine
12 ANNIE KENNEDY, Parent Project Muscular Dystrophy
13 K.K. LAM
14 MEGAN LENZ, Cure SMA
15 MICHELE LLOYD-PURYEAR, MD, PhD, Parent Project
16 Muscular Dystrophy
17 STEPHEN MCDONOUGH, MD, Retired Pediatrician
18 AMY MEDINA
19 AMELIA MULFORD
20 MATT OSTER, MD, MPH, Pediatric Cardiologist,
21 Sibley Heart Center at Children's Health Care
22 of Atlanta

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 JEREMY PENN
2 MARJORIE REAM, MD, PhD, Nationwide Children's
3 Hospital
4 PIERO RINALDO, MD, PhD, Professor of Laboratory
5 Medicine; Division of Laboratory
6 Genetics; Director, Biochemical Genetics
7 Laboratory, Department of Laboratory Medicine
8 And Pathology, Mayo Clinic
9 JERRY ROBINSON
10 DEBI SARKAR
11 DEBRA SCHAEFER, Caregiver for child with SMA
12 JOE SCHNEIDER, Pediatrician
13 SCOTT SHONE, PhD, Program Manager, New Jersey
14 Department of Health Newborn Screening
15 Laboratory
16 TORREY SMITH, Parent of child with CHD
17 KRISTIN STEPHENSON, Muscular Dystrophy
18 Association
19 DEAN SUHR, MLD Foundation
20 JOHN D. THOMPSON, PhD, MPH, MPA, Director,
21 Washington State Newborn Screening Program
22 KIM TUMINELLO, Association for Creatine

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Deficiencies

2 JESSICA WADE

3 HEIDI WALLS

4 CAREEMA YUSUF, MPH, NewSTEPS, Manager,

5 Association of Public Health Laboratories

6 ALAN ZUCKERMAN, MD, Georgetown University

7 Hospital

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1

2

C O N T E N T S

3

DAY 1

4

PAGE

5

WELCOME

11

6

ROLL CALL

12

7

OPENING REMARKS

11

8

May 2017 MINUTES

15

9

SMA EVIDENCE REVIEW -- PHASE 1 REPORT

26

10

Q&A AND COMMITTEE FEEDBACK -- SMA EVIDENCE

51

11

REVIEW

12

QUALITY MEASURES IN NEWBORN SCREENING TO

83

13

PROMOTE LONG TERM FOLLOW-UP

14

PUBLIC COMMENTS

142

15

ESTABLISHING AND REVISITING NEWBORN

152

16

SCREENING CUTOFFS -- LESSONS LEARNED

17

FROM STATES

18

ESTABLISHING AND REVISITING NEWBORN

216

19

SCREENING CUTOFFS -- SUMMARY AND NEXT

20

STEPS

21

COMMITTEE DISCUSSION

221

22

WORKGROUP MEETINGS

238

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 ADJOURN 238

2 P R O C E E D I N G S

3 DR. JOSEPH A. BOCCHINI, JR.: Good
4 morning, everyone. I'd like to welcome you to the
5 August meeting of the Advisory Committee on
6 Heritable Disorders in Newborns and Children. So,
7 I want to thank you all for being here.

8 I want to just make a couple of
9 announcements first. Dr. Robert Saul, the
10 organizational representative from the American
11 Academy of Pediatrics, needed to step down from
12 his position, so AAP will be assigning a new org
13 rep, and so AAP does not have a representative at
14 this meeting. But I want to thank Dr. Saul for
15 his work on the committee and the Education --
16 the workgroup.

17 I also want to mention that the three new
18 members of the committee have not completed their
19 clearance at the present time, and as a result,
20 we've asked one of the former committee members
21 to extend his term. Dr. Fred Lorey has agreed to
22 extend his term, so he will extend for up to 6

1 months while we wait for the clearance of the
2 next members.

3 So, that brings us to roll call. So,
4 first, the Agency for Health Care Research and
5 Quality, Kamila Mistry?

6 DR. KAMILA MISTRY: Here.

7 DR. JOSEPH A. BOCCHINI, JR.: Mei Baker?

8 DR. MEI WANG BAKER: Here.

9 DR. JOSEPH A. BOCCHINI, JR.: I'm here.
10 Jeff Brosco?

11 DR. JEFFREY P. BROSCO: Here.

12 DR. JOSEPH A. BOCCHINI, JR.: Centers for
13 Disease Control and Prevention, Carla Cuthbert,
14 and Scott Grosse as an alternate? Carla?

15 DR. CARLA CUTHBERT: Carla's here.

16 DR. JOSEPH A. BOCCHINI, JR.: Food and
17 Drug Administration, Kellie Kelm?

18 DR. KELLIE KELM: Here.

19 DR. JOSEPH A. BOCCHINI, JR.: Health
20 Resources and Services Administration, Michael
21 Lu?

22 DR. MICHAEL LU: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: And
2 alternate Joan Scott?

3 (No audible response)

4 DR. JOSEPH A. BOCCHINI, JR.: Fred Lorey
5 will be here by webcast. Fred?

6 (No audible response)

7 DR. JOSEPH A. BOCCHINI, JR.: Still
8 coming to the phone. Dieter Matern?

9 DR. DIETRICH MATERN: Here.

10 DR. JOSEPH A. BOCCHINI, JR.:
11 Representing National Institute of Health,
12 Melissa Parisi?

13 DR. MELISSA PARISI: Here.

14 DR. JOSEPH A. BOCCHINI, JR.: Annamarie
15 Saarinen?

16 MS. ANNAMARIE SAARINEN: Here.

17 DR. JOSEPH A. BOCCHINI, JR.: Beth Tarini
18 by webcast?

19 DR. BETH TARINI: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Cathy
21 Wicklund?

22 DR. CATHERINE A. L. WICKLUND: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: And our
2 DFO, Catharine Riley?

3 DR. CATHARINE RILEY: Here.

4 DR. JOSEPH A. BOCCHINI, JR.: For the
5 organizational representatives in attendance,
6 American Academy of Family Physicians, Robert
7 Ostrander?

8 DR. ROBERT OSTRANDER: Here.

9 DR. JOSEPH A. BOCCHINI, JR.: American
10 College of Medical Genetics, Michael Watson?

11 DR. MIKE WATSON: Here.

12 DR. JOSEPH A. BOCCHINI, JR.: American
13 College of Obstetricians and Gynecologists,
14 Britton Rink by webcast?

15 DR. BRITTON RINK: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: Association
17 of Maternal and Child Health Programs, Kate
18 Tullis by webcast?

19 DR. KATE TULLIS: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Association
21 of Public Health Laboratories, Susan Tanksley?

22 DR. SUSAN TANKSLEY: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: Association
2 of State and Territorial Health Officials, Chris
3 Kus by webcast?

4 (No audible response)

5 DR. JOSEPH A. BOCCHINI, JR.: Department
6 of Defense, Adam Kanis by webcast?

7 DR. ADAM KANIS: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: Genetic
9 Alliance, Natasha Bonhomme?

10 MS. NATASHA BONHOMME: Here.

11 DR. JOSEPH A. BOCCHINI, JR.: March of
12 Dimes, Siobhan Doyle?

13 DR. SIOBHAN DOLAN: Here.

14 DR. JOSEPH A. BOCCHINI, JR.: National
15 Society of Genetic Counselors, Cate Walsh Vockley
16 by webcast?

17 (No audible response)

18 DR. JOSEPH A. BOCCHINI, JR.: And Society
19 for Inherited Metabolic Disorders, Carol Green?

20 DR. CAROL GREEN: Here.

21 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
22 So, next on the agenda is the approval of the

1 minutes of our May meeting. The committee
2 received a draft of the minutes prior to the
3 meeting. Several members submitted small changes;
4 they were word changes in the -- in the draft.
5 The revised version was sent to the committee. We
6 have minor edits, now, from Annamarie to add with
7 regard to the section covering Ms. Gaviglio's
8 presentation.

9 Are there any other additions or
10 corrections to be made to the minutes?

11 (No audible response)

12 DR. JOSEPH A. BOCCHINI, JR.: Hearing
13 none, I will accept a motion to approve.

14 FEMALE SPEAKER: Motion to approve.

15 DR. JOSEPH A. BOCCHINI, JR.: All right,
16 second?

17 DR. DIETRICH MATERN: Second.

18 DR. JOSEPH A. BOCCHINI, JR.: All right.

19 So, we will then vote on the approval of the
20 minutes. So, Mei Baker?

21 DR. MEI WANG BAKER: Approve.

22 DR. JOSEPH A. BOCCHINI, JR.: I approve.

1 Carla Cuthbert?

2 DR. CARLA CUTHBERT: I approve.

3 DR. JOSEPH A. BOCCHINI, JR.: Jeff

4 Brosco?

5 DR. JEFFREY P. BROSCO: Approve.

6 DR. JOSEPH A. BOCCHINI, JR.: Kellie

7 Kelm?

8 DR. KELLIE KELM: Approve.

9 DR. JOSEPH A. BOCCHINI, JR.: Fred, if
10 you've made it to the line?

11 (No audible response)

12 DR. JOSEPH A. BOCCHINI, JR.: Okay.

13 Michael Lu?

14 DR. MICHAEL LU: Approve.

15 DR. JOSEPH A. BOCCHINI, JR.: Dieter

16 Matern?

17 DR. DIETRICH MATERN: Approve.

18 DR. JOSEPH A. BOCCHINI, JR.: Kamila

19 Mistry?

20 DR. KAMILA MISTRY: Approve.

21 DR. JOSEPH A. BOCCHINI, JR.: Annamarie

22 Saarinen?

1 MS. ANNAMARIE SAARINEN: Approve.

2 DR. JOSEPH A. BOCCHINI, JR.: Melissa
3 Parisi?

4 DR. MELISSA PARISI: Approve.

5 DR. JOSEPH A. BOCCHINI, JR.: Beth
6 Tarini?

7 DR. BETH TARINI: Approve.

8 DR. JOSEPH A. BOCCHINI, JR.: And
9 Catherine Wicklund?

10 MS. CATHERINE A. L. WICKLUND: Approve.

11 DR. JOSEPH A. BOCCHINI, JR.: So, the
12 minutes are approved, with the changes sent by
13 members of the committee.

14 So, just a reminder: This is our third
15 meeting of this year. Our last meeting of this
16 year will be November 08th and 09th. The next two
17 meetings, as you can see, in February and May,
18 have been -- are listed here -- February 08 and
19 09, May 10 and 11, but the meeting dates have
20 been set up through 2020 so that you can plan
21 ahead and -- and -- on your schedules, and they
22 can be found on the committee's website.

1 I wanted to provide a brief update on the
2 medical foods whitepaper that was -- was done.
3 The -- medical foods is not on the agenda for
4 this meeting. We did complete the topic in the
5 May meeting. The committee accepted the report,
6 and we have now gone through some iterations of
7 editing, and final edits have now -- are -- are
8 in process of going back to the four primary
9 authors. They should get those shortly, and once
10 they've looked at those and approved them or made
11 changes based on them, that will be the final
12 copy, which will then go back to the committee
13 and the members of the workgroup. And once
14 approved, we will send a letter -- cover letter
15 to the secretary in support of the -- of what is
16 in the document, as well as, we are working to
17 determine where to publish this -- this
18 whitepaper.

19 So, next item: meeting topics. Just to
20 give you an overview of what we're going to see
21 at this meeting: We're going to have the first
22 report from the Evidence Review Group on SMA, and

1 then we'll follow that with a report from the
2 Follow-Up and Treatment Workgroup on what they've
3 been working on, a significant -- a significant
4 effort looking at quality measures in newborn
5 screening to promote long-term follow-up. We'll
6 then have a presentation of further information
7 about establishing and revising newborn screening
8 cutoffs and screening algorithms. We're going to
9 have a report from APHL state survey and then a
10 brief discussion on where we are and -- and next
11 steps for that process.

12 On Friday, we're going to have a
13 presentation on the overview of newborn screening
14 technology. The workgroups, which will have met
15 this afternoon, will then give us updates on
16 their activities and information that they want
17 to bring forward to the committee for input and
18 feedback. And then, we'll hear the second part of
19 our presentations on -- this is focused on
20 clinical and public health implications of
21 critical congenital heart defects newborn
22 screening. This is the second portion of the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 presentations that we started at our May meeting.

2 So, now I'd like to turn this over to Dr.
3 Catharine Riley. Dr. Riley is our acting
4 designated federal official for today's meeting.
5 She is the lead for the Newborn Screening/Genetic
6 Services branch at HRSA and will be -- will be
7 serving as the designated federal official for
8 our committee today and tomorrow. Catharine?

9 DR. CATHARINE RILEY: Thank you, Dr.
10 Bocchini. Before I get started, I just want to
11 let you know: I did receive word Fred Lorey is on
12 the line, so do we want to add him? Fred, if you
13 could give us confirmation?

14 DR. FRED LOREY: Yes, can you hear me?

15 DR. JOSEPH A. BOCCHINI, JR.: Yes, we
16 can. Thank you, Fred. Welcome.

17 DR. FRED LOREY: Thank you.

18 DR. CATHARINE RILEY: Great. And Cate
19 Walsh Vockley is also on the line but is not able
20 to participate right now. But she is on the line,
21 listening.

22 DR. JOSEPH A. BOCCHINI, JR.: Okay.

1 Welcome.

2 DR. CATHARINE RILEY: Great. Well, good
3 morning, and -- and welcome, everyone. Just a --
4 a few notes: The advisory committee's legislative
5 authority is found in the Newborn Screening Saves
6 Lives Reauthorization Act of 2014. This
7 legislation established the committee and
8 provided the duties and scope of work for the
9 committee.

10 However, all committee activities are
11 governed by the Federal Advisory Committee Act,
12 or FACA, which sets the standards for
13 establishment, utilization, and management of all
14 federal advisory committees. As a committee
15 member of a federal advisory committee, you are
16 subject to the rules and regulations for special
17 government employees.

18 So, I have some standard reminders to the
19 committee that I just wanted to go over. I wanted
20 to remind the committee members that, as a
21 committee, we are advisory to the Secretary of
22 Health and Human Services, not to Congress. For

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 anyone associated with the committee or due to
2 your membership on the committee, if you receive
3 inquiries about the committee, please let Dr.
4 Bocchini or I know prior to committing to an
5 interview.

6 I also must remind committee members that
7 you do need to recuse yourself from participation
8 in all particular matters likely to affect the
9 financial interests of any organization in which
10 you serve as an officer, director, trustee, or
11 general partner, unless you are also an employee
12 of the organization or unless you have received a
13 waiver from HHS authorizing you to participate.
14 When a vote is scheduled or an activity is
15 proposed and you have a question about a
16 potential conflict of interest, please notify me
17 as soon as possible so we can make a
18 determination.

19 So, according to FACA, all committee
20 meetings are open to the public. If the public
21 wish to participate in the discussion, the
22 procedures for doing so are published in the

1 Federal Register and are announced at the opening
2 of the meeting. For this meeting, in the Federal
3 Register we said that there would be a public
4 comment period, so we'll have public comment
5 later today. Only with advanced approval of the
6 chair or DFO, public participants may -- may ask
7 questions or provide comments at the discretion
8 of the chair.

9 Public participants may also submit
10 written statements. Public -- they can do this
11 through the online registration format, and all
12 written statements are provided to the committee
13 members ahead of time.

14 If -- Does anyone have any questions from
15 the committee?

16 (No audible response)

17 DR. CATHARINE RILEY: Okay. Just some
18 general housekeeping, then: For those in the
19 building -- So, visitors only have access to the
20 fifth floor of the building. That's this -- the
21 pavilion, which is the room we're in, the
22 cafeteria, restrooms, and meeting rooms for those

1 workgroups later on this afternoon. All other
2 areas of the facility are restricted and do
3 require an escort, which is a HRSA staff member,
4 and there is no exceptions to this. If you do
5 need to leave and reenter, you will be required
6 to go through security screening again, and
7 you'll -- you will need an escort from security
8 to bring you back in the building.

9 The -- the lunchtime has changed
10 slightly, so please refer to the final agenda for
11 the break for lunch. But, again, you'll have
12 access to this area. If you need -- if you're
13 going to need to leave and reenter, please notify
14 a HRSA staff member or someone at the
15 registration table so we can accommodate that.

16 So, without further ado, I'll turn it
17 back over to you, Dr. Bocchini.

18 DR. JOSEPH A. BOCCHINI, JR.: Okay. Thank
19 you, Catharine. So, just as a reminder, when --
20 when you speak or make a comment, please make
21 sure that you turn on your microphone, and then,
22 when you're done, turn it off. And then, when you

1 speak, please announce your name so that it can
2 be recorded.

3 So, the first item on the agenda is an
4 update on the SMA -- SMA Evidence Review
5 presentation -- (Microphone interference) That's
6 not going to work.

7 (Laughter)

8 (Off-the-record discussion)

9 DR. JOSEPH A. BOCCHINI, JR.: I want to
10 make everybody aware that Dr. Kemper is the -- is
11 the lead on the Evidence Review Workgroup, and he
12 has just changed his academic location. He has
13 taken on the position of division chief of
14 ambulatory pediatrics at Nationwide Children's
15 Hospital and is serving as professor of
16 pediatrics at the Ohio State University College
17 of Medicine.

18 And without further ado, I'll turn it
19 over to Dr. Kemper.

20 DR. ALEX R. KEMPER: Thank you very much.
21 I think I'm now required, also, to say: Go,
22 Buckeyes. So, with that out of the way --

1 I would like, before I launch into the
2 presentation, also really acknowledge all the
3 great work K.K. Lam has done on this project,
4 especially during the chaos of my change of
5 academic affiliation. So, I really wanted to
6 publicly recognize that.

7 So, as I go through this very first
8 presentation from our group on screening for SMA,
9 or spinal muscular atrophy, there -- there are
10 certain things I just want you to think about and
11 -- and pay attention, things that we've really
12 tried to highlight in here.

13 So, one of the main things is the
14 methods, how we're going about doing this,
15 especially within the time frame that's allotted
16 for the work. We are going to provide just a
17 little bit of a discussion of the condition
18 itself and then also focus more deeply on issues
19 related to screening. And we just recently had an
20 expert panel call related to screening.

21 And so, there's not going to be much in
22 this presentation today about treatment, and

1 that's because we're still going through the
2 process of reviewing the evidence related to
3 that, but as you will see, screening is really
4 going to be a -- a lynchpin, an important part of
5 the work that's going to happen as part of the
6 evidence review. So, there we go.

7 I'd like to, again, acknowledge and thank
8 members of our Evidence Review Group. I won't
9 read through the list of names, but I'll just
10 leave it here for a second and thank them for
11 their contributions to the presentation today.

12 Okay. So, this slide just outlines how
13 we're going to go about completing things within
14 the 9 months allocated to the project. You'll see
15 the -- on the right-hand side, where it says SER,
16 that's the systematic evidence review. DA's the
17 decision analysis; that's where we model what
18 would be expected if -- if newborn screening were
19 implemented. And then, the -- the third column
20 was the public health system impact.

21 And then, you can see how we've broken
22 things into phases. Again, we're in Phase 1 right

1 now, where we're fleshing out our methods and
2 reviewing the data. And, again, I'm going to be
3 talking about that, of course. And then, Phase 2
4 is going to be, obviously, building on top of
5 Phase 2, and that'll be presented at the next
6 meeting. And then, finally, at the February
7 meeting, that's when, you know, we'll reveal the
8 end of the movie, so to speak.

9 So, let's talk a little bit about SMA. As
10 you all know and it was presented as part of the
11 nomination process, SMA's an autosomal recessive
12 disease affecting the motor neurons in the spinal
13 cord and the brainstem, resulting in progressive
14 weakness and atrophy. As with, I -- I think, all
15 the conditions that we end up looking at, it has
16 a -- a broad phenotypic spectrum, ranging in
17 onset in -- at -- at, really, birth and early
18 infancy to adulthood, and I'll talk about ways
19 that you can separate out these different types,
20 and as you'd expected, there's also variations in
21 severity in clinical course.

22 In terms of how common the condition is,

1 depending upon how you like to think about
2 denominators, it's -- it's somewhere between 1
3 and 6,000 to -- to 1 and 11,000, we think, based
4 on the epidemiologic studies that have been done.
5 Or the way I like to think about it, because I
6 think it's easier, is, somewhere between, like, 9
7 and 16 per a hundred thousand newborns. Based on
8 the literature that's out there, the carrier
9 frequency is somewhere between 1 in 40 to 1 in 60
10 individuals. So, again, not unlike many of the
11 conditions that we look at, where there's a
12 relatively high carrier frequency relative to the
13 incidence of the actual condition.

14 So, this slide outlines different -- the
15 different nomenclature for SMA, the clinical
16 course effective with it, and what's known about
17 the gene that's affected. So, we're -- and I'm
18 going to show you this on the -- on the next
19 slide, but really going to be focusing on SMAs
20 type 1, 2, 3, and 4. I mean, these are really the
21 -- the kinds of things that are targeted by
22 screening. These are -- develop as a result of

1 problems with the SMN1 gene. Again, I'm going to
2 be talking about this in a little bit.

3 And you can see that if you look at the
4 numbers following SMA type, they -- they're
5 progressive in terms of the age of onset, with
6 SMA type 0 really beginning in -- in -- you know,
7 prenatally, with presentations at birth, to type
8 1 being the -- the type that we most think about
9 when we think about newborn screening for SMA.
10 These are the -- the newborns that are severely
11 affected. And then, type 2 and type 3 and type 4
12 progressively go out in terms of older age.

13 There are also other conditions that are
14 labeled with SMA that aren't really in the -- in
15 the same category in that they're not caused by
16 mutations in the SMN1 gene. So, these include X-
17 linked SMA, SMA-LED, and adult-onset SMA. And
18 I've listed out the genes that lead to these
19 particular conditions. Again, we're going to be
20 focusing on SMN1, and in -- in a minute, I'm
21 going to also talk to you about how SMN2 -- the
22 SMN2 gene plays into this whole thing.

1 So, just to be clear: The -- the focus of
2 our review and the focus of our work are on the
3 conditions that are -- are caused by problems
4 with the SMN1 gene, because these are the things
5 that are targeted in the newborn screening for
6 the condition, and it's also the thing that --
7 that -- that the treatment targets, as well.

8 So, SMA, as I said, is caused by lack of
9 the SMN1 gene product, that there -- there's
10 typically a loss of a particular exon, so none of
11 the -- the protein that's encoded by SMN1 gets
12 made.

13 There is another gene, named SMN2, that
14 you -- you can have a variable number of copies
15 of the gene -- again, I'm going to be showing
16 this in another slide -- but the -- the more
17 functioning copies of SMN2 that you have, the
18 more protected you are in terms of SMA and
19 developing it late, and it's also, sort of, the
20 hook into where the pharmacotherapy for SMN1 --
21 or for SMA comes into play. Okay? Everybody with
22 me so far?

1 (No audible response)

2 DR. ALEX R. KEMPER: Yes? Okay. So, as is
3 typical when we begin the process of evidence
4 review, we need to develop a case definition so
5 that we, you know, can understand what we're
6 looking for and ask sensible questions. So,
7 again, we're looking for the particular type of
8 SMA that's caused by the lack of the SMN1 gene
9 product. This is located on the long arm of the
10 fifth chromosome. Again, I talked about Types 1
11 through 4.

12 Nearly all cases of -- of SMA are caused
13 by a deletion or a -- a new gene conversion
14 mutation of SMN1, the survival motor neuron 1
15 gene, actually. I don't think I named it before,
16 but that's -- that's what it is before, but -- in
17 exon 7.

18 Less common is, you can have point
19 mutations in the -- the gene, and you can end up
20 with compound heterozygotes that -- that lead to
21 problems with the -- with SMN1, but -- And,
22 again, I'm going to be digging into this as we go

1 through, but most of what we're really talking
2 about is this loss of exon 7. And I talked to you
3 a little bit ago about how there's a variable
4 number of SMN2 genes, up to 8, that -- that
5 correlates with phenotype.

6 So, the -- the typical way that newborn
7 screening is done is, it's looking for a
8 homozygous deletion of this exon 7 in the SMN1
9 gene, and the main way to do this is through
10 quantitative real-time PCR off of, you know, our
11 good friend, the dried blood spot. And you can
12 confirm this by looking for an exon 7 deletion.

13 Now, tied directly to this, and, sort of,
14 depending upon where you do it in the -- in the
15 newborn screening process might vary from state
16 to state, but it's looking at the number of
17 copies of the SMN2 gene you have, because the
18 more copies of that you have, the -- you know,
19 the later the onset of the condition.

20 So, we're fortunate, in this case, that
21 there are data from two pilot evaluations of
22 newborn screening for SMA, so in New York State -

1 - and we had a call with the New York State folk
2 -- I would say it was last week. Everything's,
3 like, sort of blurring together with me because
4 of my move, but it was very recently. And I'm
5 going to dig through how their pilot study works,
6 but they've screened over 6,000 newborns, and
7 they have already identified 1 case. And there's
8 also a newborn screening program in Taiwan that's
9 screened a substantially larger number of
10 newborns, a little over 120,000. Again, I'm going
11 to be talking about that -- their experience in a
12 little bit.

13 Diagnosis -- I sort of alluded to this
14 before, but it's basically looking for exon 7
15 deletions, looking at the SMN2 copy number, and,
16 of course, correlating that with the clinical
17 exam.

18 The treatment for it, nusinersen, was FDA
19 approved in December of 2016. There's some other
20 therapies that are, you know, in development, but
21 -- but as of today, nusinersen is really the --
22 the -- the treatment, and it's delivered

1 intrathecally. We can talk a little bit more
2 about that, but again, we haven't dug into
3 treatment effectiveness yet.

4 And of course, as with any other complex
5 chronic disease, there's -- you know, supportive
6 therapies are -- are important. So, I don't want
7 to overlook that, but for the sake of, I think,
8 what the advisory committee is going to decide at
9 the end of the day, it's going to be around how
10 well early administration of nusinersen works.
11 Okay, everybody with me?

12 (No audible response)

13 DR. ALEX R. KEMPER: Okay. So, we are
14 well into the systematic evidence review process.
15 There's really nothing different that -- that
16 we're doing here than -- than what we've done in
17 previous reviews. We're looking at PubMed,
18 EMBASE, CINAHL, and Cochrane. We cast a wide net
19 -- you can see keywords that we've used there --
20 and we're in the process of figuring out, you
21 know, which -- which articles are in and which
22 articles are out for data abstraction. I think --

1 and I'm going to look at K.K. to see if she
2 agrees with me. She's nodding her head, and I
3 haven't even said it yet, but a thousand or so
4 articles, I think, are going to move up to the
5 full text review process. Does that sound good?

6 (Off-mic speaking)

7 DR. ALEX R. KEMPER: Twelve oh two, okay.

8 (Off-mic speaking)

9 DR. ALEX R. KEMPER: A little more than a
10 thousand.

11 This is the conceptual framework that we
12 used when we put together the report and think
13 about the key questions that we're going to use.
14 We -- we've just -- when I say "we," again, I
15 have to really thank K.K., who has a much better
16 eye for this kind of stuff than I do, but we --
17 we've redone the conceptual framework in a way
18 that, I think, sort of telegraphs better what
19 we're trying to do, which is compare what would
20 happen under usual clinical case detection, usual
21 clinical care, to newborn screening.

22 And you can see that there is a time

1 shaded in -- in the pink -- I think that's what
2 would call that, pink -- whatever that light
3 color is, before symptom onset with clinical
4 detection/usual care. Diagnosis doesn't really
5 even begin until after symptoms have developed.
6 Of course, with newborn screening, you can begin
7 to do the diagnostic and confirmation process
8 before the development of symptom onset and
9 perhaps, with treatment, even delay symptom
10 onset, which is why the -- you know, there's that
11 asymmetry in -- in the -- in the pink.

12 And of course, we're going to be looking
13 at things like the accuracy of screening, the
14 process of diagnostic and confirmation, the --
15 the harms associated with all those things, what
16 goes on with treatment and follow-up and how
17 those modify outcomes, and then the, sort of,
18 outer blue thing shows that all this exists
19 within the health care system, and we're going to
20 be looking primarily through the Public Health
21 Systems Impact Assessment about that sort of
22 wraparound piece. Any questions about that before

1 I move on?

2 (No audible response)

3 DR. ALEX R. KEMPER: Does look kind of
4 pretty, don't you think? So, again, this is no
5 different than what we've done before with the
6 key topic areas that are guiding the evidence
7 review, so looking at the epidemiology of the
8 condition and what -- what's happening now in
9 terms of how affected individuals are identified,
10 looking at screening, process of short-term
11 follow-up, the benefits and harms of screening
12 and diagnosis separate from what happens with
13 treatment, looking at treatments and long-term
14 follow-up care, the outcomes, the benefits and
15 harms of treatment and long-term follow-up care
16 under newborn screening so we can, you know,
17 contrast those things, and then look at the
18 public health and health care systems' impacts.

19 So, you can see that this is, really,
20 just repeats of what we were able to show with
21 the figure before. Again, this is really the same
22 kind of stuff that we usually look at, but I just

1 wanted to be clear about the direction that we're
2 going.

3 So, let's switch gears again and talk
4 about what's going on with SMA newborn screening.
5 So, as I mentioned, New York has been offering
6 SMA screening, but it's -- it's interesting
7 because it's done within the context of -- of a
8 research study, in -- in that parents have to
9 consent for their children to be tested. Missouri
10 has legislative approval, but they've not begun
11 doing that yet, and then there are states that
12 are kind of circling around and considering SMA
13 screening: Massachusetts, North Carolina, and
14 Wisconsin. Of course, there may be other states
15 that are considering this that we just don't know
16 about, but -- but these are the ones that -- that
17 we've heard about thus far.

18 And then, the CDC is developing material
19 for states to be able to test how well their
20 screening test works and, you know, all the
21 usual, sort of, proficiency materials for the
22 SMA, which is, you know, really important as

1 other states -- if they decide to adopt
2 screening.

3 So, I'm going to drill down a little bit
4 more into what's happened into -- in -- in New
5 York. So, the New York screening's happening in
6 three hospitals. This is a project that's funded
7 by Biogen, with the PI being Dr. Wendy Chung. I -
8 - you know, because of this, you know, potential
9 conflict of interest with -- you know, since
10 Biogen also makes nusinersen -- asked very
11 specifically about whether or not they were able
12 to fully share their data, and the -- and the
13 answer to that was, yes.

14 The -- the project now funds a technician
15 to do the screening, consumables, coordinators to
16 help with recruitment and that sort of thing, and
17 the time spent by a genetic counselor. As I
18 mentioned before, there's a recruitment process
19 that involves electronic consent, and
20 interesting: Nearly all the -- all the -- the
21 parents -- 93% of the mothers approached have
22 agreed to participate across the 3 sites.

1 Now, before I start showing you more
2 data, there is a publication that's in press
3 right now with Genetics in Medicine, so the
4 people in New York were -- were very open about
5 allowing us to share these data. But to the
6 degree that, you know, you can, you know, be
7 respectful of the fact that they're sharing their
8 data with us before the publication comes out, I
9 -- I think, would be appreciated by everyone.

10 I'm very sensitive to this, because in
11 terms of our evidence review process, it would
12 really put a crimp on things if people weren't --
13 didn't -- didn't feel comfortable about sharing
14 their data with us before it appeared in the peer
15 review literature. So, again, to all those people
16 in New York, thank you very much for doing that,
17 and -- and, again, be -- be respectful of the
18 data that I'm about to show you.

19 So, the goal of the pilot study was,
20 really, to demonstrate whether or not parents
21 would accept the screening and the feasibility of
22 screening for SMA. But fortunately for all of us

1 -- or, perhaps, unfortunately, I guess, for --
2 for the child -- they did identify one baby with
3 SMA. They used dried blood spots with DNA
4 amplification, again, looking for SMN1.

5 Before beginning this, they went through
6 a validation process with de-identified blood
7 spots. I -- I've listed here -- before -- again,
8 I want to make sure that it looped back with the
9 -- in the -- the New York program to make sure
10 that -- that I've outlined it. You know, we --
11 typically, we talk about Tier 1 and Tier 2
12 screening -- so, you know, the initial screening
13 and then, sort of, more confirmatory or, you
14 know, kind of trying to, you know, separate out
15 the -- the false positives from the -- from the
16 true positives -- but this kind of tier
17 nomenclature is -- is somewhat artificial.

18 But they -- they begin with looking for a
19 homozygous SMN1 exon deletion with real-time
20 quantitative PCR with a TaqMan probe. Don't ask
21 me anything too technical about that. I'll have
22 to defer to someone else. And then, as a -- as a

1 second tier, they look at SMN2 copy numbers. So,
2 the copy number is important, as I mentioned
3 before, for issues related to the phenotype, and
4 then they do some more work around the -- making
5 sure that -- that there is, you know, this
6 missing exon 7. And all this work happens within
7 a laboratory that -- that New York has designated
8 for -- for this.

9 So, this is kind of a busy slide, so I
10 apologize in advance, but really just goes
11 through what I talked about before in terms of
12 the Tier 1, Tier 2, and then issues of short-term
13 follow-up. So, again, with SMA, you're going to
14 have zero copies of the SMN1 gene, where you're
15 missing that exon 7.

16 And then, you can go and look at how much
17 of the SMN2 gene you have. So, if you have two
18 copies of it, you're likely to go on to have type
19 1 SMA. If you have 3 to 4, then you're going to
20 be type 2 or type 3 SMA. Again, remember, later
21 onset, slightly different severity. And then, if
22 you have between 4 and 8 copies, then you're

1 going to have type 4 SMA. It's funny, I lean on
2 this podium, and it moves around a little bit.

3 And you can see that, you know, based on
4 that, they either, you know, continue with
5 confirmatory testing and referral to a
6 neuromuscular specialty treatment center or, you
7 know, in the case of carriers, with follow-up for
8 genetic counselors. Again, this issue of what to
9 do about carriers that are identified through
10 newborn screening is not unique to SMA but does
11 create challenges, because there are a lot of
12 carriers out there.

13 So, I presented before about how most of
14 the parents agreed to participate. Of the about
15 6,200 newborns screened, there was one affected
16 child who did have 2 copies of SMN2 so likely has
17 SMA type 1 and has gone on with treatment with
18 nusinersen, and then they've identified 92
19 carriers. There have been no false positives.

20 Now, in terms of false negatives -- and
21 this goes back to the -- the publication that's
22 in press, as well -- you'd expect that there'd be

1 some false negatives just because, again, the --
2 the testing is really looking for this missing
3 exon 7. If you have some other, you know, like,
4 compound heterozygous for certain point mutations
5 that could lead to problems with the production
6 of the SMN1 gene product, then -- then you might
7 go on to develop SMA.

8 So, we're -- right now, I don't want to
9 comment more on how many false negatives might
10 actually occur, because that's really something
11 that we're going to dig out of the evidence
12 review process. So, you know, this was mentioned
13 in the paper. Other people have said that the
14 false negative rate is likely to be lower. Again,
15 this is just something that we're going to have
16 to sort out as we go through the evidence.

17 Now, in terms of that one baby that was
18 identified with SMA, that child, remarkably, was
19 -- was followed back up at the clinic at 7 days
20 of life and began treatment at 15 days. That
21 baby, at least by report, is now 12 months old
22 and is asymptomatic and is meeting developmental

1 milestones appropriately. Again, this is what we
2 were told during the call, and we'll dig into
3 other publications about outcomes of treatment
4 later. But at least based on that one baby, it's
5 -- it's certainly different than what you'd
6 expect with the natural history of SMA type 1.

7 So, you know, there -- I'm sensitive to
8 time, as well, now that I look up. But there --
9 there have been lots of lessons around the pilot
10 test, so low false-positive rates. They're able
11 to do this with -- in a high through-put method.
12 We have questions that I'd mentioned to you
13 before, about sensitivity, you know, that we're
14 going to dig into in terms of figuring out how
15 many babies might be missed. The carrier rate is,
16 you know, potentially going to represent a
17 problem.

18 The testing can be multiplexed with SCID
19 screening. We were told that it's a relatively
20 straightforward procedure, at least in theory,
21 and they're in the process of validating that
22 now. And so, clearly, if this can be multiplexed

1 with the SCID screening that's already going on,
2 that really lowers the potential, you know,
3 amount of work that is put on the newborn
4 screening programs.

5 And so, the -- the thinking is that if
6 you already have SCID -- if you already have SCID
7 screening -- that's a hard one to say -- that --
8 that this should be a -- a highly scalable thing.
9 And, again, we're going to dig more into
10 literature and find out, you know, more specific
11 details about this, but at least based on the
12 interview we had with the New York screening
13 program, they -- they felt very comfortable with
14 that.

15 So, again, in the interest of time, I'm
16 just going to highlight the fact that -- that in
17 addition to the New York State pilot, there's
18 also the work that's going on in Taiwan, which
19 is, you know, fairly similar in terms of using
20 real-time PCR, and then there's another test,
21 developed by PerkinElmer, that's in development.

22 So, one of the questions that -- that,

1 you know, I know comes up when we talk to -- to
2 newborn screening programs is whether or not, you
3 know, it's -- it's considered to be a laboratory-
4 developed test, because that has certain
5 implications for their ability to -- to implement
6 it, and it does seem that -- that across the
7 board, it is. And so, there -- there's a lot of
8 similarity across these three different
9 approaches. So, again, the key thing is detecting
10 the -- the exon 7 in SMN1, and then looking at
11 the SMN2 copy number, which is predictive of
12 phenotype.

13 You know, again, there are going to be
14 issues -- and we're going to dig through this as
15 we talk with our technical expert panel and look
16 at the literature that's out there -- but in
17 terms of exactly how you do things in terms of a
18 single-tier screen, where you just look at -- at
19 that missing SMN1 gene versus, you know, the
20 degree that the newborn screening programs
21 involved in looking at SMN2. I think it's going
22 to be variable, and we need to learn more about,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 you know, how much is involved with that process.
2 And, again, I mentioned problems with carrier
3 status detection.

4 So, again, I'm just going to hold on this
5 slide so you can look at it, comparing the New
6 York State pilot to the work that's done in
7 Taiwan to the PerkinElmer test that's in
8 development. Again, the -- the number is between
9 -- again, the New York State pilot project, the
10 numbers were small, but, you know, they -- they
11 did identify one case, and as with the -- the
12 Taiwan program, they, you know, demonstrate that
13 you -- you do end up picking a lot of carriers.

14 There is an algorithm for the diagnosis
15 of SMA. This was a consensus statement out from
16 2007, and it really just follows along with what
17 I've said before, so. I'm happy to answer
18 questions if -- Dr. Riley?

19 DR. CATHARINE RILEY: I just want to let
20 you know, we have some extra time, so if you, you
21 know --

22 DR. ALEX R. KEMPER: Oh.

1 DR. CATHARINE RILEY: Yeah.

2 DR. ALEX R. KEMPER: Yeah. Never tell me
3 we have extra time. Then I'll feel like the --

4 (Laughter)

5 DR. CATHARINE RILEY: So, no, yeah,
6 please --

7 DR. ALEX R. KEMPER: Yeah. Okay. So --
8 Thank you, though. If that's the case, too, let
9 me just pause for a second, because I've gone
10 through a lot of stuff. Does the committee have
11 any particular questions as I move along, or --
12 or does this make sense?

13 DR. MELISSA PARISI: Alex, this is
14 Melissa Parisi. I -- maybe I missed your comments
15 about this -- this, but did the Taiwan pilot
16 identify carriers, or did they deliberately
17 choose not to identify them?

18 DR. ALEX R. KEMPER: Yeah. You know, I'm
19 -- That's a really good question. The -- I think
20 that the way they did it, they just didn't -- as
21 -- as long as you had any -- and I'm going to
22 look at K.K., who's going to, like, rescue me, as

1 well, but I think as long as you had any
2 functioning SMN1, that they didn't identify --
3 they didn't report out carriers. Is that -- Am I
4 saying that right?

5 (Off-mic speaking)

6 DR. ALEX R. KEMPER: Yeah.

7 (Off-mic speaking)

8 DR. ALEX R. KEMPER: Yeah. And my --

9 (Off-mic speaking)

10 DR. CATHARINE RILEY: Dr. Kemper, can you
11 repeat that for those that --

12 DR. ALEX R. KEMPER: Yeah, yeah, yeah. So
13 -- Let me just say that. So, the -- the -- the
14 paper describing the Taiwan pilot study literally
15 just came out, like, a week ago. It was my read
16 of it -- and, again, we haven't spoken to anybody
17 there, but that will be in our process -- is that
18 they had a method where they didn't report out
19 carriers, so as long as you had -- again, I'm,
20 you know, not a lab person, but as long as you
21 had SMN1, that they were considered not to be
22 affected, and so that wasn't reported out. That

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 was, probably, like, poorly technically said, but
2 that was, like, my reading of it.

3 (Off-mic speaking)

4 DR. ALEX R. KEMPER: Yeah, come up --
5 come up and join me at the -- the podium. Just
6 don't lean on it. It moves around. And then,
7 while -- while she's coming up, there was another
8 question, as well. Yeah.

9 MS. CATHERINE A. L. WICKLUND: Mine's
10 quick, I think. Do you have any idea, like, in
11 the state of New York, how many women are getting
12 offered SMA carrier testing from a prenatal
13 standpoint?

14 DR. ALEX R. KEMPER: Oh, I have no idea,
15 no idea.

16 MS. CATHERINE A. L. WICKLUND: Okay.

17 DR. MEI WANG BAKER: Also, I have a
18 question regarding the Taiwan data. So, seven --
19 did you know what type all of them? Because --

20 DR. ALEX R. KEMPER: I'm sorry --

21 DR. MEI WANG BAKER: The type. So, you
22 have a 7 out of a 120,000, so they're type 1, 2,

1 3, or 4?

2 DR. ALEX R. KEMPER: Oh, oh. You know, I
3 don't actually --

4 DR. K.K. LAM: There -- there's more
5 information in the paper. Again, we just didn't -
6 - we didn't include it here because this was
7 jammed, but it's in the Jan et al 2017. It just
8 came out this month -- or, well, July. I can
9 follow back up. I have it, actually, sitting
10 right on my computer.

11 And just a quick update: I was just
12 informed by someone, I'm sure, much more
13 knowledgeable that the Taiwan study -- The
14 screening method, apparently, did detect carrier
15 status, but they -- like, it was not -- the
16 method itself was not blinded to carrier status,
17 but they chose not to report, so.

18 DR. MEI WANG BAKER: So, the reason I
19 ask: I think it's very relevant to newborn
20 screening, because I think type 4 still debate in
21 terms of that whatever come to the clinic
22 attention, so I think we need to know that.

1 And second part, for the technical part,
2 in terms of carrier risk status, actually, quite
3 a bit of discussion in our state. I think when
4 you do the real-time PCR, if you choose -- like,
5 you just quiet. If for SMN1, no signal unless
6 it's a homozygous. Now, you don't to assess how
7 much there that you were in the position. You
8 really don't know it's the carrier or not. This
9 what are we, perhaps, likely choose to do is
10 different than a hemoglobin, because human
11 pattern, you know, in front of you. You know
12 exactly what it is. But for the SMA, you can
13 trust not to know.

14 DR. ALEX R. KEMPER: Anything else?

15 DR. JOSEPH A. BOCCHINI, JR.: So, Carol?

16 DR. CAROL GREEN: Carol Green, SIMD. I'm
17 thinking, if I'm not being naive, that the false
18 negative rate is also going to change depending
19 upon whether you report heterozygous, because
20 from your very nice description, some of the
21 other variant forms have one mutation in SMN --
22 or -- or have one deleted and some other

1 mutation, and --

2 DR. ALEX R. KEMPER: Correct.

3 DR. CAROL GREEN: -- if those go to
4 neurologic evaluation, they could be picked up.
5 So, I think that method affects not just the
6 genetic counseling downstream but also the false
7 negative rate.

8 DR. ALEX R. KEMPER: That -- that's
9 exactly my understanding, and we really need to -
10 - we just haven't been able to dig into that part
11 yet, but I -- I think that, you know, given that
12 there's probably, like, 5% or -- of -- or so of
13 individuals with SMA that fall into that group,
14 that's something that we're going to have to sort
15 out.

16 Okay. So, again, I'm -- I'm not going to
17 repeat that because we just did that. So,
18 treatment is with -- This -- For whoever goes
19 next, be careful when you lean against this
20 thing, because it goes up and down. But
21 nusinersen was FDA approved in December of 2016.
22 This is the first disease-modifying therapy for

1 SMA. It's an antisense oligonucleotide drug which
2 alters SMN2, allowing more SMN protein to be
3 produced.

4 And the thinking -- and again, we while
5 we haven't gotten there yet on -- on therapy, is
6 that -- that the earlier intervention, the -- the
7 better, because once you've lost the -- the
8 neurons, you've lost the neurons. So, that's the
9 argument for early intervention with therapies
10 like nusinersen.

11 And again, we talked before about the
12 clinical care, and I won't go through there. I do
13 want to say that there are other therapies that
14 are in development, including gene replacement
15 therapy and some other targeted therapy that
16 alter SMN2. So, lots of really very interesting
17 things that are out there.

18 One of the things we're going to be doing
19 shortly is holding our first technical expert
20 panel call. So, we've already had one call
21 related to screening. Again, how well screening
22 works really dictates so much of the work that we

1 do. We wanted to really frontload things with
2 screening instead of what we've done in the past
3 by, you know, just sort of marching through the
4 epidemiology first.

5 So, these are the individuals that have
6 agreed to participate in our technical expert
7 panel. So, it includes a wide array of experts in
8 the condition. We also have Dr. Jarecki, who is
9 the chief scientific officer for Cure SMA and
10 also helped put together the nomination package,
11 and then others. I -- I won't read their names.

12 One of the things that -- that I think is
13 very important, as well, is that we have a -- a
14 mother of a child affected with SMA who will be
15 participating in the technical expert panel call.
16 I think that's -- that's important to make sure
17 that we really, you know, have a holistic sense
18 of the condition to guide our review process.

19 So, our next steps are going to be
20 convening the technical expert panel, marching
21 through with our systematic evidence review,
22 working on the decision analysis and the Public

1 Health System Impact Assessment. Again, these are
2 things that we've done in the past, and I won't
3 review the -- the details unless you want to
4 discuss them more.

5 This, again, is just our -- our -- our --
6 our timing, and you can see where we've moved
7 into -- and my guess is, you all probably don't
8 care too much about the particular timeline as
9 long as we actually get it done, so I won't
10 belabor that point and just open things up to any
11 other questions you might have.

12 You know, I should have mentioned
13 earlier, as well, that we have two liaisons from
14 the advisory committee who will be helping us
15 out. They include Dr. Matern and Dr. Tarini. I'm
16 putting it up in the air because she's on the --
17 the webinar, I believe. So, with that, I'd like
18 to open things up to any other questions.

19 DR. JOSEPH A. BOCCHINI, JR.: Thank you,
20 Alex. I think for the committee -- (Audio
21 interference) This is going to be -- this is
22 going to be a problem, or maybe this will work.

1 So, for the committee, this is an opportunity to
2 give feedback to Alex about where they are and
3 whether there are any issues or thoughts that
4 anybody on the committee has in terms of areas
5 that they need to be considering that are not
6 being considered at the present time or other
7 feedback for Alex and the workgroup at this
8 point. Dieter and then Cathy.

9 DR. DIETRICH MATERN: Alex, I'm glad that
10 you've got so much done already in such short
11 time and -- given your move. My biggest concern
12 at this point is really the carrier rate, as I
13 indicated before. With New York, I guess it's not
14 such a big problem because everyone has been
15 consented, so they knew this might be a possible
16 outcome. So, I think it will be important to find
17 some evidence how -- how this is being received
18 by families right now with -- when they are
19 consented, whether there are any concerns with
20 some of those that are still surprised or how
21 this could be addressed.

22 I'm not so concerned about the potential

1 false negative rate, even if it's 5%. I know we
2 don't like, in newborn screening, anything that
3 is not a hundred percent, but on the other hand,
4 if you're transparent and make it public that you
5 will miss cases, I think that it's something we
6 will have to live with if we decided to screen
7 for it.

8 DR. JOSEPH A. BOCCHINI, JR.: Cathy and
9 then Jeff.

10 DR. JEFFREY P. BROSCO: Oh, I was going
11 to ask if I could ask if I could follow up on
12 what -- what Dieter just said.

13 DR. JOSEPH A. BOCCHINI, JR.: Okay.

14 DR. JEFFREY P. BROSCO: So, in -- in
15 particular -- it may not be in this particular
16 group when they looked at carriers, but how did
17 carriers respond to the information would be
18 helpful. So, I don't know if it's asking too much
19 to look beyond SMA, but to the degree that
20 there's evidence out there about how carriers in
21 general respond to the information, that would be
22 really helpful in this case, because I'm not sure

1 that in the New York study, they specifically
2 followed up on carriers and how they received the
3 news.

4 DR. ALEX R. KEMPER: Yeah. I mean, that's
5 certainly something that we can ask the New York
6 folk. I want to be careful, just because we have
7 such a constricted timeline, of promising a
8 bigger report on carriers, but I mean, you all
9 are experts in this, as well, so hopefully you'll
10 be able to bring some of that to bear.

11 MS. CATHERINE A. L. WICKLUND: Cathy
12 Wicklund, and I -- this, I know, is probably
13 outside the scope, but I guess one of my concerns
14 is, again, the repetitive nature of prenatal
15 screening for something like this. You know, SMA
16 is -- I'd be interested to know, again, like, how
17 many people are really getting offered SMA
18 carrier screening. It's one of the ones that is
19 more typically offered in a prenatal setting.

20 And then, also, we're, like, now
21 potentially adding it to the newborn screen,
22 which is not unusual. Sickle-cell, it's the same

1 way. CF is the same way. And it's happening a
2 lot. So, I -- I'm just wanting to, like, I guess,
3 make a comment on the repetitiveness of what
4 we're doing and the cost involved in multiple --

5 DR. ALEX R. KEMPER: Yeah.

6 MS. CATHERINE A. L. WICKLUND: -- you
7 know --

8 DR. ALEX R. KEMPER: I mean, you -- you
9 bring up -- I mean, I'm -- I'm sensitive to this
10 in our -- in our evaluation side of things,
11 because the yields of newborn screening is going
12 to be strongly affected by prenatal detection.

13 I mean, certainly, Scott Grosse has done
14 a lot of work on what happens in communities
15 where, you know, babies are picked up, while
16 they're, you know, in -- in utero, with a
17 congenital heart defect on, you know, what
18 happens with newborn screening for congenital
19 heart disease. So, beyond -- beyond the, sort of,
20 you know -- you know, potential duplication of
21 effort and that kind of thing, the expected
22 outcomes are going to vary based on whether or

1 not fetuses are identified ahead of time or
2 parents know about their carrier status.

3 So, I -- I -- I think you're exactly on
4 target. I think that that question is really
5 important in terms of understanding the benefit
6 of the screening. That being said, I -- we can
7 look and see what we can find, but I doubt we're
8 going to find anything.

9 MS. CATHERINE A. L. WICKLUND: I agree. I
10 think it's -- it's just something that,
11 especially when you're, kind of, talking about
12 carriers, we could be already identifying a lot
13 of people who know they're a carrier --

14 DR. ALEX R. KEMPER: Right. And those
15 are, like --

16 MS. CATHERINE A. L. WICKLUND: -- or --
17 or there's not --

18 DR. ALEX R. KEMPER: Yeah.

19 MS. CATHERINE A. L. WICKLUND: -- or they
20 don't choose to actually, you know, test their
21 partner; some don't. And then, we're -- Like, how
22 do we deal with that -- that -- and, again, in

1 the newborn screening phase.

2 DR. ALEX R. KEMPER: Yeah. I'm -- I'm
3 with you.

4 DR. K.K. LAM: And -- and just to
5 comment, anecdotally, at least, just purely
6 anecdotally. We don't know the numbers at this
7 point, but some of the comments back from the New
8 York folks said that -- that their -- the folks
9 who were identified as carriers were -- many of
10 them -- many were aware. Yes. And --

11 DR. ALEX R. KEMPER: I forgot that they
12 said that, yeah.

13 DR. K.K. LAM: Yes.

14 DR. ALEX R. KEMPER: They did mention
15 that.

16 FEMALE SPEAKER: That's K.K. Lam talking,
17 so.

18 DR. ALEX R. KEMPER: Yeah, that is the
19 world-famous K.K. Lam.

20 FEMALE SPEAKER: Yeah.

21 DR. ALEX R. KEMPER: We want to make sure
22 that's in the minutes.

1 (Laughter)

2 DR. JOSEPH A. BOCCHINI, JR.: Okay. So,
3 first we have Dr. Baker, and then we have Beth
4 Tarini on the line, and then Carol Green. And --

5 DR. MEI WANG BAKER: I just want to
6 emphasize --

7 DR. JOSEPH A. BOCCHINI, JR.: -- Siobhan,
8 Mike.

9 DR. MEI WANG BAKER: Oh, sorry. I just
10 want to emphasize: When you do the evidence
11 review, I think it's terribly important, the
12 type. You -- you -- we need that, because this is
13 the first time we're able to, from a screening
14 point of view -- The reason is, type 4, if we use
15 clinical phase -- because this is not a very
16 common, but because you don't genetic testing, if
17 they're not have symptom, they'll never come to
18 the clinical attention. I think it's very
19 important for the program to prepare. And
20 especially the newborn screening program, if we
21 choose only to report SMN1, then the family need
22 to know. I think it's terribly important.

1 And also, in terms of carrier -- And look
2 the -- the errors when you provide. Sounds like
3 some program mentioned -- in New York, after
4 SMN1, quote, unquote, carrier, they will do the
5 sequencing. If that sequencing at their -- Can
6 they be in a position to do more beyond this
7 deletion?

8 So, this -- I feel, in that group, the
9 chance have a heterozygous -- another mutate,
10 because 5% is one deletion, and a heterozygous
11 would another one could be potentially another
12 thing. It's like Carol was talking about a
13 carrier. The -- the sensitivity can change. If
14 you only report genetic counseling without a
15 clinical assessment, I'm not so sure you can
16 change the sensitive range.

17 DR. JOSEPH A. BOCCHINI, JR.: Beth, on
18 the phone?

19 DR. BETH TARINI: Yes. Beth Tarini. So, I
20 just wanted to comment on the false negatives. I
21 also had a question. My first -- Two questions.
22 The first was, what's the comparative rate for

1 other disorders, and the second was a follow-up
2 on Dieter's comment that this is something that
3 we're going to have to live with, which may very
4 well be possible outcome.

5 But I want to put forth the consideration
6 that the goal of screening is always, primarily,
7 to minimize missed cases. That's why the
8 sensitivity is always given special emphasis. And
9 if we are going to, as a group, accept 10%, then
10 we may be -- and I don't know the answer, because
11 I need the answer to the first question -- we may
12 be changing our standards. And if we are going to
13 accept a higher false negative rate, the question
14 I have is: for what gain?

15 And I think this is something that we
16 need to explicitly discuss at the next meeting,
17 because this can become a slippery slope. If we
18 accept 10, do we accept 15? Do we accept 20? And
19 if -- if this matters, then we need the false
20 negative rate for everyone, all the disorders
21 pending and all the disorders existing.

22 DR. ALEX R. KEMPER: So, can -- can I

1 just comment on that? So, Beth, I -- I -- I
2 understand your anxiety about missing cases, as
3 well, but I think back --

4 DR. BETH TARINI: Well, I don't have
5 anxiety; it's not personal.

6 DR. ALEX R. KEMPER: Well, I -- no, no,
7 no, no. Well --

8 DR. BETH TARINI: (Off-mic speaking).

9 DR. ALEX R. KEMPER: -- intellectual --
10 How about intellectual anxiety? Well, I mean,
11 none of us want to miss cases, right?

12 DR. BETH TARINI: (Off-mic speaking)

13 DR. ALEX R. KEMPER: I think all of us
14 have anxiety about missing cases, but -- but --
15 but that being said, you know, there's some
16 conditions where you -- I -- I -- I think, again,
17 back to CCHD newborn screening, where, you know,
18 not every affected baby is going to be picked up.
19 So, I think that there's, you know, this issue of
20 balance of overall benefit and harm. So, if it
21 turns out that you can pick up most cases, and by
22 early identification, you lead to, you know,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 significant benefit for those babies that are
2 detected, then even if you're missing some cases,
3 then -- then maybe that's okay.

4 So, I -- I mean, I certainly can't
5 compare, especially within the constrained time
6 frame that we have, the false negative rate for
7 SMA against a bunch of other conditions, but --
8 and I -- I may be stepping outside of what we're
9 allowed to do in terms of evidence review, but
10 one of the things that we will be able to produce
11 for you is expected number of cases picked up,
12 and then, from that, you can estimate what the
13 overall net benefit would be. So, I -- I --
14 Hopefully, that'll help.

15 DR. BETH TARINI: No, I think that you
16 are correct in showing the balance of benefits
17 versus harm, and I think that is the next step.
18 Do we expect a benefit balance to outweigh the
19 missed, and what do we get for the risk of the
20 missed?

21 I also want to clarify that I'm not
22 anxious; it's not a personal issue. Simply asking

1 a question is raising a point of question. It's
2 not a point of personal anxiety.

3 DR. JOSEPH A. BOCCHINI, JR.: Mei?

4 DR. MEI WANG BAKER: Okay. Yeah, I want
5 to make some comments on that, too. So, I think -
6 - I would think this -- this issue is the
7 screening sensitivity, because the -- in term,
8 you cannot detect all the case, and not because
9 the assay problem. It's because you choose the
10 deletion of a type if you not choose.

11 So, I think -- My opinion is, it's
12 acceptable. You just need to settle the
13 expectation at the beginning. Understand that the
14 limitation. If we talk about a CF, the
15 sensitivity is at 96% overall experience. The
16 reasoning is, these RT. If the mutation doesn't
17 affect your pancreas function and you will not
18 use RT, no matter what you do.

19 So, I think -- CF -- Well, everybody
20 accept this sensitivity. That's -- I don't think
21 it's because the assay perform. Then we have to
22 be very careful in term slow, slow. That's my 2

1 cents.

2 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
3 Next, we have Carol Green, then Dr. Doyle, Dr.
4 Watson.

5 DR. CAROL GREEN: Carol Green, SIMD. The
6 discussion of the false negative is going to be
7 fascinating, and it needs to be -- I -- I would
8 like just to add to what Dr. Baker said. It needs
9 to be looked at in context, and it has to do with
10 the disease definition. We've never picked up all
11 the homocystinurias. We pick up homocystinuria
12 due to cystathionine synthase deficiency, because
13 the other ones have low methionine, and our
14 method is looking for high methionine.

15 And it has implications for what the
16 neurologist and the pediatrician and everybody
17 understands. The -- if we decided that we needed
18 to pick up every heart defect, and screening for
19 cyanotic heart defect picks up those which are
20 cyanotic, we might not be picking up babies who
21 need help now if we decided that we couldn't
22 screen for cyanotic heart defect because we

1 weren't going to pick up coarced.

2 So, if you set your definition and then
3 you pick up the cases so you can have an argument
4 about whether you only look for deletions or
5 whether you have to be able to sequence the whole
6 gene, but if what you're looking for is SMA due
7 to the deletions, then you have to just go with
8 your definitions.

9 We've always -- we've never picked up all
10 the hemoglobinopathies. We're -- we're looking
11 for specific hemoglobins. And the reason I
12 originally raised my hand is, on the issue of
13 carriers, there's lots and lots and lots and lots
14 in the context of newborn screening because of
15 the hemoglobinopathies. And there are -- there's
16 a lot written on it, and there are states that
17 have decided not to disclose carrier status, and
18 there are states that do disclose. And so, I
19 think there's a lot already on that, and I have a
20 feeling that's when you raised your hand, as
21 well.

22 DR. SIOBHAN DOLAN: This is Siobhan

1 Dolan. I just wanted to comment on the prenatal
2 aspect. So, spinal muscular atrophy has a sort of
3 interesting history, because for several years,
4 there were conflicting guidelines for
5 obstetricians. The American College of OB/GYN
6 said that it was really rather complicated and
7 challenging for obstetricians to screen
8 routinely, so unless they had a setting where
9 they could provide the post-test counseling, it
10 wasn't, sort of, required, or it wasn't
11 considered in the standard versus American
12 College of Medical Genetics, who said, based on
13 carrier frequency, we should be screening for it.
14 So, this has been several years in the -- in the
15 practice setting, and so obstetricians had to
16 figure out how to deal with it.

17 Most recently, in March 2017, just
18 several months ago, new guidelines came out
19 suggesting that -- this is from the American
20 College of OB/GYN -- suggesting that spinal
21 muscular atrophy, along with cystic fibrosis,
22 fragile X, and the hemoglobinopathies, should be

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 offered to every pregnant woman. It's incredibly
2 challenging for the general obstetrician to do
3 that with the attendant counseling required for
4 the different inheritance patterns of those
5 conditions and the requirement for partners and
6 so forth.

7 At the same time, and specifically in the
8 New York area, the whole idea of panethnic or
9 expanded carrier screening panels has really
10 risen dramatically. It's accessible; the insurers
11 are paying now. So, a lot of obstetricians have
12 just said: This is really complicated. I'm just
13 going to go to expanded carrier screening. When I
14 find something, I'll refer to genetics. So, you
15 have, sort of, a bunch of different, conflicting
16 things happening at once.

17 What I'll tell you from the patient
18 perspective is, when we start these long
19 discussions -- because I do see the patients in
20 genetics -- the carrier issue, basically what
21 they want to know is kind of a -- what pregnant
22 women and couples want to know is like a

1 dichotomous outcome: Do I need to worry or not?
2 And all our discussion about copy number and all
3 this stuff, like, really just goes right, sort
4 of, over -- around people's heads. Despite their
5 effort to try to understand it, it's just
6 overwhelming.

7 So, I think what happens, or the risk I
8 see, potentially, is, when we do all this
9 prenatal counseling, get the partner in, assess
10 the risk for particular diseases, and end up
11 saying, bottom line: Don't need to worry. Not a -
12 - I mean, we'll give a residual risk, but it's
13 going to be low, and we'll try to reassure the --
14 the patient and the couple.

15 Now when it comes back up in newborn
16 screening, is it something to worry about or not?
17 Did we have the right father of the baby? Is the,
18 you know, testing -- was it actually accurate?
19 Did all this happen?

20 So, we have a risk of both patients being
21 overly concerned, again, in the newborn screening
22 period about something that they already thought

1 they dealt with or ignoring what happens in the
2 newborn screening period because they feel like,
3 "I already dealt with this."

4 So, it's pretty tricky terrain, and SMA
5 has been a really conflicted issue for years.
6 Hopefully we're moving in the right direction,
7 but patients are going to come into newborn
8 screening with a lot of history potentially.

9 DR. ALEX R. KEMPER: Can I ask you a odd
10 question, Dr. Dolan? Are there any data -- I know
11 you where it says -- Are there any data about the
12 percentage of pregnant women that are getting
13 screened for SMA, getting carrier screening?

14 DR. SIOBHAN DOLAN: I'm not aware of any
15 data, but it's -- it's been a place where
16 conflicting guidelines were noted, and that has
17 changed in March, and it takes a while to change
18 practice patterns. So, I'd say, it's a moving
19 target right now, and we -- we -- you know, we
20 really don't know. So, even if one were to
21 collect data right now, I think it -- it would be
22 changing.

1 And like I said, this, sort of, sense of
2 overwhelmed for the obstetricians to be able to
3 do all this counseling is really opening the door
4 for the expanded carrier screening panels, which
5 there's -- there's some interest there in looking
6 at the utility of that and the cost effectiveness
7 of that. But I'll tell you, as a solution to a
8 logistical issue, it's really gaining a lot of
9 traction. So, I think -- I think, but I don't
10 have data on this, we'll see that be the
11 solution.

12 DR. JOSEPH A. BOCCHINI, JR.: So, we have
13 Mike and then Carol Green again.

14 DR. MICHAEL WATSON: So, I would -- This
15 is not my question, but I bet it'll end up around
16 30% will have carrier screening, because that's,
17 sort of, where CF seems to have leveled off and
18 as they go to expand it, it'll probably be
19 similar.

20 But my question is about, when you get to
21 your step 8 on evaluating the public health care
22 system and the -- and the other health -- the --

1 the other health care, quote, system, how do you
2 -- what do you look at in the health care system
3 itself? And we have huge capacity problems now
4 for just running newborn screening pilots first.

5 And then, X-ALD, in the state of
6 California -- The providers there are saying, "We
7 just can't absorb another screening test,"
8 because the X-ALD carriers are burying the -- the
9 -- the workforce.

10 So, when you look at the health care
11 system part of the problem, I know most of what
12 you -- you've talked about in the past has been,
13 sort of, public health system capacity, which not
14 always has the health care system piece. I mean,
15 it sort of says, yes, there is a system, and we
16 can get people into it, but then do you look at
17 the capacity of that side of the system for these
18 kind of things?

19 DR. ALEX R. KEMPER: Yeah, you're --
20 you're -- you're talking right in terms of this
21 limitation of the scope of the work that we have.
22 We certainly -- We -- we simply, within the --

1 the time period allotted to do these reviews, we
2 can't look at, you know, what the system that's
3 in place outside of newborn screening to provide
4 the care --

5 I mean, we can -- You know, we're going
6 to look at the, you know, case reports and those
7 kinds of things about, you know, children with
8 SMA who are diagnosed and, you know, like, you
9 know, they're -- obviously, they're getting
10 diagnosed and treating that kind of thing. But we
11 simply don't have the resources, nor the time, to
12 be able to drill into what the availability is in
13 the -- on the clinical side outside of the
14 newborn screening programs. So, that's something
15 that, I mean, you all are just going to have to
16 use your expertise to -- to fill in that gap.

17 DR. JOSEPH A. BOCCHINI, JR.: Carol, I'm
18 going to give you last question or comment.

19 DR. CAROL GREEN: Just putting together
20 what Dr. Watson said and what Dr. Dolan said, and
21 the -- and also knowledge that even with CF
22 screening, we have experienced finding babies

1 with CF on the newborn screen where the family
2 says, "But I was screened, and I'm not a
3 carrier."

4 So, it -- it -- it's painful, and if
5 you've only got 30% being screened, even if it
6 goes up as people get more and more coverage and
7 -- and, you know, screening just -- carrier
8 screening becomes more common, and the paternity,
9 I -- I think that the understanding of the
10 prenatal screening is going to impact our
11 understanding of the economics of the newborn
12 screening, but it shouldn't change the fact that
13 we would need newborn screening to find the
14 affected babies.

15 DR. JOSEPH A. BOCCHINI, JR.: Before we
16 close this session, are there any questions or
17 comments from the individuals who are on the
18 phone?

19 (No audible response)

20 DR. JOSEPH A. BOCCHINI, JR.: Hearing
21 none, thank you, Alex, for bringing us up to
22 date. I will echo Dieter's initial comment about

1 how much has been done in a short period of time,
2 so thank you. As everyone knows, this is the
3 first condition that we are looking at within the
4 -- the -- our -- our requirement to look at each
5 new condition in a 9-month time frame once it's
6 accepted by the committee and goes to the
7 Evidence Review Workgroup. So, thank you for
8 keeping us on track.

9 DR. ALEX R. KEMPER: Thank you, and,
10 again, thank you to -- to Dr. Lin for keeping the
11 trains moving.

12 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
13 So, next on the agenda is a presentation on the
14 quality measures project. This is in the Follow-
15 Up and Treatment Workgroup. Dr. Brosco is serving
16 as chair of that workgroup, and Dr. Alan
17 Zuckerman, who has been heading this effort and
18 has been the -- the lead on putting together the
19 data and working through the issues with the
20 workgroup and then with feedback from the
21 committee.

22 As the colleagues present -- as our

1 colleagues present this report, I'd like the
2 committee to be thinking about what we've learned
3 through this effort and what the committee would
4 think, going forward, the workgroup and the
5 committee might take from this, and then plan to
6 address relative to the findings. So, with that,
7 I'll turn it over to Jeff.

8 DR. JEFFREY P. BROSCO: Thank you, Dr.
9 Bocchini. So, as you just heard, we're going to
10 spend, maybe, 15 minutes or so letting you know
11 what our workgroup has done and then having, we
12 hope, an extended discussion to get some ideas of
13 where to move next, and then this afternoon,
14 we'll -- we'll dig into your suggestions.

15 So, this is the clinical quality measures
16 part of things. So, why are quality measures so
17 important? Why does this matter? So, one of the
18 first things is understanding that quality
19 measures are understood as a very technical term.
20 They're standardized, quantitative assessment
21 tools, and there's an evidence base that suggests
22 that if you give penicillin to a child with

1 sickle-cell disease, they have better long --
2 out-term -- long-term outcomes. And so, there's a
3 reason why we want to follow it. It's typically a
4 ratio, and you can track this progress over time:
5 How well are we doing?

6 You can also look at health outcomes. You
7 can also look at attitudes. There are lots of
8 different measures that you can look at when
9 you're talking about quality measures. And
10 they're becoming a critical part of a learning
11 health care system. So, almost all of us are
12 involved in quality improvement, quality
13 assurance activities.

14 It's also being built into clinical
15 decision-making. It's part of our EMRs. It's part
16 of maintenance and certification for
17 professionals at all levels, and it's really
18 becoming a critical part of how people get paid
19 at the individual provider level and even at the
20 managed-care organization level.

21 And -- Well, the key point I want
22 everyone to understand here is, if you have the

1 wrong measures, it can really be bad. And right
2 now, there are a lot of measures that are out
3 there that don't necessarily reflect what
4 families care about, what patients care about,
5 what we as providers do, and just because they're
6 available, sometimes we use them. So, this is
7 really a critical topic for how our kids do in
8 the long term.

9 Just to remind everyone: This is
10 something, the long-term follow-up, that we as a
11 committee have been interested in for -- for
12 years, and it goes back to the original paper
13 that -- that Alex Kemper did, when we first
14 started talking about, what are the things we
15 need to look at in long-term follow-up. And you
16 can see here the essential components and the key
17 -- the key features. And they're, sort of, the
18 core of what we want to look at for how the
19 children identified in newborn screening do in
20 the long run.

21 This was followed up by the next part of
22 what this committee has been doing over the last

1 decade, and that is looking at what specific
2 questions should we ask about long-term follow-
3 up. And, again, the idea of care coordination,
4 evidence-based treatment, and quality improvement
5 are central to this in looking at different
6 levels. And what this group did, led by Cynthia
7 Hinton, was look at the different levels at which
8 long-term follow-up makes sense, the different
9 perspectives.

10 And then, most recently, out of our
11 advisory committee came a framework for assessing
12 these outcomes, again, led by Cynthia Hinton. And
13 this is the framework that I presented back in
14 May, for those of you who were here, and if you
15 look, it really lays out a -- a very nice
16 structure for understanding what we want to look
17 at for long-term outcomes.

18 So, on the far left, you can see that
19 there are things like mortality, complications,
20 function, growth, patient/family experience, and
21 disparities. So, this is what we're -- the big
22 stuff that we're looking at.

1 And then, you can see in the central part
2 of this, there are different drivers that can
3 help us understand: Well, how do we get to those
4 outcomes?

5 And then, finally, on the far right, you
6 start to see some of the measures, some of the
7 things we can look at to see how well we're doing
8 with long-term follow-up. And it's this far
9 right-hand column that was, sort of, the -- the -
10 - what we're working on now as a workgroup.

11 I guess it was almost 15 months ago when
12 the secretary's advisory committee asked the
13 Long-Term Follow-up and Treatment Workgroup to
14 have a sub-workgroup look at quality measures,
15 and the key thing, really, is to say, well, what
16 is the role of quality measures in promoting
17 long-term follow-ups? That's the focus, and in a
18 minute, Alan's going to tell you about all the
19 work that the -- the group has done.

20 And the big idea is, we're going to focus
21 on, how -- what's the state of the art, what are
22 we doing in quality measures, how is clinical

1 quality measures, what are they related to
2 newborn screening in particular, because they
3 really are ubiquitous (sic) in the medical care
4 system now. And then, lastly, they looked at some
5 case studies to see, how is this really working
6 out.

7 And we've had regular meetings over the
8 last 15 months. We've had a -- we have a
9 background document that's in the -- the dossier
10 for all of you to look at, and we have some case
11 studies that suggest what we can do in the
12 future.

13 And with that, I'm going to turn it over
14 to Alan so he can lay out some of the details of
15 what the -- the group has found.

16 DR. ALAN ZUCKERMAN: Most of the work
17 with quality measures for newborn screening have
18 focused on two kinds of questions: Who's been
19 screened for what conditions, and what happens
20 after someone has a positive screen. We're now
21 going to shift gears and look at what happens in
22 long-term follow-up of children whose conditions

1 were diagnosed through newborn screening.

2 There hasn't been a lot of child health
3 quality measures, and that was one of the reasons
4 that AHRQ and CMS had a partnership mandated by
5 the Children's Health Insurance Reauthorization
6 Act in 2009 to address this lack of child
7 measures and meet a desire to improve quality of
8 care for all children, not just those in Medicaid
9 and CHIP. The first phase that started in 2011
10 funded 7 centers of excellence to increase this
11 portfolio of evidence-based child health quality
12 measures, and one of those sites developed
13 several measures for sickle-cell.

14 Phase 2 that began last year is
15 supporting 6 sites to -- to study the feasibility
16 of implementing these measures in the real world,
17 and there are 2 sites that are looking at
18 different measures for sickle-cell. And these
19 sickle-cell measures that are developed are now
20 being tested and will be available for use in the
21 future.

22 What we've learned from this experience

1 is that evidence-based measures are difficult and
2 expensive to develop, validate, and implement,
3 even for a common condition that's well
4 understood, such as sickle-cell disease. Sickle-
5 cell disease is also an excellent example of
6 efforts to use quality measures to track proven
7 therapies in use.

8 As was shown by an HQ report to Congress
9 in 2014, there are indeed real deficiencies in
10 care through quality measures for immunizations,
11 for phylactic antibiotics, and particularly
12 ultrasonography screening. But individual
13 intervention programs using iterative cycles have
14 indeed documented improvements in outcomes and
15 decrease in emergency room use.

16 But it also emerged that it's very
17 important to encourage cooperation and engagement
18 of primary care specialists and emergency
19 physicians if we're going to optimize care for
20 children identified through newborn screening.
21 Indeed, there are gaps in delivering services to
22 children that can be addressed by quality

1 measures that help to improve the long-term
2 outcome.

3 We've also been able to demonstrate that
4 optimal care in a condition like sickle-cell
5 disease, starting the right treatment at the
6 right time, does indeed make a difference for
7 outcome.

8 A very interesting study at the
9 University of Maryland looked at the ability to
10 do long-term follow-up and primary care, and they
11 were successful in getting data collected in
12 three different large, primary care practices.
13 Their targets were sickle-cell disease and
14 hearing loss, and the total number of cases, as
15 one might expect, was relatively small. They also
16 demonstrated that they could use NCQA tools to
17 evaluate medical home capabilities, the capacity
18 to care for children with special needs and their
19 families.

20 But, again, improving communication
21 emerged as a key to address the incomplete
22 information that primary care providers are

1 dealing when they're attempting to follow-up
2 newborn screening. We learned that primary care
3 can participate and measure medical homes, and
4 sometimes even track children who are not
5 identified by newborn screening.

6 For many decades, the Cystic Fibrosis
7 Foundation has been funding a nationwide network
8 of centers of excellence that are required to
9 report and share their outcome measures. Over the
10 years, this work has led to significant new
11 knowledge discovery about which treatments, such
12 as missed tests or various forms of antibiotics,
13 are most effective, and this has led to important
14 improvement in care and long-term outcomes.

15 What we learned are, the quality measures
16 can indeed be an important tool for new knowledge
17 discovery and closing gaps in evidence, and they
18 were successful because of the privacy
19 protections that were such an important part of
20 building cooperative data sharing but also limit
21 the outside access to that data by others. And
22 yet, they yield important findings that have been

1 shared. National networks are indeed a valuable
2 and productive resource to compare different
3 sites.

4 The Mountain States Regional Genetics
5 Collaborative developed an MCAD checklist that
6 was integrated into their Epic EHR to collect
7 data on several measures. They identified
8 deficiencies both in care and documentation and
9 addressed improving the communication at each
10 visit. The tool was particularly helpful as a
11 reminder to new providers who'd never seen a
12 patient with this disorder and when patients
13 showed up in the emergency department.

14 What we learned are that integrating
15 quality measures into routine care is an
16 excellent strategy for continuous quality
17 improvement and eliminates the need to fund
18 additional data collection through redundant
19 databases.

20 A number of years ago, with the dawn of
21 the meaningful use EHR incentive program that was
22 created by the HITECH Act, I had a chance to

1 address this committee about the list of
2 certified quality measures that was going to be
3 required for reporting, and in the efforts that
4 followed, the CDC became the custodian for early
5 hearing detection intervention measures that were
6 certified by the National Quality Forum, a
7 requirement in the early phases of meaningful
8 use. Having these certified measures has helped
9 to improve data reporting from the states, and
10 among things that were done is, large numbers of
11 infants, some screened before hospital discharge
12 and some after discharge, could be compared for
13 time to audiological testing.

14 What we learned is that the NQF process
15 of developing electronic measure formats and
16 gaining certification is very time-consuming to
17 get through the ballots, and it's difficult but
18 feasible for some conditions. But at the same
19 time, having these standardized measures can help
20 to improve the completeness of data reporting.
21 These measures never made it into the meaningful
22 use list because they've been used primarily by

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 health departments rather than hospital EHRs.

2 One of the best examples of public health
3 efforts in this area is the work of the
4 California Department of Health on long-term
5 follow-up that has been made possible by
6 including in the California newborn screening fee
7 funding for both long-term follow-up and for data
8 collection. We looked at studies of congenital
9 hypothyroidism and cystic fibrosis that are
10 excellent examples of what health compartments --
11 health departments can do when they have access
12 to data.

13 We've also learned that while many health
14 departments have huge respect for what California
15 has done, they feel that they do not have the
16 resources or a mission to replicate these
17 methods. California also ends their follow-up,
18 typically, at age 5, which is not going to be
19 adequate for some of the new conditions. And
20 often, long-term follow-up in other states may
21 take place in other divisions of the health
22 department rather than as part of the mission of

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 the newborn screening program.

2 The National Survey of Children's Health
3 provides us with an interesting window into the
4 consumer side of quality, and in 2016, this
5 survey merged with the former National Survey of
6 Children with Special Health Care Needs conducted
7 annually by HRSA. The questions cover a range of
8 consumer satisfaction issues and access to
9 services that are incredibly well aligned with
10 those key questions that our workgroup had
11 developed previously. But, currently, there's no
12 way to identify children who are identified
13 through newborn screening, but they're beginning
14 to ask about whether a child's conditions are
15 heritable.

16 We've learned that these surveys provide
17 important data on access to medical homes,
18 adequacy of insurance, even access to clinical
19 trials, and certainly, availability of services
20 in the real world. Some health departments, in
21 fact, such as Hawaii, have used some of these
22 questions when their own newborn screening

1 families survey so they can compare things to
2 national norms and deal with standardized
3 questions.

4 The Organic Acidemia Association is an
5 example of a disease advocacy organization that
6 collects data directly from its member families
7 that can provide key insights into the natural
8 history of disease and the availability of
9 services and support. But we also learned that
10 it's -- while it's important and feasible to
11 collect data directly from consumers, the self-
12 selected nature of the sample may not be
13 representative of the entire population living
14 with a condition.

15 Several trends emerge from looking at
16 these case histories and help us begin to
17 identify the types of gaps and barriers that
18 we're facing in applying quality measures to
19 newborn screening. There clearly are -- are gaps
20 in evidence that must be bridged before we can
21 create measures. Many of these conditions have
22 subtypes that can present with a range of

1 severity. Best treatment options are not always
2 clear, posing a challenge for developing
3 condition-specific measures. But we also have a
4 number of cross-cutting generic measures that
5 apply across all newborn screening conditions and
6 are worth using in those situations.

7 Cystic fibrosis has also taught us that
8 quality measures can be a way to close gaps in
9 evidence on emerging conditions. There are indeed
10 gaps in developing measures because it's such a
11 challenge for rare disorders with late onset and
12 where evidence may be limited.

13 The NQF certification process is
14 difficult for newborn screening, and validating
15 measures is costly. They've recently added a
16 requirement for a thousand test cases for a
17 measure to pass through, and we often struggle to
18 find a single case identified by newborn
19 screening in early phases of evidence review.

20 The lack of pediatric quality measures in
21 general led to the CMS-AHRQ Pediatric Quality
22 Measure product. But even after we have measures,

1 if they're not adopted and used, they generate no
2 data, and this is where we need to attack the
3 cost of data collection and the small number of
4 patients in a single practice that become
5 disincentives to starting programs.

6 If we can integrate quality measures into
7 routine care, this may help deal with a range of
8 conditions. The measures for sickle-cell now give
9 us a good portfolio that are expected to increase
10 in use. Some of the models used by health
11 departments clearly are going to be difficult to
12 replicate, as health departments vary so much in
13 their mission, funding, and the communities that
14 they serve.

15 We also see that we need to move beyond
16 disease-specific measures, both those limited to
17 one disease or outcomes that use a single lab
18 test or other measure as a proxy measure of true
19 outcomes. Traditional approaches to quality
20 measure may indeed fall short for newborn
21 screening. We need to include public health or
22 system measures. We need to track that services

1 are available and that individuals are not lost
2 to fault, and also that they transition
3 successfully into adult care. We need child-
4 specific measures that focus on access to medical
5 homes, available treatment, child wellbeing, and
6 parent satisfaction with the care process. Our
7 data sources will probably need to move well
8 beyond just health care providers alone.

9 And, finally, we need to also include the
10 consumer perspective on quality measures, because
11 patients and families have their own definition
12 of quality. We need to listen to them, identify
13 needs and gaps that providers and the system may
14 be missing, including not only patient care but
15 the ability to participate in research studies,
16 access to specialists, and insurance coverage for
17 many of the expensive treatments for these
18 conditions. Several disease advocacy
19 organizations have successfully collected
20 important disease-specific data directly from
21 patients and families using general surveys and
22 patient natural history registries.

1 Quality measures are hard to do, but new
2 tools are likely to make it easier in the future.
3 The Office of National Coordinator for HIT, CMS,
4 and AHRQ have an electronic clinical quality
5 improvement resource center at ECQI dot HealthIT
6 dot gov, and this includes access to new health
7 IT standards for quality measure, definition, and
8 reporting, and even a quality data model for
9 extracting data from EHRs. But if the data isn't
10 in the EHR, it's never going to support the
11 measures. And we're still a long way from a goal
12 of having automatically portable measures that
13 will work in any EHR.

14 Access to available quality measures and
15 incentive programs is important as value-based
16 care becomes more available. The APHL NewSTEPS
17 program has created case definitions and case
18 reporting databases that can really help define
19 the denominator for newborn screening quality
20 measures, and the Newborn Screening Translational
21 Research Network has a Longitudinal Pediatric
22 Data Resource that has definitions of data

1 fields, including some of these core measures and
2 public health measures, that essentially are a
3 pathway to the -- to the numerator.

4 And at this time, Dr. Brosco's going to
5 come back and summarize our findings, as well as
6 point out some of the opportunities to take
7 potential next steps.

8 DR. JEFFREY P. BROSCO: Don't go far,
9 Alan. Before we go on, I want to publicly thank
10 Alan for -- and you can see, an incredible amount
11 of work has gone into this over the last 15
12 months. There's a whole workgroup involved, but
13 Alan personally has done a huge amount of work,
14 so thank you. We really appreciate it.

15 DR. ALAN ZUCKERMAN: And so have many
16 others.

17 DR. JEFFREY P. BROSCO: Many others have,
18 as well, and in fact, I want to also recognize
19 that Kamila Mistry has lent her expertise in --
20 in this, particularly over the last few months.

21 So, yesterday, not entirely by
22 coincidence, I was speaking to a state health

1 officer about some of the challenges with long-
2 term follow-up in our newborn screening program,
3 one of the newborn screening programs. She said,
4 "You know, we're still having trouble getting PKU
5 formula for babies in the first few months right
6 after diagnosis," and we talked about some of the
7 issues there. And then, she wanted to say, "All
8 these new conditions coming on that we have to
9 deal with, and we can't get PKU right yet."

10 And I think this really points out
11 something that this committee has felt for a
12 while, which is, we really need to make sure
13 we're doing a good job. If we can identify
14 children, there's some responsibility to make
15 sure they're getting the care that they need.

16 So, I've listed here, sort of, the
17 summary of what Alan has just presented and what
18 our workgroup has done, and I'll go through a
19 couple things. And then, I'm going to stop, and
20 you have a chance for some discussion.

21 And then, the next two slides are about
22 potential next steps, and we really need help

1 from the committee to set priorities, because as
2 you've heard -- Let's face it. Quality measures
3 are a crucial part of the health care system
4 nowadays, so they are everywhere. And our
5 children in newborn screening are pretty much
6 everywhere in the health care system, too. So,
7 while this is valuable, you know, and there's
8 ways we can do this with research and clinical
9 outcomes, we really do need to figure out what
10 our priorities will be.

11 You can see here that I pointed out, yes,
12 they're a part of our health care system, that
13 there are different types of quality measures.
14 And maybe this is one of the things for us to
15 think about, right? So, what do we want to do
16 next? What are those key things that we think we
17 can influence as an advisory committee?

18 It may be that the sorts of things with
19 sickle-cell disease, where we're trying to
20 improve quality of care for specific diseases --
21 That may not be something that we can do, but
22 that may be one area, looking at particular

1 diseases and those quality measures.

2 But it may also be at the level of the
3 children's health surveys and how children fit in
4 and other children with special health care
5 needs, because that's where a lot of the money is
6 going to be. That's where a lot of the financial
7 incentives are.

8 But perhaps most pertinently might be at
9 the level of the -- the state newborn screening
10 programs. All these different times of -- types
11 of quality measures depend, in part, on who you
12 are and what it is that you're trying to
13 accomplish.

14 I think I'm going to stop there, because
15 I really want to hear questions and comments
16 about where we are and what's next, or the things
17 that don't make sense or that we need to clarify.
18 And, Alan, you have to come a lot closer, because
19 you're going to help answer these questions.

20 DR. JOSEPH A. BOCCHINI, JR.: So, thank
21 you, both, very much. I -- I, too, want to
22 publicly thank Alan. I think his expertise,

1 informatics, and clinical information systems and
2 his knowledge of the subject have been really
3 important in pulling together what was needed to
4 go forward.

5 So, let's open this for discussion, but I
6 think that one question is, given the state of --
7 of -- of where we are and -- and the findings so
8 far: Is -- is this ready for the fourth
9 publication in our series of long-term screening
10 outcomes and -- and now the -- the use of quality
11 measures to help answer some of the questions
12 that have been raised? And then some other things
13 related to the -- the potential gaps and -- and -
14 - and -- and -- and how to go forward. So, let's
15 open this for discussion from the committee.

16 DR. JEFFREY P. BROSCO: And -- and just
17 one comment about the report itself, because --

18 DR. JOSEPH A. BOCCHINI, JR.: Yeah.

19 DR. JEFFREY P. BROSCO: -- you do have a
20 copy of the preliminary report, which really
21 represents Alan's work; he's the -- the primary
22 author on that. And what we have there is,

1 basically, what we talked about now: What do the
2 case studies show? What are the big ideas? But
3 framing it, what's important and what we want to
4 do next, we're waiting for this discussion before
5 we do that part of it.

6 DR. JOSEPH A. BOCCHINI, JR.: Cathy.

7 MS. CATHERINE A. L. WICKLUND: Yeah,
8 Cathy Wicklund. Thank you, guys, so much. That --
9 you've clearly done a ton of work on this, and I
10 have a question more about the implementation.

11 And did you guys get an idea, when you
12 were either talking to these groups or
13 researching it, how much they actually use, like,
14 implementation science and the -- thinking about
15 how you want to try to move this into practice
16 and get this data? You know, we can develop a lot
17 of tools and do a lot of things, but, ultimately,
18 there's a lot of things that actually have to
19 happen for people to adopt the tool and actually
20 put in the data.

21 So, was there any kind of formality when
22 it came to how people were trying to implement

1 this, looking at the different factors that play
2 a role in the implementation, and making sure
3 those things were actually, you know, addressed
4 and you had all those components?

5 DR. ALAN ZUCKERMAN: I think one of the
6 things that's emerged is that there is a contrast
7 between research efforts that collect
8 comprehensive data and very focused cycles of
9 improvement that are part of routine care. Many
10 of the larger long-term follow-up studies require
11 consent, collect a great deal of data, involve
12 duplicate entry, even people doing chart
13 abstracting.

14 If we can identify a few key indicator
15 measures, it takes us into a different place, and
16 the groups that are looking at a few indicators
17 and they'd intend to do it until they achieve a
18 particular target level of success and come back
19 periodically to see it's being maintained are
20 functioning differently. And I think it's only
21 beginning to enter newborn screening that we're
22 approaching that -- that method of going from the

1 comprehensive research approach to the targeted
2 indicator and improvement approach.

3 DR. JEFFREY P. BROSCO: And -- and
4 another way of thinking about this, Catherine, is
5 that we saw that whole range, from zero to a
6 hundred -- right? So, you have some people just
7 saying, "Well, I wonder how PKU kids are doing.
8 Let's -- let's call up a few of them and see how
9 they're doing" -- right? -- on one end, to, sort
10 of, things that California's doing, and others,
11 that's a very rigorous implementation public
12 health science approach and everything in
13 between. But this reflects quality improvement
14 across the board in our health care system. It's
15 not specific to newborn screening.

16 DR. JOSEPH A. BOCCHINI, JR.: Kamila?

17 DR. KAMILA MISTRY: So, part of the
18 Pediatric Quality Measures program, where we
19 focus on sickle-cell -- But in any case, the
20 second phase of it is really focused on
21 implementation science. And so, it's exactly what
22 you're saying, Cathy, which is that we have

1 measures, measures everywhere, but we really
2 don't have a great understanding of, what does
3 uptake look like, what are the challenges that we
4 face around uptake in terms of moving from
5 measurement to improvement, and improvement at
6 different levels, right?

7 And so, I hope that -- You know, there's
8 actually two groups, as Alan mentioned, that are
9 working on this: Michael Cabana and -- at UCSF
10 and then Gary Freed, who's at University of
11 Michigan. And I think that we'll continue to
12 learn lessons around that.

13 But it's really, I think, the interest of
14 -- You know, our focus on -- in implementation
15 science is really to understand usability,
16 feasibility, boots-on-the-ground kind of issues
17 that occur. I mean, you can measure something,
18 but how does that information really improve
19 care?

20 And so, I think we're going to learn a
21 lot about that. And, you know -- And some of
22 those measures are related to follow-up, so

1 hopefully we'll be able to bring that back.

2 DR. FRED LOREY: This is Fred. I have a
3 comment. Can you hear me?

4 DR. JOSEPH A. BOCCHINI, JR.: Yes, Fred,
5 go right ahead, and then we'll follow that with
6 Dieter. Go ahead, Fred.

7 DR. FRED LOREY: Alan, thanks. That was
8 really incredible and thorough. I appreciate it
9 very much.

10 Just a quick comment on the California
11 long-term follow-up program: That 5-year cutoff
12 is, like, an arbitrary cutoff, and it dates back
13 to before I was even director, to George
14 Cunningham, and he felt like under the newborn
15 screening regulations under program evaluation,
16 we had the authority to follow for 5 years
17 without consent, but if we continued beyond that,
18 we probably would be getting into a consent
19 situation, which might be costly and bring the
20 numbers down, et cetera.

21 So, that's all that was, but I agree, it
22 would be better if we could go longer. Thank you.

1 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
2 Dieter?

3 DR. DIETRICH MATERN: Yeah, thank you. I
4 -- I wonder -- I mean, at this point, it seems to
5 be all about data gathering, but it seems you
6 already have some interesting findings from, for
7 example, the mountain region, with the MCAD
8 project, and as more and more hospitals and
9 clinics use the electronic medical record, and
10 Epic in particular, why can't we take some of the
11 information already implemented, and how can we
12 push that forward?

13 So, for example, if a baby is picked up
14 with MCAD or any other condition, how could Epic
15 help you by immediately raising the -- the
16 question: Okay, this is -- comes in as MCAD. Now
17 you have to do this, this, and that based on the
18 ACMG algorithms, for example, and then also, when
19 it comes to follow-up, put in, at specific times,
20 flags that the physician knows, "Okay, kid has to
21 come in for a follow-up, or I have to explain
22 this and that." How can we push that forward, or

1 should we not?

2 DR. JEFFREY P. BROSCO: I -- You start.

3 DR. ALAN ZUCKERMAN: I think one of the
4 problems is that each of the Epic systems or NEHR
5 system are different from each other, and what
6 ONC is trying hard to do in funding
7 demonstrations is to build application
8 programming interfaces into all EHRs that would
9 allow a single plug-in for a condition to be
10 added to many different EHRs. That's the nice
11 advantage to what they did in MCAD, that a care
12 plan and a data collection form popped up, but
13 they had to do it themselves. It was custom
14 development.

15 We are moving towards this Fast Health
16 Interoperability Resource. We are now in the
17 early phase of Nationwide Interoperability 10-
18 Year Roadmap that will hopefully open the door to
19 these plug-in tools with application programming
20 interfaces to EHRs. But if the data isn't in the
21 EHR, or if it isn't coded properly, we won't get
22 to use it, and that's why the redundant data

1 entry of things like the LPDR has been so
2 important in the past.

3 DR. JEFFREY P. BROSCO: And to, sort of,
4 emphasize that -- So, our EHR is Epic, right? And
5 I use it all the time. It took us years to get
6 vaccines as a quality improvement thing, where it
7 would just pop up and say, "This is the vaccine
8 that you need."

9 And you have to remember that newborn
10 screening conditions are in the context of a
11 health care system, and in the adult health care
12 system, pediatrics is tiny. And then, if you
13 start talking about specific newborn screening
14 conditions, they are so rare that they don't even
15 show up.

16 So, it took a long time for us to do
17 vaccines for all children in our EHR, so as
18 Alan's pointing out, it -- we're -- we're --
19 there is a roadmap to it, but there's still a
20 long way to go.

21 It might be good to do the last couple of
22 slides, and then, just so we have a sense --

1 because I think that's one of the kinds of things
2 that we can move forward on is the -- the EHR,
3 but I just wanted to give what our process is and
4 a couple more examples.

5 So, we think that we're mostly done with
6 our task. As I said, the draft report's done. We
7 just need to make sure it's framed properly. But
8 we really need input from you about next steps,
9 and we're going to spend a fair amount of time
10 this afternoon taking your recommendations and
11 trying to figure out. And we just put down a
12 couple of possible next steps.

13 So, one of them, as Dieter pointed out,
14 is, you know, we can't do everything, but maybe
15 we can push a little harder on the EHR and, you
16 know, the sort of plug-in that Alan was
17 explaining. And that sort of fits into these
18 strategies to encourage development and
19 validation of quality measures for long-term
20 follow-up with newborn screening.

21 It may also be that we want to focus more
22 of our attention with helping state newborn

1 screening programs get to some level of
2 organization for follow-up and maybe building on
3 the NewSTEPS. And so, are there certain measures
4 we'd want all state programs to look at, or is
5 this something -- the next discussion point.

6 We could also look at gaps in -- related
7 to newborn -- quality measures for newborn
8 screening, so looking at particular conditions,
9 and as new conditions come on to the newborn
10 screening panel, should we include quality
11 measures? So, that'd be one of the things we'd
12 ask from the nominating groups.

13 And then, there's always the education
14 possibility, but if we're going to educate, whom
15 should we be spending our time trying to do,
16 because all across the health care system, we can
17 do this.

18 And then, lastly, as I mentioned a little
19 bit before, are there ways to make sure that
20 children with newborn screening conditions are
21 not lost? And so, if we include them as a part of
22 the Children with Special Health Care Needs and

1 the broader measures -- And just to give you an
2 example on that: Right now, general pediatricians
3 like me are being asked, "Well, did you do a lead
4 level? Did you vaccinate all the children at the
5 right ages? Did you have a follow-up visit every
6 year?" And that's basically it. There aren't a
7 lot more things than that.

8 And yet, clearly, children with special
9 health care needs have many more needs and
10 conditions and things we should be measuring. So,
11 do we want to, sort of, join forces with that
12 much larger group, that's 15% of children, and
13 maybe work with them on making sure that there
14 are quality measures that would improve the
15 outcomes for children with newborn screening
16 conditions?

17 So, we think these are some of them, and
18 there are many others that we could move next on,
19 so we really need some help trying to set
20 priorities about what, if anything, this
21 workgroup can look at next.

22 DR. ALAN ZUCKERMAN: Yeah. But I -- I

1 think what we clearly know is that without
2 priorities to drive things above decision points
3 in hospitals, things will get lost. Jaco's
4 (phonetic) been incredibly successful in getting
5 people to pay attention. What may be needed is a
6 way to decrease the cost of implementation and to
7 identify a limited priority ask, whether it's for
8 the hospitals, for the health departments, or for
9 the specialists, or even for accessing consumers.

10 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
11 I have Melissa and then Dr. Ostrander and then
12 Carol and then Annamarie. Melissa.

13 DR. MELISSA PARISI: So, I just wanted to
14 comment on some of the challenges of extracting
15 from the EHR. I mean, this has obviously been an
16 ongoing issue and something that I know, Alan,
17 you've been working on for quite a while.

18 So, in the absence of being able to have
19 systems that really work uniformly and
20 reproducibly in this domain -- You know,
21 obviously, there are some resources that have
22 been developed, including the Longitudinal

1 Pediatric Data Resource for the NBSTRN and some
2 of the APHL measures. And, you know, one of the
3 challenges that I think we face, given that long-
4 term follow-up is, in some ways, the holy grail
5 for what we're trying to accomplish in newborn
6 screening as a public health initiative anyway,
7 is this issue of, do you focus on the more common
8 conditions as, sort of, a -- a starting point
9 for, if we can succeed here, we may be able to
10 expand to rare conditions, in which case things
11 like hearing loss or hearing screening programs
12 and sickle-cell disease are likely to be the --
13 the first programs that have a possible
14 implementation.

15 First is this idea of using a core set of
16 long-term follow-up quality measures, as you've
17 indicated here, so that you would have the
18 potential of gathering data across all of the
19 different newborn screening conditions, realizing
20 that it's going to be less granular, but at least
21 you would start to have some sort of baseline of
22 some standard measures that could be utilized

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 across all of the different conditions. I don't
2 have any answer, I'm just throwing that out there
3 for your comments.

4 DR. JEFFREY P. BROSCO: We -- we actually
5 want the answer to that.

6 (Laughter)

7 DR. JEFFREY P. BROSCO: I mean,
8 seriously, the -- the group could go in different
9 ways, and so we would like to get some direction
10 as the comments go on from, should we say, "Okay,
11 here are some general measures that pretty much
12 cover the waterfront," or should we put our time
13 and effort into specific disease outcomes and --
14 and prove that things can work at a wider level?
15 So, you laid it out perfectly, and we're -- we --
16 we want to know which way to go.

17 DR. JOSEPH A. BOCCHINI, JR.: Dr.
18 Ostrander.

19 DR. ROBERT OSTRANDER: So, a couple
20 things. I sit on -- Oh, sorry, Bob Ostrander,
21 American Academy of Family Physicians. A couple
22 of things.

1 One, I sit on this workgroup, and I
2 think, partly, we're looking to the committee to
3 help us focus our role, because, you know, we
4 keep coming up against, in this workgroup, all
5 sorts of things we would like to be doing, but we
6 don't have the resources to do them. And so, we
7 hope others are going to do what we think is a
8 good idea.

9 And I think it would be very helpful for
10 us to specifically define our role as a
11 workgroup, where things stop and start, and
12 whether we're simply going to publish this, sort
13 of, analysis of things, with a description of why
14 quality's important, or whether we're going to
15 make this, kind of, soft recommendation that
16 others pursue quality measures that conform to
17 these things, whether we should list some of the
18 criteria of a good quality measure.

19 You know, for instance, at the very
20 beginning, we talked about the fact that we had -
21 - quality measures aren't good just because
22 they're easy to glean the number, but they

1 matter. And, you know, should we list the -- just
2 like we did with the other framework paper,
3 should we have a list of criteria of which
4 quality measures we should not pursue? Because
5 that's something we see in medicine all the time.

6 So I -- I just -- I -- I -- I feel like
7 we're still a little weedy in -- in terms of how
8 directive or not directive we're going to be, and
9 I think we should make up our minds with that.

10 The other comment is a -- really, a
11 secondary issue, and that is the difference
12 between doing rigorous data analysis like you do
13 for global assessments of the effectiveness of a
14 program, and the less-rigorous data analysis that
15 goes with the cycles -- short cycles of change in
16 implementation science. And I don't know that
17 we've distinguished among those two things.

18 I think that's actually quite important,
19 because if you only do the slow thinking, big-
20 data analysis of where your gaps are, you end up
21 not using that short-cycle-of-change
22 implementation science. You know, you say: Oh,

1 there's this big gap. We need to fix the system.
2 It's slow. You get off to false starts. You do
3 things with unintended effects, where if you use
4 the short-cycle-of-change model, things happen
5 sooner; they happen more incrementally. But by
6 nature, you're using data that you're not quite
7 as comfortable with.

8 I mean, I teach a course in the city,
9 University of Rochester folks, about the -- the
10 differences between short-cycle-change
11 qualitative measures and rigorous scientific
12 qualitative measures. And so, those are some of
13 the ideas I -- I think it would be nice to -- to
14 firm up a bit and make more concrete in the
15 paper.

16 DR. JOSEPH A. BOCCHINI, JR.: Thank you.

17 DR. CHRIS KUS: This is Chris Kus. If
18 there's time, I'd like to make a comment.

19 DR. JOSEPH A. BOCCHINI, JR.: Yeah,
20 Chris, go right ahead.

21 DR. CHRIS KUS: Yeah, I think one of the
22 big issues here, in order to really move along

1 with long-term follow-up, is the financial
2 support of long-term follow-up. Some states, like
3 New York, doesn't have a fee, and I think it
4 would be good to get some sense, maybe from
5 California, what does the long-term follow-up
6 program cost and discuss strategies of where that
7 should come from, where that funding comes from.
8 Is it Title V, with state funding, or other --
9 other ideas.

10 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
11 Carol?

12 (Off-mic speaking)

13 DR. JOSEPH A. BOCCHINI, JR.: Okay.
14 Annamarie?

15 MS. ANNAMARIE SAARINEN: Thanks, Carol. I
16 don't care about order, but -- So, thank you so
17 much, to both of you. It's so much work.

18 For those who don't know -- and Alan
19 maybe even forgot -- we -- we met each other in
20 2009 at the ONC's Health IT Standards Workgroup
21 meeting for the first time, at which time we had
22 hoped newborn screening would be part of Stage 2

1 meaningful use. So, this conversation's been
2 happening for way longer than any of us want it
3 to. And I remember talking to the CEO of Epic
4 about, how do you make exactly what was on 4 of
5 your slides happen now -- not 6 years from now,
6 now.

7 And I -- I -- I don't know, outside of
8 the hurdles of, again, them taking EHR -- vendors
9 taking it up as something like: Wow, that'd be a
10 great thing to do, but we have to bear the cost
11 of it, and who's going to provide the direction
12 about how this data can systematically flow
13 between health care providers and public health
14 departments, which is not an easy thing to do.

15 But back to Dr. Ostrander's point about,
16 what is the, sort of, reach of our committee and
17 what -- what would you like to see happen coming
18 off of a report like this. I don't know -- I
19 imagine this committee has explored where the
20 crossover is, like, what other FACAs or other
21 groups are already trying to support long-term
22 follow-up of children with special health care

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 needs, whether that be birth defects or other
2 rare diseases.

3 There -- there must be more than one that
4 are doing that sort of work already, so where
5 does our job, sort of -- I don't want to say,
6 sort of, end, but where can it hand off from the
7 newborn screening world in terms of follow-up
8 with these kids, so that there aren't duplicated
9 efforts but that the efforts to make sure
10 children will have access to the care they need
11 and that we are getting the data to know whether
12 screening made an impact on where they are today,
13 much beyond knowing whether they die within 5
14 years of being screened.

15 To the -- to the point of -- Where I sat
16 in a meeting this week, because I'm moving my
17 child from one school to another school, thinking
18 that the IEP team that's been following her for 3
19 years now was going to be there for her at the
20 new school -- because it's all part of the school
21 district's program, right? And I find out that
22 I'm moving my child over to this new school just

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 for special care services or special needs
2 services, but none of that IEP team is coming
3 with her. Her entire case load, 3 years of data -
4 - clinical data, data from her teachers, data
5 from us as her parents -- will have to be somehow
6 transferred over to an entirely new team of 6
7 people plus teachers. And I went home and cried
8 for an hour.

9 DR. JEFFREY P. BROSCO: You said two
10 critical things, I think. One is that the kinds
11 of things we typically measure in quality
12 measures don't get to the real point you just
13 made, which is: How is my child doing in school?
14 Is my child being included in community
15 activities? Is he or she really beginning to do
16 things that -- that they should be able to do?
17 And so, that's one of the critical ones is, how
18 do we make sure that doesn't get lost in the
19 quality measures?

20 And what, in particular, is the special
21 responsibility of this committee? Because there
22 is a lot of improvement happening in many

1 different ways, so is there some special thing
2 that we as a group can do?

3 I see that the time is pretty far along,
4 so I'm not sure if we want to, maybe, come up
5 with specific things this afternoon and bring
6 them back tomorrow or --

7 DR. JOSEPH A. BOCCHINI, JR.: Well, we're
8 going to give you an additional 5 minutes. We
9 have one public comment, so we can give you an
10 additional 5 minutes. And I do have Beth on the
11 phone, who wants to make a comment, and then
12 we'll go to Carol.

13 DR. BETH TARINI: Hi, this is Beth. I --
14 I want to say, I think that long-term follow-up
15 is vital, because you don't know how your
16 investment is paying off unless you measure the
17 long-term outcomes of your screening.

18 That being said, I want to echo Chris's
19 question, which is, is the committee considering
20 putting this as a sort of unfunded mandate on the
21 states? And I want to caution us if we lean that
22 way in terms of the operationalization of this,

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 because when this committee speaks, it has -- and
2 writes things down, and the secretary approves
3 it, or it is published in the literature, it has
4 great power. And I am hesitant to put this on the
5 states given their increasing burden of adding
6 new disorders.

7 So, I wanted to just get a sense of, is
8 that what we are thinking, or it's just one of
9 many possibilities.

10 DR. JOSEPH A. BOCCHINI, JR.: Well, I --
11 I think it's one of many possibilities, and I
12 think that's where we are with the workgroup
13 presenting today, is to determine how the
14 committee feels we need to move forward. And I
15 think we've had some really good, insightful
16 comments from Bob and Annamarie, amongst others,
17 about what the key issues may be. And -- and I
18 think that that's -- really, the committee needs
19 to kind of think about, what are the -- what are
20 the best approaches, now that we have this
21 database, in terms of moving forward?

22 And -- and -- and so, I think that's

1 where we are, Beth, but I don't think that this
2 would be put together in such a way that it would
3 require states to do specific things but just to
4 make people aware of the potential for quality
5 measures that might be useful in application to
6 newborn screening. And so, I think that's sort of
7 the framework that we're working in, and so.

8 DR. JEFFREY P. BROSCO: Yeah, and I -- I
9 would add to that, that this is certainly not
10 where we're thinking about making a specific
11 recommendation right away, but we're looking for
12 more direction. So, it might be something like:
13 Work with APHL and state newborn screening
14 programs and other stakeholders to figure out
15 what a minimum set of reportable conditions and
16 measures might be. So, that next step might be,
17 this is where you'd like us to focus, but it
18 wouldn't be to make recommendations about that
19 focus; it's where we would look next to sort of
20 fine-tune things.

21 DR. ALAN ZUCKERMAN: Yeah. We also, I
22 think, need to look at the consumer pathway, and

1 I haven't heard much response from the committee
2 about going directly to consumers for data.

3 Should that be a focus?

4 DR. JOSEPH A. BOCCHINI, JR.: Yes. Carol?

5 DR. CAROL GREEN: Carol Green, SIMD. One
6 specific question that was asked, and I would
7 just offer, asking about specific diseases and --
8 versus looking at more broadly. I personally
9 think that given the complexity of all the
10 quality measures, we're going to get a lot more
11 value if we try to focus on quality measures that
12 look at multiple disease, because otherwise,
13 we're just going to be looking at small subsets
14 of individuals and have difficulty getting data.

15 The other thing I wanted to say is, I've
16 been part of this work, and it's just amazing
17 what Alan's doing, and all the other folks, and
18 I'm learning things about quality measures. I had
19 no idea how hard it -- actually, a little idea,
20 but now I really have a better idea how hard it
21 is to get a quality measure approved and then
22 they get used nationally and broadly.

1 And I think we're, sometimes in our
2 discussion, going back and forth between quality
3 measures, which are these complicated things that
4 you have to get validated and have to be used
5 nationally and take years to bring on board and
6 then you can use them, and quality improvement,
7 which is what Colorado did, which is, I'd love to
8 implement it, and it's -- it's in -- it's program
9 by program, and we can use models, but when we
10 discuss this as a committee, I think we have to -
11 - Sometimes we go back and forth, and we're not
12 distinguishing between them.

13 The other thing I wanted to say is, in
14 the -- in the EHRs in the pediatric world, I
15 can't close a chart without answering the
16 question: Do you use smokeless tobacco? He's 8
17 months old.

18 (Laughter)

19 DR. CAROL GREEN: Okay? So, there's -- we
20 -- the -- the quality measures have been decided,
21 and -- and -- and I -- I can't overemphasize:
22 Pediatrics has no role in any of this, and so

1 newborn screening has seriously no role in any of
2 this.

3 And with that said, another thing about
4 the EHR is, when you extract information from an
5 EHR, all of this is only going to apply to the
6 long-term data collection follow-up -- data
7 collection, not to the long-term follow up, but -
8 - to see how the outcomes are, if you've got the
9 right diagnosis. And I have a kid with
10 polymicrogyria, but somebody took that off his
11 list of diagnoses, so it's not going to appear on
12 his problem list.

13 So, your outcomes are only as good as the
14 input, so we still have a lot to work on in the
15 EHR. And the EHR will never capture what
16 Annamarie Saarinen was just talking about,
17 because that's the schools, and outcomes that are
18 incredibly important are not in the health record
19 at all.

20 So, I think there's a sense of some
21 discussion saying, if we get it into the EHR,
22 then we don't have to pay money to do the long-

1 term follow-up, because we all have to use the
2 EHR; we'll just extract it out. But the EHR has -
3 - there's a lot of work to go on there. Not all
4 the data we want's in the EHR. It can't always be
5 put together. The EHR is where we can look, I
6 think, for the quality improvement locally, but
7 that's really different than quality measures.
8 Sorry.

9 DR. JOSEPH A. BOCCHINI, JR.: So, Dieter
10 and then Natasha.

11 DR. DIETRICH MATERN: Coming back to the
12 electronic medical record but also to the
13 question whether the patient advocacy groups
14 should be asked. I think asking them about data
15 will give you -- give you something, but I don't
16 know if every patient is part of those, and it's
17 going to be very subjective, and getting good
18 data out of it might be a problem.

19 On the other hand, to, again, get -- get
20 some -- something into the system and making sure
21 that patients identified through newborn
22 screening get the benefit of it, and given the

1 fact that Epic is not as -- I mean, it's -- it's
2 apparently a custom-based thing, so it takes
3 forever. But maybe the advocacy groups can build
4 their own apps or things like that, where they
5 can incorporate all of the things that a patient
6 should go through, and the patients get alerts
7 that are customized to them based on their --
8 their age and just get a reminder: Okay, it's
9 time to get a vaccination or whatever.

10 (Off-mic speaking)

11 DR. KAMILA MISTRY: Just a quick follow-
12 up to what Carol was saying, which is just that,
13 you know, measures are developed for a particular
14 use, and so it is important to really distinguish
15 between, you know, are these measures that were
16 intended for accountability, in which case, we
17 would care a lot about the scientific evidence
18 that underlies them, as well as their reliability
19 and the validity and how they've been tested. And
20 so, there's, sort of, that level.

21 There are other measures that were not
22 developed for that, and they were intended to be

1 used for quality improvement. So, I think just as
2 we move forward, we should just be clear on what
3 we're sort of -- what the goal is for what we're
4 doing and make sure that the science sort of
5 backs up the goal in terms of the measures.

6 DR. JOSEPH A. BOCCHINI, JR.: So, Natasha
7 and then Bob.

8 MS. NATASHA BONHOMME: Natasha Bonhomme,
9 Genetic Alliance. To go to your question about
10 the consumer perspective -- You know, I think --
11 I'm happy to see this slide here and the -- You
12 know, it isn't just the fact that parents and
13 families have their own definition of quality.
14 They are the end user. This whole system is
15 supposed to be for them.

16 And so, I think really making sure that
17 they are central and finding ways of not just
18 their perspectives and experiences but ideas can
19 be incorporated in this will be really important.
20 And we've seen a number of other areas where
21 there are processes in place to at least starting
22 to do that, you look at PCORI and PCORnet and,

1 you know, engagement, assessments being created
2 through that, which Genetic Alliance is involved
3 in, that there are these processes.

4 And I -- I do think that it's important
5 to note that it's not just about: Oh, that's one
6 category, the parent and family perspective, but
7 it isn't about creating things that we think they
8 should want or need. It's about going to them and
9 asking them what will be useful, and then
10 building it based off of that. So, I'm happy to
11 see at least the beginning frameworks of that in
12 this, and I think that's really critical,
13 especially as we are in an age of, ideally, more
14 patient- and consumer-centric health care.

15 DR. JOSEPH A. BOCCHINI, JR.: Bob?

16 DR. ROBERT OSTRANDER: I'll be short. I
17 mean, basically, my first comment was to echo
18 what Natasha said. We're -- we're not looking to
19 the families and the groups to tell us how many
20 kids are getting penicillin. What we're looking
21 to the families and the groups is, is to say: How
22 user friendly was this system? Did you feel

1 informed? Did you feel safe? Did you feel
2 hassled? Did you feel respected?

3 I mean, there are quality measures around
4 that stuff. I mean, how to get a representative -
5 - I -- I don't think the quality measures around
6 that, those issues, are -- It's hard to figure
7 out what measures to use as to how to get a good,
8 representative sample. And I mean, Alan pointed
9 that out.

10 And -- and obviously, there are also some
11 true mechanical things, but we don't -- we've
12 been doing this for a long time, and that's --
13 we're all -- That's how I got myself into this is
14 talking about the interface between primary care,
15 families, and the, sort of, higher level
16 subspecialty and scientific community.

17 And over and over and over again, our
18 parent partners got from the NICHCU (phonetic)
19 Learning About Children with Special Needs say,
20 "What I want to feel is like I'm a partner in my
21 kid's care, I'm the expert in my child, I know
22 who to call, and I'm not being hassled and

1 burdened by having to go 10 different
2 directions." And those are questions we can get
3 the answers to.

4 And, again, I -- I mean, I'm not parent
5 of a child with a heritable disease or -- or
6 special needs, but -- if I'm not -- and if I'm
7 not echoing that right, I wish someone would
8 chime in -- but I think those are the methods
9 that we're talking about with them, but they're
10 hugely important, because that is what makes
11 people's life high quality.

12 The second quick thing: I -- I'm going to
13 suggest that we don't put too much stock in using
14 the EMRs for this, for the reason you guys said.
15 I mean, it's just -- First of all, the EMRs --
16 the -- the people that are working with them have
17 priorities different from ours, and that's never
18 going to change. It's a -- we're still in a pair-
19 centered medical home world, and it's going to be
20 about chronic, expensive, middle-aged diseases
21 that cost the system money, and, you know, little
22 bits of too much money, lots of times, that have

1 lots of bits of money, like spirenza (phonetic)
2 once or twice.

3 I -- I would -- I think we're barking up
4 the wrong tree with the EMRs. I think, honestly,
5 these sort of unusual things, the old -- the old-
6 school way of using registries but using good
7 information technology in the registries is
8 probably more likely to produce a result.

9 And I am, by no means, an expert in that;
10 Alan is, but just -- I am a user of an EMR all
11 the time, and I'm involved in lots of systems
12 that deal with population management of the whole
13 population, and I cannot imagine anybody spending
14 the time on people's EMRs to get it right for
15 newborn screening. So, I think it's going to have
16 to be a registry approach myself.

17 DR. JOSEPH A. BOCCHINI, JR.: So, that's
18 a good point. So, you're saying if Carol can get
19 that 8-month-old to quit smoking, we'd be much
20 better off. Yeah.

21 (Laughter)

22 DR. ROBERT OSTRANDER: Chewing tobacco.

1 DR. JOSEPH A. BOCCHINI, JR.: Yeah. Okay.

2 DR. ROBERT OSTRANDER: That's their
3 programmer. That doesn't happen --

4 DR. JOSEPH A. BOCCHINI, JR.: No, I -- I
5 understand that. Yes, Carol.

6 DR. ROBERT OSTRANDER: That's the
7 programmer.

8 DR. CAROL GREEN: I -- I -- I really,
9 really want to reinforce and echo that, because
10 I'm -- I'm another one of the people who's in the
11 EMR every day, and the inaccuracy in there, the
12 difficulty to get anything adopted into it, and
13 the inaccuracy in the diagnostic information is
14 just really going to take a long time to solve.
15 And that means that we've got to solve the
16 funding problem, because registries is the right
17 way to get the information, but then somebody's
18 going to have to pay for it.

19 (Off-mic speaking)

20 DR. JOSEPH A. BOCCHINI, JR.: Are there
21 any questions or comments on the telephone?

22 (No audible response)

1 DR. JOSEPH A. BOCCHINI, JR.: If not, we
2 need to close this session, but it seems like we
3 still need some discussion. But I think you've
4 gotten some feedback that -- that's helpful, but
5 I -- I think the committee probably needs to
6 discuss this further to give you more insight and
7 help.

8 DR. JEFFREY P. BROSCO: And that's great.
9 We have time this afternoon in our workgroup, and
10 I think we can come up -- We -- we've heard a lot
11 of good things. We can come up with some specific
12 suggestions for tomorrow.

13 DR. JOSEPH A. BOCCHINI, JR.: Okay, thank
14 you very much. And, again, thank you both for the
15 work that you're doing on this project. Thank
16 you.

17 (Applause)

18 DR. JOSEPH A. BOCCHINI, JR.: So, the one
19 public comment that we have here is Ms. Megan
20 Lenz. Ms. Lenz is from Cure SMA and will be
21 discussing SMA newborn screening. Thank you for
22 coming to the microphone. Good morning.

1 MS. MEGAN LENZ: Good morning. Good
2 morning, Dr. Bocchini, members of the committee.
3 Thank you so much for letting me come and speak
4 today. Again, my name is Megan Lenz. I'm the
5 director of communications for Cure SMA. I'm also
6 here on behalf of our partners at Muscular
7 Dystrophy Association, who worked with us on the
8 nomination and submission.

9 So, I am testifying on behalf of the
10 spinal muscular atrophy patient community
11 regarding the nomination of SMA to the
12 Recommended Uniform Screening Panel. As you know
13 and as we've already heard, this nomination is
14 currently in evidence review.

15 Currently, SMA is the leading genetic
16 cause of death for children under age 2. We know
17 that newborn screening, combined with early
18 therapy, is the best chance that we have to
19 change this for the next generation and beyond.
20 On December 23, 2016, the FDA approved Spinraza,
21 also known as nusinersen, the first-ever FDA-
22 approved therapy for SMA.

1 Results from Biogen's open-label study of
2 presymptomatic infants, called NURTURE,
3 demonstrate that infants receiving treatment
4 presymptomatically obtain more motor milestones
5 when compared with infants in the ENDEAR study,
6 who received their treatment after the onset of
7 symptoms. As of October 31, 2016, no
8 presymptomatic SMA infant treated with Spinraza
9 has died or required permanent respiratory
10 support. In fact, 39% of the infants in the
11 treatment group for ENDEAR, which was the post-
12 symptomatic trial, have died or required
13 permanent respiratory support. Furthermore, 89%
14 of treated infants in the NURTURE trial have
15 gained motor milestones, such as the ability to
16 sit, stand, and walk, and 39% are achieving
17 normal, age-related motor milestones, growth, and
18 development.

19 In addition to this clinical data, we
20 know that natural history data indicates there's
21 just a small window for optimal intervention in
22 SMA. Dr. Kathryn Swoboda has shown that type 1

1 infants suffer rapid and severe loss of motor
2 units in the first 3 months of life and that
3 within 6 months of age, oftentimes, 90% of the
4 motor neuron units have died.

5 It is of the utmost importance that SMA
6 be added to the RUSP to ensure patients receive
7 treatment as early as possible to obtain the best
8 outcomes. The evidence to support this, many of
9 which we've already heard about today, includes
10 the two ongoing newborn screening pilots in New
11 York State and Taiwan, very sensitive and
12 specific diagnostic tests and screening assays,
13 good understanding of SMA natural history,
14 including genotype-phenotype correlations, and a
15 life-saving treatment for SMA that has been shown
16 to have more impact when delivered
17 presymptomatically.

18 In addition to my work with Cure SMA, I
19 also have personal experience with the disease.
20 Years ago, my cousin passed away from SMA type 1
21 just a week after his fourth birthday. His
22 diagnosis took us by surprise, and we had no

1 treatments, no hope and opportunities available
2 to us.

3 Along with thousands of families affected
4 by SMA, my family looks forward to celebrating
5 the day when newborn screening, timely treatment,
6 and supportive care can change the course of this
7 disease. I thank you, again, for the opportunity
8 to address you today and for your consideration
9 of our nomination.

10 DR. JOSEPH A. BOCCHINI, JR.: Thank you
11 very much. Thank you for being here.

12 So, that was our only public comment for
13 this morning, so we are ready to break for lunch.
14 We have a 1-hour lunch break, and I'm going to
15 turn it over to Catharine for some additional
16 announcements.

17 DR. CATHARINE RILEY: Great. Thank you
18 all for a great morning session. Just -- just a
19 reminder for those who are visitors to remain in
20 the -- on the fifth-floor pavilion area. There is
21 a cafeteria across the way here. There's also a
22 little snack shop for those that are interested.

1 And we will -- we'll break for 1 hour, and we're
2 going to start up again at 12:50, and I also want
3 to know, for the committee members, if all the
4 committee members could stay -- stick around for
5 a few minutes. We're going to get a picture. So.
6 Stick around, and then we'll see everyone back
7 here in 1 hour. Thank you so much.

8 (Whereupon, the above-entitled matter
9 went off the record and then came back on.)

10 DR. JOSEPH A. BOCCHINI, JR.: All right,
11 let's go ahead and call the afternoon session to
12 order. First item is the -- the roll call. Kamila
13 Mistry?

14 DR. KAMILA MISTRY: Here.

15 DR. JOSEPH A. BOCCHINI, JR.: Mei Baker?

16 DR. MEI WANG BAKER: Here. Here.

17 DR. JOSEPH A. BOCCHINI, JR.: Jeff

18 Brosco?

19 DR. JEFFREY P. BROSCO: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Carla

21 Cuthbert?

22 DR. CARLA CUTHBERT: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: Kellie
2 Kelm?
3 DR. KELLIE KELM: Here.
4 DR. JOSEPH A. BOCCHINI, JR.: (Off-mic
5 speaking)?
6 FEMALE SPEAKER: Here.
7 DR. JOSEPH A. BOCCHINI, JR.: Fred Lorey?
8 (No audible response)
9 DR. JOSEPH A. BOCCHINI, JR.: Dieter
10 Matern?
11 DR. DIETRICH MATERN: Here.
12 DR. JOSEPH A. BOCCHINI, JR.: Melissa
13 Parisi?
14 DR. MELISSA PARISI: Here.
15 DR. JOSEPH A. BOCCHINI, JR.: Annamarie
16 Saarinen?
17 MS. ANNAMARIE SAARINEN: Here.
18 DR. JOSEPH A. BOCCHINI, JR.: Beth
19 Tarini?
20 DR. BETH TARINI: (Off-mic speaking).
21 DR. JOSEPH A. BOCCHINI, JR.: Thank you,
22 Beth. Catherine -- Catherine Wicklund?

1 DR. CATHERINE A. L. WICKLUND: Here.

2 DR. JOSEPH A. BOCCHINI, JR.: And

3 Catharine Riley?

4 DR. CATHARINE RILEY: Here.

5 DR. JOSEPH A. BOCCHINI, JR.: Go back to

6 Fred Lorey?

7 (No audible response)

8 DR. JOSEPH A. BOCCHINI, JR.: And Beth

9 Tarini?

10 (No audible response)

11 DR. JOSEPH A. BOCCHINI, JR.: Now for
12 organizational representatives. Bob Ostrander?

13 DR. ROBERT OSTRANDER: Present.

14 DR. JOSEPH A. BOCCHINI, JR.: Michael

15 Watson?

16 DR. MIKE WATSON: Here.

17 DR. JOSEPH A. BOCCHINI, JR.: Britton

18 Rink by webcast?

19 DR. BRITTON RINK: Here. Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Kate Tullis
21 by webcast?

22 DR. KATE TULLIS: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: Susan
2 Tanksley --

3 DR. SUSAN TANKSLEY: Here.

4 DR. JOSEPH A. BOCCHINI, JR.: -- at the
5 podium. Chris Kus, webcast?

6 DR. CHRIS KUS: Here.

7 DR. JOSEPH A. BOCCHINI, JR.: Adam Kanis,
8 webcast?

9 DR. ADAM KANIS: Here.

10 DR. JOSEPH A. BOCCHINI, JR.: Natasha
11 Bonhomme?

12 MS. NATASHA BONHOMME: Here.

13 DR. JOSEPH A. BOCCHINI, JR.: Siobhan
14 Doyle?

15 DR. SIOBHAN DOLAN: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: Cate Walsh
17 Vockley?

18 (No audible response)

19 DR. JOSEPH A. BOCCHINI, JR.: Carol
20 Green?

21 (No audible response)

22 DR. JOSEPH A. BOCCHINI, JR.: Okay.

1 DR. BETH TARINI: Beth Tarini, I'm here.

2 DR. JOSEPH A. BOCCHINI, JR.: Okay, thank
3 you, Beth.

4 DR. CATHARINE RILEY: Dr. Lorey, are you
5 on the line yet?

6 (No audible response)

7 DR. CATHARINE RILEY: Okay.

8 DR. JOSEPH A. BOCCHINI, JR.: Okay, the
9 first item for this afternoon's agenda is a
10 report from APHL related to establishing and
11 revising newborn screening cutoffs, entitled
12 "Lessons Learned from States." This is the result
13 of the APHL survey that they conducted with
14 newborn screening programs, and after Dr. -- Dr.
15 Tanksley's presentation, our goal is to then kind
16 of summarize what we've done over the last few
17 meetings and then begin to frame the steps we
18 need to do to go forward.

19 And just as a reminder, Dr. Tanksley is
20 currently manager of Laboratory Operations Unit,
21 the Texas Department of State Health Services.
22 She's been actively involved in -- at a national

1 level, working as co-chair of the Mountain States
2 Genetics Regional Center Newborn Screening
3 Workgroup since 2009 and as co-chair or chair of
4 the Association of Public Health Laboratories
5 Newborn Screening and Genetics and Public Health
6 Committee since 2010. She is a member of the APHL
7 NewSTEPS steering committee and has been
8 representing APHL at our committee meetings and
9 is a member of the Condition Review Workgroup for
10 Secretary's Advisory Committee. So, Susan, thank
11 you.

12 DR. SUSAN TANKSLEY: All right. Thank you
13 to the committee for allowing us to present this
14 survey report to you today, and thank you to all
15 the states who contributed to the survey. We
16 really appreciate your time, your efforts, and
17 your -- your very thoughtful input for this
18 survey.

19 So, just to remind you of the -- the
20 timeline that we've been working in -- So,
21 earlier this year, there were media stories that
22 came out related to missed cases in newborn

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 screening and -- and questions about how cutoffs
2 -- or why are cutoffs variable in different
3 states. And -- and the APHL Newborn Screening and
4 Genetics and Public Health Committee, we decided
5 that we really wanted to gather input from the
6 states and determine, how do states actually set
7 cutoffs and determine which results are going to
8 be reported out or not, and what tools do they
9 use. And that was really the purpose of the
10 survey that -- that we developed. We wanted to be
11 able to provide that information to you and then
12 also to have it for our use.

13 So, the survey was developed by the
14 committee, fielded by -- as a pilot by a few
15 states just to try to determine -- to make sure
16 we could get the information that we really
17 wanted, and then was put out for states to
18 respond to for about a 2-month period, which
19 ended about 2 weeks ago. So, we haven't had a
20 huge amount of time to pore through the data, but
21 what -- what I'll present today is a summary of
22 that data for you.

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Our audience for the survey was newborn
2 screening lab directors, follow-up managers,
3 clinicians, and any other personnel who were
4 involved in newborn screening who might use the
5 analytical tools. So, we fielded it to 53 newborn
6 screening programs and received 38 responses
7 back.

8 The first nine -- There are nine
9 multiple-part questions to the survey, so it ends
10 up being over 30 questions if every -- every
11 question's answered, but in general, the first
12 part was about how states determine when a
13 result's not normal. And then, the second part is
14 about the use of R4S and CLIR tools, specifically
15 because those have been a topic of -- of
16 discussion over the last few months.

17 So, the -- the -- the first question was
18 really about, how does a state establish their
19 cutoffs. And so, this was a free-text answer, so
20 we received very -- very long responses in this,
21 and we tried to boil this down to general --
22 general methods.

1 So, some utilize vendor recommendations,
2 so something that's within the kit insert that
3 provides a reference range to start with. Many
4 states use population data from -- from screening
5 dried blood spots, utilizing their normal
6 population as well as trying to incorporate
7 affected babies whenever residual newborn
8 screening specimens are available from affected
9 babies. There were some that mentioned
10 considerations, so establishing age- or weight-
11 specific cutoffs based on that data, as well.
12 Many mentioned consulting others -- so
13 consultants, clinical specialists, the Newborn
14 Screening Advisory Committee within the state for
15 input on those cutoffs, as well -- utilizing
16 published literature, and then also talking to
17 other state programs.

18 And there were many -- there was mention
19 of different tools and how those cutoffs are
20 actually established within each state. So, Excel
21 was mentioned, R4S, SAS, and then also utilizing
22 the cutoff analyzer that's available within the

1 Specimen Gate LIMS that many states use.

2 So, we also asked, how often does a
3 program evaluate cutoff values, so, basically,
4 once it's established, how often do you look
5 back. The -- the most common response was, when
6 it was triggered by -- by certain events, but
7 multiple responses were allowed here, so a state
8 could have responded multiple times to this
9 question.

10 So, what would qualify as -- as one of
11 these certain events that's triggered? So, an
12 example listed as a missed case are too many
13 false positives, but also things like when there
14 are new kit lots, if you have a change in
15 instrumentation, and then some even mentioned
16 that they really do it on a continuous basis --
17 annually, monthly, quarterly. We received, you
18 know, input -- basically, everybody is looking at
19 cutoffs on a -- on -- on some sort of basis. It's
20 not something that's set and never looked at
21 again.

22 So, when changes are made to reference

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 ranges or a referral protocol, we asked: Does
2 your state have a process to communicate this?
3 And, primarily, the response was, yes, with only
4 two programs responding that they didn't have a
5 process for communicating that information, and
6 one state did not know.

7 We also asked, does your results report -
8 - so, this is the report that would go to the
9 physician -- include a risk assessment? So, does
10 it -- does it provide the results, or does it
11 provide something that says it's normal or
12 abnormal or elevated or a possible heterozygote?

13 And 86.8% of the states indicated that
14 their results report does include a risk
15 assessment, and for those that said no, we asked:
16 So, what challenges are encountered to
17 incorporate the risk into a report? And one state
18 responded that their LIMS -- lab information
19 management system -- is set up to report out
20 abnormal analyte ranges, not disorders, and that
21 that sort of change would require reworking of
22 the -- of the LIMS, which would require time,

1 personnel, and money, which are all factors.

2 So, then we asked specific questions
3 about R4S and CLIR, and we wanted to -- to first
4 know about awareness, so are states actually
5 aware of these tools and their availability. And
6 there were 4 states that responded, from the 38,
7 that said they were not aware of the tools; 89.5%
8 of the states were aware.

9 And then, of those that answered yes, the
10 -- the -- So, the remainder of the questions are
11 basically for those who answered yes. So, do you
12 have access to CLIR? And 67.6%, which equates to
13 23 states, have access to both R4S and CLIR,
14 29.4% had access to R4S only, and then one state
15 did not have access to either of them.

16 So, then we asked about actual usage, so
17 how often are the tools used by newborn screening
18 programs and -- and other staff who, maybe, staff
19 different parts of the newborn screening system.
20 So, within the lab, about a third of the states
21 use the tool at least monthly. Follow-up staff,
22 about 8 of the 34 states responded that they use

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 the tools at least monthly. Some medical
2 consultants stated that they use the system, and
3 then "other" could have been anybody else in
4 there, so it could have been newborn screening
5 advisory committee members, biochemical
6 consultants, scientists, and there -- there was,
7 I think, one that responded -- must have been
8 more than one. I don't have a percentage there,
9 but. So, there are a lot of staff within newborn
10 screening programs who are accessing the system
11 and utilizing it on a -- a frequent basis.

12 We asked about training. So, of the 33
13 responses to this question, 25 of -- of them
14 responded -- of the states responded that they
15 had been trained on how to use R4S or CLIR, while
16 8 states responded that they had not received
17 training.

18 And then, we wanted to know, how does a
19 program use the R4S or CLIR tools. So, 22% said
20 that they use it to determine which disorder the
21 analytes markers ratios to include in the risk,
22 so in assigning -- in -- in, basically,

1 determining whether a child is at risk for a
2 disorder or not. Nineteen point two percent said
3 that they use it for managing cutoffs, eighteen
4 point two for determining risk for very select
5 diseases. Setting cutoffs -- so similar to
6 managing but actually setting the cutoffs -- They
7 use it -- 12.1% of the programs use it.
8 Determining the normal or abnormal status -- so
9 that's a patient-by-patient look, so 7.1%, and
10 determining risk for all diseases was 5.1, and
11 then 1% said that they didn't use it.

12 So, then we asked, if your program
13 doesn't use it to -- doesn't use R4S or CLIR to
14 determine risk or normal/abnormal status, why
15 not? So, kind of trying to look into barriers and
16 -- and what are reasons that a program may choose
17 not to use R4S or CLIR.

18 So, we have a -- a quote here. So, R4S
19 has not been subjected to peer review with
20 published results clearly supporting the use for
21 risk determination. In addition, the algorithms
22 have not been validated and are subject to

1 change, which does impose a risk on a clinician.

2 A second program responded that there's
3 not enough evidence that the tools work better
4 than cutoffs to convince us to do so. For R4S,
5 the tool risk determination continuously evolves
6 every time someone is adding data. You never know
7 how well the tools were performing in the past,
8 and there's not good integration with the state
9 LIMS -- the lab information management system
10 resources. There's a lack of normalization.

11 So, we asked specifically -- because
12 there -- there were questions -- You know, the
13 issue in the media was that there were false
14 negatives, and if -- if there are different
15 cutoffs in different states, then could they have
16 been caught in one state versus another. And
17 because R4S came up as an example where some
18 cases could be detected with that versus using
19 the normal cutoff that would have been in that
20 state, we just asked: Do you have examples where
21 using R4S or CLIR resulted in either false
22 negatives or false positives? So, 32 states

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 responded. Forty percent said that no, there were
2 no examples, 34.4% said they did have examples,
3 and then some of them listed some examples.

4 So, in one case, there was a false
5 positive for maple syrup urine disease, CPT1;
6 there was a false negative using both the state's
7 cutoffs as well as CLIR of beta-ketothiolase.
8 Another one, there were two false negatives. So,
9 there have been two known cases of babies
10 diagnosed with maple syrup urine disease through
11 our program that would not have been reported out
12 for follow-up using the tool, and then, finally,
13 the CPT2 and maple syrup urine disease concern
14 was some disorders for positives that do not
15 overlap the positive range significantly enough
16 to get a positive score. As more data is added to
17 the tool, we observe, significant change can
18 occur.

19 And so -- and the point here is really
20 that there -- these are extremely rare disorders,
21 and there's nothing that's perfect and is going
22 to pick up every case every single time. We had

1 some discussion about -- about that this morning,
2 and the fact that this is a screening -- Newborn
3 screening is a screening; it's not a -- it's not
4 a diagnosis, and so the awareness that even
5 though -- if you have a negative, as a physician,
6 you see a negative result, a normal result, that
7 if the baby seems to have symptoms of a
8 particular thing, you need to go to diagnostic
9 testing and -- and not rely -- not rule out based
10 on the newborn screen alone.

11 So, we asked, also: Does your program use
12 R4S or CLIR for every abnormal result? And 12.5%
13 of the states responding said that they did, and
14 then for those states that responded that they do
15 use it -- and they could respond in multiple
16 ways, it's that when using data from R4S/CLIR to
17 determine risk or normality status, where are
18 those determinations made? So, 21.1% said that it
19 was made in the clinical setting by specialists,
20 15.8% said it was in the lab and results are
21 reported on the newborn screening reports, and
22 then another 15.8% said in follow-up, and results

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 are used to determine which follow-up algorithm
2 to use.

3 So, when using R4S/CLIR -- and this was
4 back to all 32 -- or 32 that responded. When
5 using R4S/CLIR data to determine risk, has your
6 program rerun values to obtain a new risk
7 assessment on previously reported cases? Twenty-
8 one point nine percent of the states responded
9 that they had, which equates to seven states, and
10 in one case, there was an example where the risk
11 changed over time. So, I think that was mentioned
12 in a previous -- previous slide where, as -- as
13 more and more data are entered into the system
14 that the risk itself may change.

15 Oops. Sorry. So, we asked states, what
16 are the strengths -- what do they feel the
17 strengths are of R4S/CLIR, and here, the larger
18 font and the darker -- The -- the color indicates
19 that that was mentioned more times, and
20 obviously, it's a very large data set, and that
21 was mentioned as a definite strength of the
22 system. It can be compared -- You can use it to

1 compare to other states, validates newborn
2 screening findings, it's helpful for rare
3 disorders, supports risk assessments, and can
4 help you rank the urgency of cases. Also
5 mentioned multiple times was the choice of the
6 modules and -- and that it's easy to use.

7 So, one quote from a state was: There's a
8 lot of information about disorders and primary
9 markers and also the ability to make sure the
10 cutoffs are set appropriately. There are also
11 ways of comparing results and cutoffs with other
12 programs that use the system. So, that's helpful,
13 as well.

14 We also asked, what are the perceived
15 weaknesses of R4S and CLIR to try to get a feel
16 for why states would choose not to use the
17 system. And so, the responses -- the most common
18 responses received were that the algorithm's not
19 validated, need to customize the algorithm for
20 each state, the lack of transparency, better
21 integration is needed with the LIMS, data and
22 tools are not method- or instrument-specific, the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 tool changes as more data is entered, there's a
2 concern about variability in the case
3 definitions, and the training is lacking or not
4 accessible when it's needed.

5 So, one of the quotes from a state was
6 that there's no clinical data available about
7 false positives, referring to specificity of
8 positive predictive value. The tools give very
9 likely, likely, possibly, and not informative.
10 These are very subjective interpretations. If the
11 system were tied to results from false positives,
12 more information could be provided to clinicians
13 when diagnostic testing is recommended for babies
14 with positive screening results.

15 And then, we asked about data submission,
16 so do states participate by submitting data to
17 R4S or CLIR. So, when asked about submitting
18 normal population data to R4S/CLIR, 34.4% stated
19 that they do actively submit data, 31.3% noted
20 that they used to submit but they do not submit
21 data anymore, and then 34.4% responded that they
22 did not submit normal population data results to

1 R4S/CLIR.

2 We asked about the frequency of
3 submission of that data, and so, overwhelmingly,
4 most did not submit quarterly or annually or
5 monthly. The lack of staff time was the most
6 common reason given for not submitting data, and
7 then in regards to frequency, some submit upon
8 request, some submit biannually, and others
9 intermittently, as time allows.

10 And then, in regards -- So, the previous
11 slide was for population data, and in regards to
12 case data, 53.1% of the states responded that
13 they do submit case data to R4S or CLIR, 28.1%
14 said that they used to submit but do not anymore,
15 and 18.8 -- 18.8% responded that they do not
16 submit case data. And the frequency, again, is
17 very similar, with only 5.9% submitting either
18 annually or monthly and the remainder submitting
19 other. And again, the same sort of time frames,
20 so either biannually, intermittently as time
21 allows, or upon request when confirmed cases have
22 been identified.

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 So, we asked: Why did your program stop
2 submitting data, and again, lack of staff time,
3 difficulty collating data from the LIMS, so
4 actually getting the data from the LIMS to add to
5 the system. In one case, it mentioned that the
6 department of health was concerned about
7 potential data security issues. There was a
8 concern expressed with managing, storing, and
9 sharing newborn screening data without a parental
10 consent structure within the program's newborn
11 screening process, and then legal concerns were
12 also expressed.

13 In reference to the concern about uniform
14 definitions about, you know, the cases that are
15 entered into CLIR, we asked what benchmarks are
16 used to define a case before adding it to R4S or
17 CLIR, and typically, it was a positive diagnosis
18 that was confirmed either by a clinical
19 specialist, the follow-up program, or a genetic
20 referral center.

21 So, in conclusion, we did have
22 limitations. First of all, we -- we only received

1 38 responses, so we didn't receive responses from
2 all states. We did ask some additional questions
3 to some of the states for clarification on the
4 responses that were provided, but we didn't
5 receive that information back in time to include
6 it in this presentation.

7 In addition, there -- even though we
8 piloted the -- the survey and -- to see if we
9 would get the answers we wanted, we noted that
10 there were -- there were not always -- the answer
11 we were given was not always to the question we
12 were asking, and so interpretation of -- of the
13 questions was a limitation of the survey, as
14 well.

15 So, in regards to use of R4S or CLIR,
16 approximately 97% of the states that -- that
17 completed the survey do have access to the
18 system, and -- and many of those programs do use
19 it on some sort of basis. States have varied
20 processes in determining what their cutoffs are
21 going to be. That involves analyzing state
22 population data derived from screening of normal

1 and affected infants, incorporating feedback from
2 the specialists within their state, consulting
3 published literature and/or R4S or CLIR,
4 consulting other state newborn screening
5 programs. And we did note from the survey that
6 states have mechanisms in place to reevaluate
7 cutoffs and -- and do that on a regular basis.

8 We -- So, APHL has a QA/QC Subcommittee,
9 and that subcommittee has been working on a
10 document that would be basically a guidance
11 document for states on ways -- not, like, one way
12 to set a cutoff, but basically gathering guidance
13 from different regulations and -- and other
14 guidance documents to basically come up with
15 something that newborn screening programs could
16 refer to when setting cutoffs, and that's going
17 to be discussed -- We'll get an overview of that
18 at the Lab Workgroup meeting this afternoon.

19 I think it'll be good to be able to
20 provide the results of the survey to the QA/QC
21 Subcommittee, and I think that -- that they'll be
22 able to glean some additional information from it

1 and perhaps determine where some additional gaps
2 may be or where additional guidance may be
3 needed.

4 So, if anyone has any questions or
5 comments?

6 DR. JOSEPH A. BOCCHINI, JR.: Susan,
7 thank you very much. This is open for questions,
8 comments. Dieter?

9 DR. DIETRICH MATERN: I have a few
10 comments, but I guess I first have to disclose
11 that I'm an employee at Mayo Clinic, where Dr.
12 Rinaldo works in close proximity to my office.
13 He's the inventor of R4S and then CLIR. This is a
14 free product that anyone can have access to, free
15 meaning it doesn't cost you money, but it does
16 cost you time, and you have to submit data. Does
17 -- if that doesn't represent a conflict of
18 interest, then I will make my comments.

19 (No audible response)

20 DR. DIETRICH MATERN: Okay. This survey -
21 - The survey was put together, apparently, by
22 some people who don't really know R4S or CLIR.

1 R4S/CLIR is not the same, so they're very
2 different, as Piero, based on the minutes,
3 informed everyone here at the last meeting.

4 So, most of the questions, I think, are
5 misleading or getting you answers that you might
6 want to have. The audience, as you indicated,
7 were newborn screening programs or those related
8 with newborn screening. I don't believe we
9 received the survey at Mayo, which would have
10 probably helped to kind of provide you some input
11 as to how those questions should have been asked.

12 The weaknesses of CLIR being not
13 validated, not peer reviewed, I think are wrong,
14 because there are multiple papers out there that
15 are all peer reviewed. If a state wants to check
16 whether the system works or not, it is very
17 simple. You use the paper written by Hall et al
18 in Genetics in Medicine and basically do what
19 California did. They took the data for whatever,
20 200,000 babies, and ran them through CLIR and
21 could show that they would have reduced the false
22 positive rate by 90%. Every state can do that

1 with their own data.

2 Training -- many states have been
3 trained. They went back and just forgot about it,
4 or the people who were trained were told, "Don't
5 do that." You can actually save time by using
6 CLIR, and that can free you up doing other things
7 that you should be doing.

8 I think I'll stop here for now.

9 DR. SUSAN TANKSLEY: And there is
10 training available; it's online, and this is a
11 representation from the results that we were
12 given back. So, thank you.

13 DR. JOSEPH A. BOCCHINI, JR.: Joan?

14 MS. JOAN SCOTT: Thank you. I just have
15 one quick question. On the states that responded
16 -- I think the total number was 38 -- was there
17 any analysis done on respondents versus non-
18 respondents that should be taken into account,
19 also, when looking at the responses?

20 DR. SUSAN TANKSLEY: No, that hasn't been
21 done yet, but we can certainly look into that.

22 DR. JOSEPH A. BOCCHINI, JR.: Kamila?

1 DR. KAMILA MISTRY: I think the other
2 concern is, really -- You know, the comment that
3 you made about people interpreted the questions
4 differently, so that just gets to the heart of,
5 you know, just survey methods in terms of
6 validity of the results. And so, I -- I mean, is
7 there -- do you have specific questions that
8 you're concerned about, or do you feel like that
9 was a concern overall?

10 And, you know, I think Dieter also
11 pointed out some specific questions around, you
12 know, the way that the terms were sort of, you
13 know, combined. And I mean, in some ways, it's a
14 double-barrel question because if it's -- if
15 they're different, then, you know, you could have
16 asked that question about one and then the other.
17 I think that --

18 So, there's a number of those kind of
19 concerns which then makes me wonder about the
20 results, and -- Are there specific questions that
21 you're more concerned about or overall --

22 DR. SUSAN TANKSLEY: Well, so, the very

1 first question about just describing your process
2 used to establish which infants, essentially, are
3 going to need referral after screening. So, we
4 were trying to not say, how do you establish
5 cutoffs, because we didn't want to presuppose
6 that they use a cutoff, because they could have
7 used CLIR, which is looking at multiple analytes
8 as well as the data at the same time. So, we were
9 trying to ask that question without giving a
10 response and trying to actually determine what is
11 your method for developing the cutoff or -- or
12 how -- whatever you use.

13 And we got some responses that started
14 with: Based on the cutoff, we do this. So, it was
15 after cutoff, how does the referral happen versus
16 how is -- what sort of data is used, or what do
17 you -- what do you utilize to determine what you
18 would actually consider to be normal versus
19 abnormal. So, that's -- that's one example.

20 And then, in regards to R4S/CLIR, that
21 was meant as, do you use either system. We know
22 that they're very different.

1 DR. JOSEPH A. BOCCHINI, JR.: Jeff.

2 DR. JEFFREY P. BROSCO: I -- I have two
3 questions. Thank you for -- for bringing this to
4 us.

5 How -- how does APHL -- how do you see
6 this survey fitting into a bigger, sort of,
7 project -- I mean, I presume that you did it with
8 a particular idea in mind -- and how do you think
9 that you use the data, or is it not as useful as
10 you had hoped? Because you sort of said that
11 yourself. And then --

12 DR. SUSAN TANKSLEY: Well, I --

13 DR. JEFFREY P. BROSCO: I'll let you
14 answer --

15 DR. SUSAN TANKSLEY: I'm sorry.

16 DR. JEFFREY P. BROSCO: -- that and then
17 I'll ask another one.

18 DR. SUSAN TANKSLEY: I'm sorry.

19 DR. JEFFREY P. BROSCO: Go ahead.

20 DR. SUSAN TANKSLEY: So, we received --
21 we -- we boiled down responses to have something
22 that's presentable, but I literally have four-

1 and-a-half pages of small font just from the
2 first question.

3 DR. JEFFREY P. BROSCO: Wow.

4 DR. SUSAN TANKSLEY: So, you can take
5 that information, and then that can be used by --
6 like I said, I think we need -- we now need to
7 hand this off to our QA/QC Subcommittee so that
8 they can look at the information and try to
9 determine: Are there -- what -- what are the gaps
10 here, what are the issues being faced, and is
11 there a -- a tool -- is there something else that
12 needs to be addressed in the guidance document,
13 specifically based on the responses that are
14 received in this survey? So, I think -- I think
15 there's a use for the information.

16 DR. JEFFREY P. BROSCO: Right.

17 DR. SUSAN TANKSLEY: No, it's not a
18 perfect survey, fully --

19 DR. JEFFREY P. BROSCO: So --

20 DR. SUSAN TANKSLEY: -- fully
21 acknowledged.

22 DR. JEFFREY P. BROSCO: You know, I think

1 that makes a lot of sense. This isn't so much a
2 research study on whether CLIR works or not or
3 should work or not; this seems more like a --
4 sort of a quick survey to find out, where are we
5 in --

6 DR. SUSAN TANKSLEY: Right.

7 DR. JEFFREY P. BROSCO: -- the field.
8 It's an assessment before you start doing
9 teaching. So, it's a very common, kind of, pre-
10 diagnostic thing. So, that makes sense from that
11 point of view, and so we can all take a deep
12 breath.

13 All right. The second question is more
14 about the fundamental issue about false
15 negatives. And I -- I think we might have talked
16 about this in one of our workgroup calls. And is
17 there a sense that we have an understanding of
18 how many false negatives there really are? And I
19 know there are media stories about it, so it's
20 always hard to know if you've got a lot of
21 traction because of media stories, or do we see
22 this as a widespread problem that deserves a huge

1 amount of attention -- because we don't want to
2 miss cases -- or is it that there are going to be
3 some small misses here and there, and that's just
4 the way things are? I mean, how do you -- What's
5 your sense of that?

6 DR. SUSAN TANKSLEY: Well, so in regards
7 -- in regards to false negatives -- and I know
8 this was discussed at the last meeting, as well,
9 as I was reviewing the minutes -- You know, these
10 are extremely rare disorders, and so when there
11 are false negatives, when we miss something, when
12 there's something that's out of range, below the
13 cutoff, above the cutoff, whatever it is, we have
14 to have feedback from -- from the medical
15 community in order to even know those cases
16 exist, first of all.

17 So, when there is a false negative, when
18 a newborn screening program is made aware of
19 that, they go back through -- They take that very
20 seriously. They look at -- They reanalyze the
21 specimen, if they still have the specimen, and
22 try to determine, should this have been caught by

1 our existing system? Why was it not caught? What
2 went wrong that caused this issue? They may go
3 back in and -- and put the data in -- into R4S
4 and -- or CLIR and -- depending on which disorder
5 -- and say, "Okay, would we have caught this?"
6 But there's an analysis that's done to determine
7 that, but without the feedback, without knowing -
8 - without knowing that there is a false negative,
9 you can't do any of that.

10 You know, there's -- States try -- When -
11 - So, let's say there -- there's a new disorder
12 that's added or a change in technology, going
13 back -- the ability to be able to go back to
14 residual specimens from a case, a diagnosed case,
15 and to be able to analyze that and determine,
16 where should I set my cutoff or -- or how can I
17 actually detect these children -- You know,
18 that's -- that's -- it's an analysis that's done.
19 It's taken very seriously. The more information
20 you have, the better.

21 DR. JOSEPH A. BOCCHINI, JR.: Carla?

22 DR. CARLA CUTHBERT: Susan, thank you for

1 -- for your presentation. It's very, very
2 informative, and I -- we really appreciate what
3 you guys have done.

4 At CDC, we've been trying to think of
5 ways that we can, perhaps, help. I know that as
6 part of our process as a -- as an agency, we do
7 not set cutoffs. This is something that -- that
8 every state and every program has to determine
9 for themselves.

10 And in -- in a discussion about how we
11 might help, we were wondering whether it would be
12 beneficial for us to be able to get borderline
13 positive cases or even borderline -- borderline
14 cases at all from state programs, have it be
15 tested at CDC, and recreate some of those
16 materials and redistribute them to programs, so
17 that at least there -- there would be some level
18 of -- of samples available to states so that they
19 could know what samples might have given a
20 positive result. Is that something that would be
21 helpful at all, do you think?

22 DR. SUSAN TANKSLEY: I think that would

1 be incredibly valuable in order to -- to have
2 samples that would actually simulate positive,
3 real cases, simulate real cases that might or
4 might not be caught if they're really in that --
5 in that borderline range.

6 DR. CARLA CUTHBERT: Right.

7 DR. SUSAN TANKSLEY: You know, there are
8 -- there are some disorders where we know -- and
9 this was talked about this morning -- where --
10 where we know that we're going to miss some
11 cases. Cystic fibrosis is one of them. We know
12 for a fact we're not going to catch every one,
13 otherwise we'd be sending half of the population
14 for sweat testing. And so, to have -- to have a
15 resource like that, I think, would help states.

16 DR. CARLA CUTHBERT: Sure. And that's
17 something that we can certainly do, and I -- And
18 again, for the very, very early states and
19 programs that actually do this, you have no
20 sense, because these are rare -- rare specimens
21 and rare cases. I know that you guys set very
22 conservative cutoffs to be able to try to capture

1 as many cases as you possibly could, so I know
2 it's sometimes hit and miss, and these patients
3 do teach you a lot about where to set some of
4 these -- these -- these cutoffs.

5 DR. JOSEPH A. BOCCHINI, JR.: Dieter?

6 (Off-mic speaking)

7 DR. DIETRICH MATERN: Dieter Matern.

8 About the false negatives -- The beta-
9 ketothiolase case and the MSUD cases that were
10 brought up through the survey may have been one
11 beta-ketothiolase case from Minnesota that was
12 published, and I don't think that any change --
13 any reasonable change in cutoff would have made
14 that a positive. Unfortunately, thanks to the
15 Minnesota laws where we had to destroy
16 everything, we cannot go back and see if CLIR
17 would pick that up today.

18 The MSUD cases -- MSUD is, as most of the
19 conditions, has variable phenotypes, and thiamine
20 response of MSUD is probably not detected in most
21 cases. And that is also published. And maybe
22 those two cases are the ones from California,

1 where even the second-tier test looking at
2 alloisoleucine was negative.

3 So, that is just a fact. There are some
4 cases you will not pick up. But, again, not
5 having seen the data, I don't know if CLIR,
6 today, would really miss them or would pick up
7 more than we have in the past.

8 DR. JOSEPH A. BOCCHINI, JR.: Mei?

9 DR. MEI WANG BAKER: I just have a couple
10 of comments. A comment to the false negative -- I
11 feel, when things happen, indeed, that we need to
12 check our system and look at that, but I think we
13 also keep in mind the specific case is what kind
14 of circumstance we need assess it. Is it really -
15 - Is it because the system failed or because this
16 case has special situation? And we experience
17 with that.

18 And the babies with mild MCAD because
19 have some sickness or have sugar, you know,
20 loaded -- You -- you just cannot -- I -- I think
21 it's very important, because if you just because
22 of miss this, then you change everything, you may

1 not solve the problem you --

2 MALE SPEAKER: Right.

3 DR. MEI WANG BAKER: -- intended to. So,
4 I think we need to keep this in mind.

5 And also, I want to echo about MSUD. So,
6 when you mentioned the case, I immediately in my
7 head is, you mention one type. But also -- MSUD
8 also have called a intermittent type, and you --
9 you can -- you can -- because they were not sick,
10 each don't have that, is why people start look at
11 -- analyzing those things.

12 So, I think we need be careful. The
13 things that we -- we haven't picked up, is it
14 really everything's contribute to cutoff, so we
15 need to be very, very careful to -- to look at
16 this.

17 DR. SUSAN TANKSLEY: I -- I agree. I
18 mean, definitely, investigating false negatives,
19 you have to take everything into consideration,
20 not just the cutoff, and try to learn more about
21 the case.

22 And I think both you and -- and Dieter

1 have referenced definitions, and, you know, we --
2 we talk about case definitions all the time, and
3 we have for years, and how do you define a case,
4 and what are you screening for. And it's really
5 hard to answer that question, and each -- within
6 each program, there may be a different definition
7 for what you're actually screening for. And when
8 we get reports sometimes, we ourselves have to
9 ask, what are we really -- are we trying to catch
10 that particular case? We were set up to screen
11 for the classical type, and this may be three
12 types down from that.

13 And so, it -- it really is a question I
14 don't have the answer for, but what -- what are
15 we specifically screening for when we say we're
16 screening for a particular disorder? And that's
17 one of -- one of the concerns, was the cases that
18 are within R4S and CLIR as -- as diagnosed cases.
19 That's as a case -- as a state defines them. And
20 so, each state having different definitions,
21 there is different types of a disorder, perhaps,
22 that's -- that's for -- that's -- that's within

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 the database.

2 And so, I don't know -- I don't know how
3 we solve those problems, but case definitions
4 seem to be something that continue to come up. I
5 know it comes up within -- within our own program
6 in Texas: What are we screening for?

7 DR. JOSEPH A. BOCCHINI, JR.: So, I have
8 Mike Watson and then Carol Green.

9 DR. MICHAEL WATSON: So, it sounds like
10 the -- I mean, the -- the best way to get to the
11 resolution is the comparative analysis of the
12 cutoff systems being used and the CLIR tool or
13 the R4S tool. How many -- Is California and
14 Georgia the only two states that have really done
15 that comparison, or are there other states that
16 have actually run the comparison of the tools?

17 DR. DIETRICH MATERN: Dieter Matern.
18 Piero is working with several states currently,
19 primarily focused on congenital hypothyroidism
20 and looking, also, at the one-screen versus two-
21 screen option. Again, I think every state can do
22 that. It's really not that hard. As long as you

1 have your own data, you can just run them through
2 CLIR and see what you get and compare it to what
3 you got through the prospective screening.

4 DR. MICHAEL WATSON: Certainly, the false
5 positive rate -- We talk more about missing
6 people in the negatives, but the false positives
7 are enormously expensive on the workforce and the
8 health care system. I mean, when you have -- we --
9 -- I know tandem mass spec was running at a level
10 of 2% positive predictive value all the way up to
11 60% across different states, and the implications
12 are enormous amounts of money.

13 DR. MEI WANG BAKER: Just to follow with
14 Mike's comments -- Actually, Wisconsin, right
15 now, we are parallel. We are doing -- we just
16 start a couple of weeks ago for Pompe, so what we
17 are doing now is parallel running to traditional
18 cutoff. We have the -- 30 the medium percentile
19 cutoff. Also, at the same time, we use the CLIR
20 tool.

21 So, the -- our -- we want to do a
22 prospective comparison, and our idea is, from

1 either system, indicate positive or go through
2 more -- even second-tier or confirmatory, and in
3 and we hope about a year or so time, we'll have
4 data. And I can tell you right now, we getting to
5 more -- close to 4,000. Everything has been
6 agreeable, so we are continuing doing that.

7 DR. JOSEPH A. BOCCHINI, JR.: Carol?

8 DR. CAROL GREEN: So, I have two very
9 short things and one major philosophical question
10 that I think is underlying all of this. One is,
11 there was a great discussion about the
12 physiologic differences that, you know, not all
13 false negatives are equal, but coming back to the
14 slide -- and -- and I'm hearing lots of people
15 talking about MSUD cases, and I'm noticing, on
16 that slide, a very big difference between the
17 second bullet and the third bullet.

18 The first one, false positive for MSUD
19 and CPT1 -- if that's one case each, you know,
20 that's one case; that's not a big -- The second
21 bullet's really clear. The third bullet, would
22 not diagnosis with MSUD through our program that

1 would not have been reported -- that's not
2 reaching the level of clarity of the second
3 bullet. Diagnosis through our program because the
4 kid showed up clinically and would have been
5 missed by the state's tool --

6 So, I think going back to find out, was
7 that missed by -- was that picked up by the state
8 screening but missed by CLIR and RL4 -- that
9 would be not physiological. So, that just needs
10 to be clarified. And the people who know the case
11 may know the answer, but it isn't clear to me
12 from the slide.

13 The second thing is, is when the states -
14 - the lovely quotes about the states, why they're
15 not using RL4, the third point is -- is the one
16 about the -- the reason the -- the philosophy,
17 the validation, the whatever. But when they say
18 that cutoffs changes (sic), well, states change
19 their cutoffs all the time. The only difference
20 there, at least, is, the state knows when they
21 changed them.

22 But I've been working with state newborn

1 training labs forever and ever and ever, and the
2 whole point of all of them reevaluating their
3 cutoffs is, when you have too many false
4 positives or something's changed, you go back and
5 you change the cutoff. So, the fact that RL4 and
6 CLIR changed their cutoffs over time, it's a
7 moving target. That's what the states do. So, it
8 may be something that could be negotiable.

9 But I think the basic philosophy is,
10 we're right here at the margin between medicine
11 and public health, and do you require -- I mean,
12 the federal government cannot require any doctor
13 to come up with the same answer as another
14 doctor. And this is a lab, this is medicine, but
15 it's also screening, and to what extent can
16 anybody compel a state to use something if they
17 feel that they're not protected legally?

18 And I think we're talking a lot about
19 which is more accurate, but I don't think that's
20 the question. I think the question is a
21 fundamental point of, can you control what people
22 use? Is there any reason people can't use both?

1 Can they refer to it? You know, I think -- But I
2 -- I think there's a lot of talk about which is
3 better, but it's not better. I think it's, which
4 is going to be acceptable to -- to the states'
5 attorneys.

6 DR. DIETRICH MATERN: When it comes to
7 the attorneys, I mean -- or states feeling that
8 they cannot use it, I really don't care what they
9 feel about. I mean, ask your attorneys whether
10 you are at risk, which means that the attorneys
11 need to understand how the system works, what is
12 known about it, and what is transparent about the
13 limitations of the system. I think as long as one
14 is honest about the tools that one uses and
15 points out potential limitations --

16 Again, I think there were many more
17 limitations mentioned through the survey than
18 there should be, because that -- that's just not
19 true. I think one can move on. But based on
20 feelings is just not getting us anywhere. Where's
21 the Evidence Review Group?

22 DR. SUSAN TANKSLEY: So, I -- I want to

1 make one comment in response to -- to Carol's
2 point about the -- the -- the validation. So,
3 I've -- I've been in many conversations about
4 this, and so a concern that I've heard expressed
5 -- So, any time, in a newborn screening lab, that
6 we make a change, we have to revalidate. So, we
7 go back through and revalidate it or reverify it
8 and make sure that we're still going to have
9 accurate results. The concern is that as more
10 data are put into the system, it's not
11 revalidated. That's the concern.

12 DR. CAROL GREEN: And -- and I -- it's --
13 I'm thinking that I probably was not entirely
14 clear for both sides, is that I'm not sure that a
15 federal -- Well, I think it's an incredibly
16 complicated discussion. I don't -- I think our
17 state -- I don't know if Lisa (phonetic) is here
18 -- I think our state -- I know we set cutoffs,
19 and I know we use CLIR. And we go back and forth,
20 and, you know, we use our -- we use CLIR to look
21 at our cutoffs, and we use our cutoffs and we go
22 back and look at each case.

1 And I think there are multiple ways to do
2 it. I'm just wanting to put on the table that I
3 think it would be very hard for a federal
4 committee to mandate how state labs practice
5 their interpretation.

6 DR. JOSEPH A. BOCCHINI, JR.: Natasha?

7 MS. NATASHA BONHOMME: Thanks. I have two
8 questions and then a comment.

9 For -- Going to the survey: Are there
10 plans to do anymore data collection or -- you
11 know, understanding that this was kind of a
12 snapshot and a very general collection of data
13 from states, but is there any plan to do this any
14 further, particularly around getting more
15 specifics around the plans or the process to
16 communicate reference ranges and protocols back
17 or more details around how the risk assessments
18 are reported back?

19 DR. SUSAN TANKSLEY: So, as I said, data
20 collection ended 2 weeks ago, so we haven't yet
21 thought -- and I think there's a lot of ideas
22 being generated in this conversation today that

1 could lead to us going back and asking for
2 further clarification, either specifically from
3 states based on how they responded or to come up
4 with an -- with new questions to dig deeper.

5 And so, at this point, we don't have --
6 we don't have a planned next survey. As I -- as I
7 mentioned, I really think the QA/QC Subcommittee
8 would be a good place to send this information
9 and let them -- let them dig further. But, I
10 mean, some of the places that have specifically
11 been pointed out, we can -- we can dig a little
12 deeper in those, as well.

13 MS. NATASHA BONHOMME: Okay. And do you
14 know of -- I think the way you laid out, you
15 know, what happens when -- and the program finds
16 out that there's a missed case and, kind of, the
17 process along that was really wonderful, and I
18 was wondering if there -- if that is laid out
19 anywhere in terms of what happens if there's a
20 missed case or a -- a suspicion of a missed case.

21 And I guess I'll just jump into my
22 comment. I think it's important -- I know that

1 we've gotten really specific into R4S and CLIR
2 and the data, but what kicked all of this off was
3 a media report, which really means that someone
4 either found something out or didn't understand
5 what was going on. And so, there needs to -- I
6 would say, there needs to be a communications
7 education perspective on this and not to think
8 we're covering that with the information that is
9 being gathered and mainly discussed today.

10 And so, one, kind of, thought of that --
11 and I am sure there are liability issues and all
12 of that, but I don't know if you know of any
13 states or any public anything that actually lays
14 out, well, what happens when there's a missed
15 case, or even before that, what are cutoffs? Why
16 are there different --

17 You know, there's a lot of this
18 discussion that it was clearly triggered because
19 people don't know and think that, oh, maybe labs
20 aren't thinking about it. But there just seems to
21 be a really big communication education component
22 here, and I'm just wondering how -- are there

1 examples of that need being met, and if not, from
2 your perspective, do you think that also needs to
3 be part of this discussion? Not this one
4 particularly, today, but the broader discussion
5 of cutoffs.

6 DR. SUSAN TANKSLEY: Well, I think -- I
7 think there needs to be a better understanding of
8 newborn screening in general. We've addressed --
9 we've addressed that issue -- not addressed,
10 we've discussed that issue on a -- on a public
11 level and the understanding of newborn screening,
12 and even not just public, but even parents. And,
13 you know, the -- the clearinghouse has done a
14 great job at -- at bringing that information into
15 one location so that -- that -- that parents can
16 go to that information and obtain that
17 information.

18 I think there's a huge opportunity here
19 to also think about reeducating primary care
20 physicians about what newborn screening is and
21 what it means, what those results mean, and --
22 and the whole -- You know, in the -- in the media

1 articles that came out, the -- there seemed to be
2 instances where the physician ruled out a
3 disease, a -- a particular disorder, because the
4 newborn screen was normal.

5 And, again, if -- Newborn screening is a
6 point in time. It's -- it's -- so, it's a
7 snapshot depending upon when that -- that blood
8 spot is collected. We've talked about variable
9 expression of -- of the -- the condition itself.
10 And so, it may not -- Whenever the blood spot was
11 collected, that analyte may not have been
12 elevated or low, depending on the condition. And
13 so, if it looks like something's wrong, it
14 shouldn't -- you shouldn't rule out based on a
15 newborn screen.

16 And I think that that's a message that
17 needs to be given to health care providers so
18 that, yes, the newborn screen was done -- check -
19 - but it -- but more than that. If it still looks
20 like cystic fibrosis, get the baby sweat tested.
21 Don't just assume it's not cystic fibrosis,
22 because the baby -- baby's newborn screen was

1 normal for that.

2 So, yes, we -- we do need to do a better
3 job, and -- and I know we -- we've talked about
4 putting information on -- on the clearinghouse
5 about cutoffs and trying to come up with language
6 about why they are variable in different states
7 and that sort of thing, so that we can try to get
8 to that more parent-type education, but I think
9 there's a role, also, here for opportunity to
10 educate the health care providers, as well.

11 DR. MEI WANG BAKER: I just wanted to
12 make additional comments. I think Natasha is kind
13 of concerned, like, to medium find out what's
14 really going on if they don't, right?

15 I think I can speak from our -- you know,
16 our state experience. So, actually, we take false
17 negative very, very serious -- seriously. Every
18 single time when they become too -- when we're
19 aware of that, we do thorough investigation,
20 again, take all these into consideration, then
21 take to our advisory board. So, that's a
22 situation. Then we understand what can we do in

1 future trying to avoid it.

2 And I can give you example. The news
3 media talk about a Wisconsin case that --
4 propionic acidemia , and we -- after, we did a
5 investigation. We really feel we need to change
6 our cutoff. But also, we recognize, changing
7 cutoff alone will cause another side problem: too
8 many false positive. What we did is, we changed
9 cutoff lower, and we waiting on the second-tier
10 testing. So, that's why you -- this -- I think
11 that's the process.

12 And also, we learned, special community
13 like Amish, their history is very low. We -- even
14 we cut it low, we still may not be able to get
15 information. For that community -- community, now
16 we encourage them, in the newborn screen cards,
17 indicate they come from this community. We just
18 do the second-tier directly, and we do medical
19 testing directly.

20 So, I -- I just want you to know, like,
21 program does -- I mean, programs do have this
22 kind of in place, and everybody pay attention to

1 false negative very, very seriously. Just -- if
2 it never come to our attention, then we don't
3 know. Yeah.

4 MS. NATASHA BONHOMME: I just want to
5 say, I wasn't implying that I thought programs
6 didn't. I think it's more so, we who are in these
7 meetings and speak to each other, we know all the
8 effort that goes into it, but we can't -- If
9 we're not communicating that out beyond the
10 newborn screening chorus, or choir, you can't
11 expect someone on the outside to really
12 understand that and to know that and to
13 appreciate the effort and the time that all of
14 that analysis and investigation takes.

15 DR. CATHARINE RILEY: Hi, this is
16 Catharine Riley. Just a reminder for the
17 transcripts and for those on the webcast: If
18 people could state both their first and last name
19 before comments, just helps for the documentation
20 and for those on the webcast to -- to know who's
21 speaking. Thank you so much.

22 DR. JOSEPH A. BOCCHINI, JR.: Yeah.

1 Melissa and then Annamarie.

2 DR. MELISSA PARISI: I just mostly wanted
3 to make a comment and -- and an experience that
4 recently happened with one of our groups --
5 Sorry, this is Melissa Parisi. I just disobeyed
6 your --

7 (Laughter)

8 DR. MELISSA PARISI: -- your guidance,
9 Catharine. Sorry.

10 So, a comment -- a -- a real world
11 example, too. I mean, I know we -- we all have
12 examples, those of you in the laboratory setting,
13 in particular, but we had been -- We've been
14 funding two states to do pilot screening for MPS
15 I, as you know, which was added to the RUSP not
16 so long ago, about a year ago, and North Carolina
17 was having a problem with a really high false
18 positive rate.

19 And based on talking with another state
20 and hearing that, I think, Kentucky had had
21 success using the CLIR tool, was actually able to
22 incorporate that into their algorithm during the

1 development of the screening protocol and was
2 able to reduce their false positive rate by 80%,
3 thereby saving our investment -- the government's
4 investment -- in their screening, allowing them
5 to screen more newborns and to reduce the number
6 that required secondary evaluation and,
7 potentially, those who got called back and the
8 stress on families.

9 So, I do think that there's value in
10 using some of these analytic tools, particularly
11 during the process of protocol development, when
12 new conditions are rolled out by states and to
13 think about incorporating them earlier rather
14 than later, because I think once a -- a given
15 program has experience in using the tools and
16 feels confident that the -- the cutoffs that they
17 are able to garner by using these tools are
18 effective, then it becomes a really valuable part
19 of their screening process.

20 MS. ANNAMARIE SAARINEN: Hi, Annamarie
21 Saarinen, Newborn Foundation. I really, really
22 don't mean to oversimplify this, but to Mei's

1 point: If you took a problem in your state and
2 you've systematically solved it, and you now have
3 the data to show that that's happened -- And it
4 sounds like, from Dieter's experience, many of
5 the states that have been able to run against the
6 -- the algorithm basically can, sort of, do the
7 same.

8 I -- I'm trying to figure out how there
9 isn't just a best practice that can support
10 standardization, because until that happens, I
11 don't see a day when we don't have families
12 coming in front of this committee and saying,
13 like, "Well, if my baby had been born across the
14 border in this state, this -- X, Y, or Z would
15 not have happened." And I'm -- you know, I'm --
16 I'm all about, you know, states being able to
17 adapt their programs for their needs, and so not,
18 sort of, homogenizing everything, but in this
19 case, there is, I think, a desperate need for
20 standardization.

21 And maybe we were lucky enough with CCHD
22 screening, when that came down the pike, to have

1 that sort of expert workgroup forum that Dr.
2 Puryear and others here helped pull together
3 after the committee sent its letter to the
4 secretary, but the output of that was a -- and I
5 know Alex hates it, but it's like the -- the
6 Kemper protocol, right? The output of that was
7 something that has been almost universally
8 adopted by every state in this country.

9 And I'm not saying that it won't change
10 or be optimized, because it almost certainly
11 will, but at least in -- in most cases, there's
12 very little variance between how that test is
13 done and how it's measured by the newborn
14 screening departments. So, for the blood spot
15 screenings, I assume it's probably far more
16 complicated, but what, truly, is the barrier
17 right now to having standardized cutoffs from
18 state to state?

19 Sorry, you did a great job in your
20 presentation, by the way. Thank you for sharing
21 the survey results.

22 DR. JOSEPH A. BOCCHINI, JR.: First

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Carla, and then if you want to come up, Michael.

2 DR. CARLA CUTHBERT: This is not the
3 first time I've heard a comment like that before,
4 so, Annamarie, thank you for bringing that up
5 again. And I know that we've had several
6 conversations about the fact that different
7 states do different things, so I know that at --
8 at one level, it would be really nice to be able
9 to have a single cutoff and have every state,
10 sort of, conform to the -- if it's above, you're
11 good; if it's below, you know, you're highly at
12 risk.

13 That's just not how it happens in
14 practice and laboratories. Laboratories have
15 different platforms, which means that they do not
16 always get the same absolute values.

17 Another project that we're thinking about
18 adopting at CDC, as well, is doing a level of
19 harmonization. One of the things that the states
20 do receive is that they receive all of our
21 quality materials. So, we're -- we're actually
22 looking into taking a look at the cutoffs that

1 they share with us and then normalizing against
2 QC materials that we give them to sort of see if
3 we can actually have a -- a standardized or a
4 harmonized way of looking at cutoffs of tests.

5 But -- but that only gives a part of the
6 story, as well, because you -- there are some
7 states that modify their cutoffs because they're
8 able to run second- and third-tier tests. So, you
9 can't have one -- one cutoff that works entirely.

10 One of the things that we need --

11 (Off-mic speaking)

12 DR. CARLA CUTHBERT: -- to pay attention
13 to, though -- Pardon me?

14 (Off-mic speaking)

15 DR. CARLA CUTHBERT: Yeah, and -- and
16 that's --

17 FEMALE SPEAKER: Like -- like, so that
18 you can provide that information so that --

19 DR. CARLA CUTHBERT: Mm-hmm.

20 FEMALE SPEAKER: -- states can strive for
21 getting to that.

22 DR. CARLA CUTHBERT: Correct, and that's

1 actually what the Quality Assurance/Quality
2 Control Subcommittee is actually going to be
3 working on. So -- so, they are absolutely looking
4 at the practices that occur around the country.
5 They're going to incorporate the use of -- of the
6 CLIR tools and -- and R4S, and I know that R4S is
7 sort of on the side now, and CLIR is what Mayo's
8 moving with, right? Is that correct, Dieter?

9 (No audible response)

10 DR. CARLA CUTHBERT: So -- so, there are
11 users of these tools. And so, in terms of how you
12 do what you do, those -- that guidance is going
13 to be developed, and they're -- they're in the
14 process of actually doing that right now.

15 Again, we are a -- a federal -- a federal
16 entity, and we cannot tell the states what to do.
17 As much as we would like, in our -- on our
18 pulpit, to say, "This is right; you know, you
19 should do it the way I -- I -- I think is right,"
20 we can't do that, but we can offer good guidance.
21 They can come up with -- with a sense of, these
22 are the ways that it's worked for us, and -- and

1 -- and that's how you go -- go forward with it.

2 So, I hear what you say, and I know that
3 there's a desperate need to -- to simplify it in
4 that way; that's just not how -- that's not how
5 it can be done in practice, so.

6 DR. JOSEPH A. BOCCHINI, JR.: So, Dieter
7 and then --

8 DR. DIETRICH MATERN: Dieter Matern. So,
9 there is a best practice, and the best practice
10 is to use CLIR. I think, again, the data that are
11 published suggest that, and the data apparently
12 out of North Carolina would suggest that. And our
13 data from Kentucky suggests that, where we have
14 screened, now, probably, 70,000 babies for 3
15 lysosomal storage disorders, where we found 3
16 kids with Pompe disease, all late onset, 1 with
17 MPS 1, 1 with Krabbe disease, and we had 1 false
18 positive because I overrode the CLIR result,
19 which I shouldn't have done in retrospect. So,
20 that's the best practice right now.

21 (Off-mic speaking)

22 DR. JOSEPH A. BOCCHINI, JR.: Committee

1 goes first.

2 DR. JEFFREY P. BROSCO: Okay. But it was
3 a slight change in topic, which was to really
4 push Annamarie's suggestion and say: This isn't
5 just about cutoffs, right? This is about, as lab
6 practices get more and more complex -- and I'm
7 sure this committee's been through it before, so
8 I'm ignorant of the answer -- why not have --
9 move it towards more regional sort of labs? I
10 mean, it's clear that for follow-up and local,
11 sorts of, issues they're critical, but for
12 testing specimens, it would make sense to not
13 have to have 50 states, or however many there are
14 programs doing this, each do it themselves.

15 DR. CARLA CUTHBERT: Again,
16 regionalization is something that -- that a state
17 lab has to determine that they want to do, and
18 there are -- there are instances of -- of that
19 happening. Not every state's going to want to do
20 their -- their testing, and they will contract
21 with another state to do that. That's currently
22 in practice now. But that's something that they'd

1 have to choose to do. And, again, as resources
2 get tighter, maybe that will be a solution that
3 they will actually come -- take advantage of more
4 so.

5 DR. JOSEPH A. BOCCHINI, JR.: Okay. Dr.
6 Schneider, if you'll just state your name and
7 affiliation?

8 DR. JOE SCHNEIDER: Absolutely. Joe
9 Schneider, pediatrician. I'm clinically with UT
10 Southwestern in Dallas, and I'm a pediatric
11 informaticist who's retired at this point.

12 I just want to say plus one to -- "Plus
13 one" is that I agree strongly with the concept of
14 the -- not doing things 53 different ways,
15 because the -- the -- the points that are being
16 made about trying to get to use the committee's
17 voice to say: There is a best practice -- And --
18 and when Dieter says it, it comes out with a
19 little bit of potential bias, but at the same
20 time, I think there are better ways to do this
21 rather than to have 53 different ways.

22 And -- and frankly, I would say, please,

1 would you all speak with a single voice to the --
2 to -- so that we who have to suffer on the
3 outside -- because I take care of these -- these
4 babies. I get the calls on Saturdays and Sundays.
5 The -- Can -- can we speak with that single voice
6 to say: Let's get to more national best
7 practices? And states, while we culturally really
8 want to respect your authority, there -- the --
9 there are scientific things that sort of cross
10 borders, and we need to respect those and -- and
11 -- and really push those.

12 One other quick comment, if I could. I
13 mean, I really -- So, emphasis on
14 standardization. The other thing to think, there
15 -- there are cultural issues. I used to be an
16 anthropologist, so everything's culture in my
17 life. The -- and that is the -- when you say the
18 words "positive" and "negative," it is incredibly
19 difficult for me as a pediatrician, when I'm
20 faced with a child who has a problem, to overcome
21 the word "negative" on that screen. And -- and I
22 know and I was trained not -- you know, that it's

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 just a screen, but those words say "negative" to
2 me, okay?

3 And so thinking about how -- we -- we can
4 certainly try and retrain the world. That's --
5 that's -- that's usually not a very practical
6 thing to do. But finding different ways to
7 communicate the results so that it's not so --
8 you know, not positive and negative, because
9 negative, it puts -- it -- it puts it into a
10 level of my differential that I'm -- it's really
11 going to be hard for me to retrieve it and make
12 it something that I'll consider.

13 So, I -- I just wanted to -- those two
14 different points. Thank you very much for the
15 time and all the things you do.

16 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
17 Other comments?

18 (No audible response)

19 DR. JOSEPH A. BOCCHINI, JR.: Okay. We'll
20 take one more from the floor.

21 (Off-mic speaking)

22 DR. JOSEPH A. BOCCHINI, JR.: You're

1 going to need to come to the microphone.

2 MS. SABRA ANCKNER: My name is Sabra
3 Anckner. I'm a nurse consultant with the state of
4 Alaska, and just along this conversation just
5 wanted to throw in that there are differences in
6 states. So, when you look at things like the
7 Alaskan native population and the CPT-1A arctic
8 variant, if we were using a single cutoff for the
9 C0/C16+C18 ratio, it would not work for other
10 states, the cutoff that we use, because of the
11 incredibly high prevalence that we have.

12 Additionally, we have different cutoffs
13 for 17OHP for Alaska native kids than we do for
14 other kids because of the incredibly high rates
15 of salt-wasting CAH that we see in kiddos who
16 sometimes do seem to express that -- at birth a
17 little bit lower levels than kids of other
18 ethnicities.

19 So, just throwing that out there from our
20 experiences, which is that, for us, in some
21 circumstances -- and I imagine that's true for
22 other places with -- with diverse populations,

1 that that is not always realistic to have a
2 single cutoff, so.

3 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
4 So, are there any questions or comments from the
5 people on the telephone?

6 (No audible response)

7 DR. JOSEPH A. BOCCHINI, JR.: If not,
8 then, Susan, I want to thank you. I -- I want to
9 thank APHL for providing this. I think this -- as
10 Jeff said earlier, this is a -- sort of a map of
11 what's going on in the country right now. It's --
12 it's sort of a scan, and it gives us an -- an --
13 an opportunity to decide where intervention might
14 take place.

15 And to Dieter's comments, I think when --
16 if there are people who responded with things
17 that are felt to be incorrect based on the
18 available evidence, then that's, again, evidence
19 that we need to educate. And -- and so, I think
20 that -- it -- it also fits around providing
21 guidance, providing, within that guidance, what
22 would be termed best practices, and then have

1 them applied, as needed, to individual states.

2 So, I think that -- that -- that the
3 discussion was really an important one, and I --
4 I think it helped frame some of the discussion
5 that needs to be going on, going forward.

6 So, I had put together a couple of slides
7 that kind of reviewed some of the -- the main
8 topics that we've covered in the last couple of
9 months, but I decided I'd skip that, because I
10 think the majority of them came out in this
11 discussion, and I just have the summary slide
12 here.

13 So, what -- what do we think needs to
14 happen moving forward? And -- and I think that
15 after May's meeting, we asked, on a couple of
16 phone calls, for -- for the presenters to discuss
17 with us, the leadership of the committee and --
18 and heads of some of the workgroups, what -- the
19 -- the potential role of the committee and -- and
20 how we might help make things work going forward.

21 And -- and -- and at the end of the May
22 discussion, we sort of divided things into two

1 parts related to this topic. One was the -- the
2 issue about cutoffs themselves and how
3 laboratories do them and how they utilize them
4 and -- and -- and how they change them, and then
5 how they address some of the issues related to
6 having a false negative that, as Susan said, gets
7 -- if it gets reported back to the state, what --
8 what happens.

9 And so, that was one portion of it, and
10 the second portion of it was that it was clear
11 that, in some cases, a screen was being
12 considered to be diagnostic, and so that there
13 seems to be an educational component for
14 providers, and as Natasha said, I think we can
15 add, to the public, as well, about understanding
16 that a screening test is a screening test, and
17 it's -- if it's negative and the patient comes
18 with symptoms, then the -- the -- the diagnosis
19 needs to be considered regardless of what the
20 screening results were.

21 So, we sort of divided things into two
22 parts, and one thought that because the APHL

1 Subcommittee that's developing the guidance
2 document was working through the document and we
3 had people on the call involved with that, that
4 it would be good to have the -- the Laboratory
5 Standards and Procedures Workgroup here where
6 they were and provide feedback. And -- and so,
7 there will be a discussion today at the
8 Laboratory Standards and Procedures Workgroup
9 related to where that guidance document is.

10 There will be some feedback from them,
11 and then, in their report to the full committee,
12 we'll see if there's any issues that the full
13 committee needs to address at this point in time.
14 And our goal would be to help provide our input
15 into that guidance and how it should be utilized,
16 as well as what it might contain. And -- and so,
17 that might help get to the points that were being
18 made by the committee members.

19 The second point -- the -- the second
20 part, the education, we've -- we've tasked the
21 Education and -- and Training Workgroup with
22 addressing issues of provider education related

1 to understanding what a newborn screening result
2 is, including a -- a patient that has a result in
3 the normal range and then develops presentations
4 that is consistent with a disorder that was
5 screened for, for which the screen was negative,
6 and make people understand. And that might be at
7 a practice level or even going back with -- with
8 the representatives of the various AAP, AFIP,
9 family practitioners, et cetera, that we could
10 then maybe even go -- go back to resident
11 education to have better understanding of
12 screening tests, not only for newborn screening
13 but the understanding, as well.

14 And then, I think, based on Natasha's
15 comment -- I think we need to be sure that we
16 have -- we -- we look at any issues that the
17 public needs to understand related to newborn
18 screening if that's not being -- that message is
19 not clear at the present point in time.

20 So, I think that's where we are, going
21 forward, and then it was brought up that there
22 would potentially be some infrastructure needs

1 that states may have, and that was already
2 brought up as to one of the reasons why the CLIR
3 data's not -- data's not being put into CLIR is
4 that there's not enough personnel time to make
5 that happen. And so, there may be some real
6 infrastructure needs that play a role in what
7 states can and cannot do, at the present time, to
8 keep up.

9 So, those were, sort of, the -- the
10 general things that we thought we needed to do
11 going forward, and I wanted to see if there's any
12 additional questions or suggestions that the
13 committee may have to help further that, so that
14 these discussions, when they take place this
15 afternoon, have better impact. So.

16 DR. CATHARINE RILEY: All right. Dr.
17 Bocchini, thank you. This is Catharine Riley,
18 designated federal official. I am -- I just want
19 to remind the committee, as special government
20 employees and federal employees, we -- you are
21 not allowed to endorse any products as
22 individuals or committee members or committee as

1 a whole. So, just in the -- in the discussion,
2 please remember that. Thank you.

3 DR. JOSEPH A. BOCCHINI, JR.: Okay. So,
4 nothing from the committee? Carol Green?

5 DR. CAROL GREEN: This may be just of
6 some use, because I'm not sure how many of the
7 people in the Education and Training Workgroup
8 actually actively educate residents and are
9 engaged in ongoing education of physicians in
10 practice. And I'm -- I'm not sure who it was, but
11 absolutely, there's this mental barrier. You're
12 told something's negative, and so -- But I've
13 been engaged in teaching residents for more than
14 35 years, and we've always been teaching that.

15 And it's true: It doesn't matter if it's
16 the blood spot in galactosemia, or I've had
17 people with kids with no language. "Did you have
18 a hearing test?" "Oh, his hearing screen was
19 normal," and he's 2, and he doesn't talk. And
20 it's true of a TB test, it's true of an X-ray,
21 and it's -- it's a fundamental -- You know, we
22 can try to come up with a word, but it's -- it's

1 a fundamental thinking issue that we have to
2 continue to work on the education.

3 But I don't want anybody thinking there's
4 going to be a magic bullet, because -- I'm -- I'm
5 not the world's expert in educating. I'm just one
6 of the people that's been doing it, and it's a
7 chronic problem, forever.

8 DR. JOSEPH A. BOCCHINI, JR.: No, I -- I
9 certainly agree with that, and I -- I think one
10 of the things that might be important is when
11 newborn screening results come back on an
12 individual patient, that it is -- that there is a
13 clear statement on what the results mean. And --
14 and I think that that would be at least some
15 point-of-care reading by the provider to
16 understand that the -- what that result is.

17 We'll go back to Carol. And I know that
18 that's still an issue. Go ahead, Carol.

19 DR. CAROL GREEN: I -- I'll jump in,
20 probably, before Bob and say the same thing,
21 probably, is, absolutely. And I know a lot of
22 tests include such a statement, and other --

1 other labs can't include such a statement because
2 of the way that the -- it's reported, and it's
3 not -- you know, comes out in the system and the
4 comment about LIMS, but nobody reads them.
5 Doesn't matter.

6 DR. JOSEPH A. BOCCHINI, JR.: Bob?

7 DR. ROBERT OSTRANDER: So, I mean, I was
8 just going to share with everybody that, finally,
9 on June 01, 2015, the cover article on our
10 journal was: Newborn Screening, Basics and
11 Background. There's a baby with blood spots on
12 there, and it divides all the categories. It
13 looks a lot like the front page of the ACT sheet,
14 where it talks about categories, it talks about
15 the history.

16 But what it doesn't, unfortunately, cover
17 is this last little issue about, these are
18 screening tests, diagnostic tests. Positives need
19 to be confirmed; negatives don't excuse you from
20 including things in your differential diagnosis.
21 And I'm actually writing back to the author as
22 I'm listening to this conversation about how we

1 might follow up on that.

2 But I -- I think the fundamental problem
3 with education isn't around newborn screening of
4 people who take care of children. The fundamental
5 problem with education is, this is year 1, basic
6 science, medical school stuff about what a
7 screening test is. There's a science of
8 screening.

9 And I -- and, I mean, this is the tiniest
10 little aspect for most of us in primary care. I
11 mean, we screen for breast cancer. We screen for
12 prostate cancer. And we -- some of us do, and
13 some don't. But -- but we should be teaching that
14 science as year 1 medical school stuff, and
15 pardon me, Dieter, we probably could get rid of a
16 couple of the biochemical cycles and teach about
17 screening.

18 So -- And I don't -- I don't have a fix
19 for that, but to say we need to do this just in
20 the newborn screening world is kind of missing
21 the -- missing the big picture. If we were doing
22 what we should be doing, every physician would

1 understand what screening is.

2 DR. JOSEPH A. BOCCHINI, JR.: All right.
3 Sue, if you'll come to a -- any microphone,
4 whatever's convenient?

5 (Off-mic speaking)

6 DR. SUE BERRY: So, I'm Sue Berry. If --
7 On beyond what people understanding screening or
8 not -- As a general rule, negative results aren't
9 conveyed effectively to families.

10 We've -- I have a project that we're
11 working on in Minnesota, where we're surveying
12 families 2 months after their newborn screening
13 has taken place, and most of them don't know it's
14 happened. So, it's kind of hard to tell them
15 about false negatives and false positives and --
16 if they don't even know the test was done and
17 what it meant.

18 So, an element to twist your minds a
19 little further. We'll -- we'll give you some
20 update on that when we know more.

21 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
22 Jeff?

1 DR. JEFFREY P. BROSCO: Yeah, I -- I
2 really like the suggestion that in our newborn
3 screening report, we figure out a way to tell
4 more about sensitivity and specificity. I mean,
5 we know that newborn screening for hearing has
6 much lower sensitivity than newborn screening for
7 many metabolic disorders.

8 So, I think that would be a helpful thing
9 to move toward, and -- and maybe some of the
10 state lab people can answer how they do that. I
11 know, in Florida, we don't really do that. We
12 just sort of say normal or not.

13 DR. MEI WANG BAKER: Actually, I was
14 going to say a little bit. It just wouldn't allow
15 me online.

16 (Off-mic speaking)

17 DR. MEI WANG BAKER: Mei Baker, committee
18 member. When he said sensitivity specificity, I
19 think come to laboratory performs, I think APHL
20 started that is a emphasize on the positive
21 predictive value. So, that's like, you know, you
22 can practice in a such a fashion, whatever, but

1 we have a common, you know, measurement. I think,
2 actually, this data is what I would want to see,
3 right? So, I think that's part of the thing we
4 possibly need to think about that.

5 DR. JOSEPH A. BOCCHINI, JR.: Carol
6 Green?

7 DR. CAROL GREEN: Sensitivity and
8 specificity is -- or positive predictive value,
9 one or the other, is absolutely the goal, but
10 this is cycling me back to the discussion that we
11 had -- Oh, yeah, I'm with you, Kellie. That's
12 exactly where I'm heading -- the discussion we
13 had with the genetic testing registry, and they
14 insisted on the sensitivity and specificity of
15 every test.

16 It's like, what's the sensitivity and
17 specificity of plasma amino acids? Is the kid
18 sick? Is the kid well? Is the kid fasting? I can
19 get completely normal plasma amino acids on a kid
20 with intermittent MSUD or a kid with MSUD who's
21 in good treatment.

22 So, I can't tell you the sensitivity and

1 specificity of blood amino acids, which was one
2 of the reasons that we had an argument about, you
3 know, you can't require that from the -- the GTR,
4 and I definitely can't tell you the sensitivity
5 and specificity or positive predictive value of a
6 newborn screen for MSUD, because it's not going
7 to pick up the intermittent cases.

8 And even if I can come close on MSUD, I
9 can't tell you about the positive predictive
10 value of a newborn screen tandem mass spec. I can
11 maybe do it disease by disease, and that's going
12 to give you a five-page list on the newborn
13 screen, and all they really want to know is, does
14 this baby have to be called back for more
15 testing?

16 They -- I don't think any pediatrician or
17 family practice doc wants to have the -- I mean,
18 due respect, that's our goal. That's what the
19 cutoffs all are about, is to make the positive
20 predictive value the best you can make it, but I
21 don't think anybody wants it on the report. And
22 if they wanted it, I couldn't do it.

1 DR. BETH TARINI: This is Beth. I have a
2 quick question. I -- I thought that sensitivity
3 and specificity of tests were independent of the
4 population.

5 DR. CAROL GREEN: Probably Alaska or
6 Carla or all sorts of people could do that better
7 than I can, but they are -- they are not
8 independent of the population, because I think
9 the sensitivity and specificity, if I understand
10 it, depends on the disease frequency.

11 DR. BETH TARINI: Isn't that the positive
12 predictive value?

13 (Off-mic speaking)

14 DR. CAROL GREEN: Didn't somebody --
15 Scott. Somebody else.

16 (Laughter)

17 (Off-mic speaking)

18 DR. SCOTT GROSSE: In principle,
19 specificity is independent, whereas the positive
20 predictive value is of the function of the
21 frequency.

22 FEMALE SPEAKER: Right.

1 DR. JOSEPH A. BOCCHINI, JR.: Did you
2 hear that, Beth?

3 DR. BETH TARINI: I did. Thank you.

4 DR. JOSEPH A. BOCCHINI, JR.: Okay.

5 FEMALE SPEAKER: Oh, Mike.

6 DR. JOSEPH A. BOCCHINI, JR.: Oh, Mike,
7 sorry.

8 DR. MICHAEL WATSON: Yeah, only one
9 comment, which is, you -- you -- you know, you
10 have to -- there are trade-offs throughout this.
11 In rare diseases, you need to capture as much
12 data from as far and wide as you can, and many
13 states just won't have that much data. So, having
14 a tool like CLIR that actually captures data from
15 everywhere helps you tremendously in rare
16 diseases. And then, you have to overlay your
17 population differences. It may be the order in
18 which we worry about things that we need to be
19 thinking about with these kind of tools.

20 DR. JOSEPH A. BOCCHINI, JR.: All right,
21 if there --

22 DR. ALAN ZUCKERMAN: Just to be clear

1 about that sensitivity/specificity thing --
2 Sensitivity and specificity are intrinsic
3 properties of the test but are going to depend on
4 the population in which the cases and healthy
5 population are valued. The positive and negative
6 predictive value depends on the prevalence of the
7 disease in the population that's screened.

8 So, normally, while we say sensitivity
9 and specificity are properties of the tests that
10 don't change, the way positive and negative
11 predictive value change with different
12 prevalence, a situation like that in Alaska is a
13 case where sensitivity and specificity may in
14 fact be different, because their normals and
15 their cases of disease are going to be different
16 from a comparable population of normal and
17 diseased individuals in a different state where
18 these were measured.

19 One last comment I wanted to make is that
20 I have a lot of trouble using the term cutoff in
21 connection with R4S and CLIR, because these are
22 inherently multi-variate, multi-hypothesis tools

1 that don't really fit the traditional lab test
2 cutoff model. And this may be another area in
3 which we need to communicate better how they
4 really work and the fact that they're looking at
5 several different parameters of data and not at a
6 single test at the same time.

7 DR. JOSEPH A. BOCCHINI, JR.: Thank you.
8 Okay. There are no --

9 Okay, so we have one more public comment.
10 Ms. Jana Monaco, come forward. Yeah, you can go
11 to big -- big microphone.

12 MS JANA MONACO: Thank you for squeezing
13 me in at the end of the day. I had a little
14 glitch with sitting. But good afternoon, and I
15 just wanted to share a little bit in relation to
16 all the conversations that take place now with
17 conditions, whether they're infantile symptomatic
18 or whether they're late onset.

19 As you know, as a parent of a child who
20 suffers the consequences of a late diagnosis, I
21 know the hardship on every level, but thankfully,
22 our condition is part of the comprehensive

1 newborn screening now. However, as you strive to
2 maintain the integrity of this committee and to
3 work -- and its work, you will continue to be
4 faced with conditions that are vying for their
5 place on the Recommended Screening Panel,
6 conditions that pose the issue of an infantile
7 form or late onset with great uncertainty. You
8 may also question the effectiveness of the
9 treatment. And I wanted just to share a little
10 bit about a family of a friend of mine who has
11 been caught up in this situation.

12 Alex and Zack are two brothers who were
13 born into an air force family and were seemingly
14 normal since birth, except Alex had received
15 several diagnoses over the years, to include:
16 ADHD, autism, and sensory integration, all the
17 classic ones that children are given when
18 exhibiting certain behaviors and symptoms. Both
19 boys -- both boys led normal lives, participating
20 in sports, church activities, and Boy Scouts.

21 After a bad fall at age 9, Alex's
22 diagnostic odyssey ended, and he was diagnosed

1 with adrenoleukodystrophy, or ALD, and the family
2 was told that he had 6 months to live. Zack, his
3 other brother, then age 12, was tested
4 immediately and given the same diagnosis. He was
5 still inactive though.

6 Despite their devastation, the family was
7 proactive and opted for a bone marrow transplant
8 for Alex. It was his only hope for survival. As
9 his mother said, it's very hard when you don't
10 have any approved medications or treatments, but
11 you have to still try everything to keep
12 fighting. And that, they did. Alex went through
13 the transplant process, which wasn't easy, and
14 they knew the risks.

15 Unfortunately, it did not halt the rapid
16 progression of the debilitating disease and the
17 symptoms he had already been exhibiting early on.
18 It also didn't stifle Alex's zest for life and
19 determination that he and his family had to
20 ensure that his life was a full one. They did
21 their part to help raise awareness for ALD, to
22 support research for it, and they wanted ALD on

1 the newborn screening list.

2 Meanwhile, Zack did not receive the
3 transplant because he was not symptomatic.
4 Instead, he received treatment with Lorenzo's
5 oil.

6 Alex lost his battle with ALD last
7 September, at the age of 16. It was a loss that
8 was anticipated but one that no family can truly
9 ever prepare for. Zack not only lost his brother
10 but his best friend. And as this family grieved
11 the loss of Alex and tried to go on with life,
12 the grief and the survivor's guilt was just too
13 much for Zack to bear, and sadly, he took his own
14 life on June 19th of this year, just after
15 turning 20.

16 You can't even imagine the depth of the
17 parents' sorrow. They have been destroyed, they
18 have been left childless, and they grieve for
19 both sons. These parents are dealing with great
20 guilt, ranging from passing on the condition to
21 not getting a diagnosis early on for Alex and
22 Zack, along with wondering whether they provided

1 enough support for Zack. They are living with all
2 the obvious "what ifs".

3 As you proceed with discussions around
4 conditions like ALD that don't quite fit the
5 desired criteria and come with the prospects of a
6 late onset, as you ponder on these decisions, the
7 possible outcomes to include those to the family,
8 please keep this family in mind and remember his
9 mother's words, that it is very hard when you
10 don't have any approved medications or
11 treatments, but you do have to still try
12 everything to keep fighting.

13 So, I thank you for your continued
14 committee and dedication to this committee and
15 the public that it serves. Thank you.

16 DR. JOSEPH A. BOCCHINI, JR.: Jana, thank
17 you very much, and thank you for your continued
18 advocacy for children with rare disorders.
19 Thanks.

20 So, that will conclude this full session.
21 Now we will have a short break, and following the
22 break, we'll have our three workgroup meetings,

1 and listed here on the slide are the rooms for
2 each of the three workgroups. Education and
3 Training will meet in Room 5E45, Laboratory
4 Standards and Procedures Workgroup will meet in
5 5N54, and Follow-Up and Treatment Workgroup will
6 meet in 5N76.

7 Catharine, do you have any guidance as to
8 how to get there?

9 DR. CATHARINE RILEY: Yeah, just to add
10 to that: So, in about 10 minutes or so, there'll
11 be three HRSA staff towards the back of the room
12 with -- hopefully they'll be holding the escort
13 signs. They'll be able to escort folks to the --
14 the three different rooms. You're welcome to, you
15 know, make your way. There are signs outside,
16 with arrows, to help you make your way there, as
17 well. So, the -- the escorts will come at about
18 20 'til, and then they'll come back and do
19 another round at about 5 minutes 'til for those
20 who want to, you know, take a break, grab a
21 snack, or talk with folks here.

22 So, thank you so much, and we look

1 forward to seeing everyone tomorrow morning.

2 DR. JEFFREY P. BROSCO: Can -- Catharine,
3 can I say something very quick?

4 DR. JOSEPH A. BOCCHINI, JR.: So, 9:30
5 tomorrow morning we'll reconvene. Thank you.

6 DR. CATHARINE RILEY: Oh, wait --

7 DR. JEFFREY P. BROSCO: Dr. Bocchini --
8 So, Jeff Brosco. Just quickly, for those who are
9 going to the Follow-Up and Treatment Workgroup --
10 One of our tasks on our agenda is to think about
11 future topics and issues, so please use your 20
12 minutes to think about what you might want to
13 bring up. Thank you.

14 (Whereupon, the above-entitled matter was
15 concluded.)