

1 The Advisory Committee on Heritable Disorders in Newborns and

2 Children

3 Day Two

4 HRSA Meeting

5

6

7

8 Rockville, MD

9

10

11

12

13 November 9, 2017

14

15 9:30 a.m. - 2:30 p.m.

16

17

18

19

20

21

22

1 A P P E A R A N C E S

2 COMMITTEE MEMBERS:

3 JOSEPH BOCCHINI, M.D., Committee Chair,

4 Department of Pediatrics, Louisiana State

5 University

6 MEI WANG BAKER, M.D., Professor of Pediatrics,

7 University of Wisconsin School of Medicine and

8 Public Health, Co-Director, Newborn Screening

9 Laboratory, Wisconsin State Laboratory of

10 Hygiene

11 JEFFREY P. BROSCO, M.D., Ph.D., Professor of

12 Clinical Pediatrics, University of Miami School

13 of Medicine, Department of Pediatrics

14 KELLIE KELM, Ph.D., Food and Drug Administration,

15 Ex-Officio Committee Member

16 DIETRICH MATERN, M.D., Ph.D., Professor of

17 Laboratory Medicine, Medical Genetics and

18 Pediatrics, Mayo Clinic

19 KAMILA MISTRY, Ph.D., M.P.H., Ex-officio Member, Agency for

20 Healthcare Research and Quality, Office of Extramural Research,

21 Education and Priority,

22 MELISSA PARISI, M.D., Ph.D. Ex-Officio Committee Member,

23 National Institutes of Health, Eunice Kennedy Shriver National

24 Institute of Child Health and Human Development

25 ANNAMARIE SAARINEN, Co-Founder, CEO, Newborn

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Foundation

2 CATHY WICKLUND, M.S., C.G.C., Northwestern

3 University, Feinberg School of Medicine, Center

4 for Genetic Medicine

5 SUSAN A. BERRY, M.D., Professor and Director,

6 Division of Genetics and Metabolism, Department of

7 Pediatrics and Genetics, Cell Biology & Development,

8 University of Minnesota

9 CYNTHIA M. POWELL, M.D., Professor of Pediatrics

10 and Genetics, Director, Medical Genetics Residency Program,

11 Pediatric Genetics and Metabolism, The University of North

12 Carolina at Chapel Hill

13 SCOTT M. SHONE, PH.D., Senior Research Public

14 Health Analyst, RTI International

15 BETH TARINI, M.D., MS, FAAP, Associate Professor

16 and Division Director, General Pediatrics & Adolescent

17 Medicine, University of Iowa Hospitals & Clinics

18 CARLA CUTHBERT, PH.D., Ex-Officio Member, Centers

19 for Disease Control and Prevention, National Center for

20 Environmental Health

21 LAURA KAVANAGH, MPP, Ex-Officio Member, Health Resources and

22 Services Administration, Maternal and Child Health Bureau

23 CATHARINE RILEY, PH.D., MPH, Designated Federal Official,

24 Health Resources and Services Administration, Maternal and

25 Child Health Bureau

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Debi Sarkar, M.P.H., (for Laura Kavanagh)
2 Scott Grosso, PH.D. (for Dr. Carla Cuthbert)
3 Joan Scott, M.S. C.G.C. (for Ms. Laura Kavanagh)
4 ORGANIZATIONAL REPRESENTATIVES:
5 ROBERT OSTRANDER, M.D., American Academy of
6 Family Physicians
7 MICHAEL WATSON, Ph.D., F.A.C.M.G., American
8 College of Medical Genetics and Genomics
9 BRITTON RINK, M.D., MS, Mount Carmel Health
10 Systems
11 KATE TULLIS, Ph.D., Association of Maternal &
12 Child Health Programs
13 SUSAN TANKSLEY, Ph.D., Association of Public
14 Health Laboratories
15 CHRISTOPHER KUS, M.D., M.P.H., Association of
16 State and Territorial Health Officials
17 SIOBHAN DOLAN, M.D., M.P.H., March of Dimes
18 CATE WALSH VOCKLEY, M.S., C.G.C.S., National
19 Society of Genetic Counselors
20 CAROL GREENE, M.D., Society for Inherited
21 Metabolic Disorders
22 ADAM B. KANIS, M.D., Ph.D., US Army Consultant to
23 Surgeon General for Clinical Genetics Department of
24 Pediatrics, MCHK-PE Tripler Army Medical Center
25 NATASHA F. BONHOMME, Genetic Alliance

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Jackie Seisman, M.P.H., (for Natasha Bonhomme)

2

3

4

5

C O N T E N T S

6

PAGE

7

WELCOME

6

8

ROLL CALL

6

9

EDUCATION AND TRAINING WORKGROUP UPDATE

9

10

Q&A AND COMMITTEE DISCUSSION

36

11

FOLLOW-UP AND TREATMENT WORKGROUP UPDATE

50

12

Q&A AND COMMITTEE DISCUSSION

56

13

LABORATORY STANDARDS AND PROCEDURES WORKGROUP

72

14

UPDATE

15

Q&A AND COMMITTEE DISCUSSION

81

16

PRIORITIZING 2018 WORKGROUP ACTIVITIES

83

17

CLINICAL AND PUBLIC HEALTH IMPACT OF SCID

91

18

SCREENING

19

NEW BUSINESS

150

20

Adjourn

157

17

18

19

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1

2

P R O C E E D I N G S

3

4

5

6

7

8

9

10

DR. JOSEPH BOCCHINI: All right. Good morning, everyone. I want to welcome you to day two of the November meeting of the Advisory Committee on Heritable Disorders in Newborns and Children. And, I think we had a really excellent meeting yesterday with a number of really good presentations and good conversation and information coming from the Committee. So, I want to thank everybody for their participation.

11

12

I want to just also recognize that today is National Genetic Counselor Awareness Day.

13

[Applause.]

14

15

16

I certainly recognize the critical role that the genetic counselors play in the health care of the -- of this nation. So, again, thank you for the work that you do.

17

18

So, first on the agenda is roll call. So, we'll start with Kamila Mistry?

19

DR. KAMILA MISTRY: Here.

20

DR. JOSEPH BOCCHINI: Mei Baker?

21

DR. MEI WANG BAKER: Here.

22

DR. JOSEPH BOCCHINI: Susan Berry?

23

DR. SUSAN BERRY: Here.

24

DR. JOSEPH BOCCHINI: I'm here. Jeff Brosco?

25

DR. JEFFREY BROSCO: Here.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 DR. JOSEPH BOCCHINI: Scott Gross will be covering
2 for the CDC today.

3 MR. SCOTT GROSS: Here.

4 DR. JOSEPH BOCCHINI: All right. Kellie Kelm?

5 DR. KELLIE KELM: Here.

6 DR. JOSEPH BOCCHINI: And, then today Joan Scott
7 will be covering for HRSA.

8 MS. JOAN SCOTT: Here.

9 DR. JOSEPH BOCCHINI: Dieter Matern?

10 DR. DIETRICH MATERN: Here.

11 DR. JOSEPH BOCCHINI: Cynthia Powell?

12 DR. CYNTHIA POWELL: Here.

13 DR. JOSEPH BOCCHINI: Melissa Parisi?

14 DR. MELISSA PARISI: Here.

15 DR. JOSEPH BOCCHINI: Annamarie's on her way. Scott
16 Shone?

17 DR. SCOTT SHONE: Here.

18 DR. JOSEPH BOCCHINI: Beth Tarini?

19 DR. BETH TARINI: Here.

20 DR. JOSEPH BOCCHINI: And, Catharine Riley?

21 DR. CATHARINE RILEY: Here.

22 DR. JOSEPH BOCCHINI: And, then for the
23 Organizational Representatives. Bob Ostrander?

24 DR. ROBERT OSTRANDER: Here.

25 DR. JOSEPH BOCCHINI: Michael Watson?

1 DR. MICHAEL WATSON: Here.

2 DR. JOSEPH BOCCHINI: By phone, Britton Rink?

3 DR. BRITTON RINK: Here.

4 DR. JOSEPH BOCCHINI: And, by phone today, Kate
5 Tullis?

6 DR. KATE TULLIS: Here.

7 DR. JOSEPH BOCCHINI: Susan Tanksley?

8 DR. SUSAN TANKSLEY: Here.

9 DR. JOSEPH BOCCHINI: By phone, Chris Kus?

10 DR. CHRISTOPHER KUS: Here.

11 DR. JOSEPH BOCCHINI: Adam Kanis?

12 DR. ADAM KANIS: Here.

13 DR. JOSEPH BOCCHINI: Natasha Bonhomme?

14 MS. NATASHA BONHOMME: Here.

15 DR. JOSEPH BOCCHINI: Siobhan Dolan?

16 DR. SIOBHAN DOLAN: Here.

17 DR. JOSEPH BOCCHINI: Cate Walsh Vockley?

18 DR. CATE WALSH VOCKLEY: Here.

19 DR. JOSEPH BOCCHINI: Carol Greene?

20 DR. CAROL GREENE: Here.

21 DR. JOSEPH BOCCHINI: All right. Thank you. So,
22 the workgroups met yesterday afternoon, and today we're going
23 to hear presentations by the workgroups. As you know, they
24 were tasked for giving us a timeline for the projects that they
25 have underway or are in the process of completing and begin

1 discussion on development of new potential topics and subjects
2 to bring forward to the Committee so that ultimately they can
3 get feedback from the Committee, and we would then prioritize
4 those projects that the Committee accepted as being important
5 for the workgroup to go forward with.

6 So, I think that we will hear the presentations,
7 discuss those issues, and then make some decisions about what
8 the next steps would be.

9 So, first on the agenda is update from The Education
10 and Training Workgroup. Following the workgroup presentations
11 and seeing how far we can go with prioritizing activities,
12 we're going to hear a panel on the Clinical and Public Health
13 Impact of SCID Screening in the United States.

14 All right. Next slide. Okay. So, first on the
15 agenda then is the update from the Education and Training
16 Workgroup, and Beth Tarini will provide that update as Co-Chair
17 to the Committee Workgroup.

18 DR. BETH TARINI: Okay, thank you. So, my chair,
19 Catherine Wicklund, is not here, so I will present an update
20 from our workgroup along with Amy Gaviglio, who has been
21 leading one of our projects.

22 So, the first project I'm going to discuss is the
23 Newborn Screening Education Planning Guide, and this project --
24 I believe you have been emailed an electronic file for it -- is
25 an Excel document. The reason you've been emailed that file is

1 because it's somewhat difficult for many to conceptualize this
2 planning guide and may be not be familiar with this, which is a
3 tool used in educational curriculum design.

4 So, the need, purpose, and scope of this project.
5 The need is that -- and, let me just pause actually to say the
6 two individuals who have been spearheading this effort who
7 deserve the vast majority of the credit are Cate Walsh Vockley
8 and Jeremy Penn, who have been very significantly involved and
9 sort of deserve all of the credit. But, I'll take the blame.

10 So, the need is that newborn screening stakeholders
11 need access to appropriate accurate and informative educational
12 resources that meet their range of educational needs. The
13 purpose then was to address this need by creating a Newborn
14 Screening Educational Planning Guide, and the goal is that that
15 guide would be used by Newborn Screening Programs and other
16 stakeholders to develop and improve the Newborn Screening
17 Educational Resources.

18 And, the scope of this guide was this -- this guide
19 would be used by individuals looking to create educational
20 resources for a whole host of relevant stakeholders. They
21 would take this guide and say, I'd like to create an
22 educational product for midwives. What should we put in it?
23 This guide would serve as a starting point for what we believe
24 are important but not completely exhaustive lists of content
25 areas that should be considered to be included in that guide.

1 The groups are always, of course, welcome to tailor to their
2 individual needs.

3 So, the model used was based on the Design of
4 Educational Resources theory, and that's based on the work of
5 R.W. Taylor. The goal, again, is that this tool would be used
6 by a wide range of newborn screening stakeholders. The review
7 process involves the E&T Workgroup and all of its diverse
8 members, creating a list of relevant stakeholders, as well as a
9 baseline range of content areas that may be relative to those
10 stakeholders. And, then going through and having
11 representative -- well, a group -- or I should say
12 representatives from those stakeholder groups that -- if you
13 will -- what we have decided are relevant content areas for
14 them and giving us feedback. I believe -- do they have the
15 list of the stakeholders? Well, it's in the Excel guide. I
16 think there's like 20. Isn't there like 20 groups -- something
17 like that? More? Now, there's more. But, there's always
18 more. That's why this has to end so there's never more.

19 [Laughter.]

20 Or move to the next phase, as we like to say.

21 I want to also be clear that the uniqueness of this
22 project is that we are trying to look at newborn screening
23 through the lens of the stakeholders rather than us dictating
24 what they should say. It is that the -- we try to take the
25 view of the stakeholders themselves and do that to the best of

1 our ability.

2 Okay. And, so the potential next steps. So, this
3 tool -- once finalized -- once finalized the posted on the HRSA
4 website -- which is the working theory of where it would live -
5 - would then be disseminated to the following.

6 Newborn Screening Program Listserv -- I don't know
7 if it has an e -- I struggled with that this morning and Google
8 was no help.

9 Professional organizations -- I've listed a few
10 here, which is, I believe, the strength of our Committee -- our
11 diverse representation of multiple stakeholder groups and our
12 connections to them.

13 National Conferences, such as the APHL Newborn
14 Screening Symposium webinars and an academic publication, which
15 the goal, I think, of which is not -- is dissemination as well
16 as helping others who might be interested in such a process see
17 how this was done and then perhaps related to newborn screening
18 or related to genetics -- taking this process and then applying
19 it elsewhere.

20 So, for the Committee members, we would like you to
21 review the document, provide comments back to our workgroup on
22 thoughts about its comprehensiveness, spelling, other issues
23 you might have, and the anticipated Committee approval of the
24 documents would be in the February 2018 or May meeting.

25 Steps that remain for us are that we need to

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 continue to complete the vetting of the stakeholders. I would
2 say we have about a third of them done. We then have a process
3 for if the stakeholders disagree, how we resolve the
4 disagreement by consensus of that stakeholder group. And,
5 we've added a few more this past meeting. Once that is done,
6 we would bring it back to the Committee for another final
7 review, having feedback in the interim from you as well.

8 Do we want to open this to questions now or
9 comments? We have another product. Do you want to go to both?

10 DR. JOSEPH BOCCHINI: I think if anybody has any
11 questions specifically related to this project, it would be
12 reasonable to raise them now. Jeff?

13 DR. JEFFREY BROSCO: So, the Taylor reference you
14 had for educational methods was 1949.

15 DR. BETH TARINI: Yes, it was a good year.

16 [Laughter.]

17 DR. JEFFREY BROSCO: It was, but, of course, there
18 have been a lot of advances in how we teach --

19 DR. BETH TARINI: Correct.

20 DR. JEFFREY BROSCO: -- and what the evidence
21 suggests is best. So, I wonder how does this guide fit into
22 some of the newer ideas about active learning and how that
23 promotes understanding, skills, and so on.

24 DR. BETH TARINI: Correct. I should have better
25 explained the limitations of this project and what is beyond

1 the scope of it in its current form in the workgroup. How one
2 creates educational guides, products, modes of teaching is
3 beyond the scope of this project for this group at this time.

4 We can, of course, return to that. At this time,
5 this is simply to map out the content areas and to create a
6 systematic way to say, what is it in newborn screening that is
7 important for education, what are those topics, what are those
8 areas, and what specific stakeholders are there, and where does
9 the match and tailoring occur? That is what the design of the
10 curriculum piece is.

11 What you are talking about --which is incredibly
12 important -- is -- I believe -- how we convey and teach
13 information and educate others. It's the act of doing so.
14 That is not within the scope of this project, but we have
15 discussed it in terms of other members of the working group
16 addressing that issue and/or the working group itself creating
17 or working with other stakeholders such as Baby's First Test
18 and creating best practices based on more current than 1949
19 knowledge.

20 So, that's the distinction. This is -- I would call
21 this an encyclopedic type reference -- and, I just made that
22 up, so I don't know if that represents what the workgroup would
23 say -- rather than a how to build and how to educate guide.
24 Does that answer your question? I don't feel like it does
25 based on your -- my nonverbal cue.

1 DR. JEFFREY BROSCO: It's just I'm trying to picture
2 what this would look like. So, I'm going to --

3 DR. BETH TARINI: That's why you have the Excel
4 spreadsheet because it is so difficult to picture.

5 DR. JEFFREY BROSCO: And, I guess my question -- you
6 have thought about this I'm sure already -- is there enough
7 commonality among all those different learners that trying to
8 set up content makes sense? I mean -- if you're trying -- what
9 a genetic counselor needs to know is probably very different
10 from what a nurse midwife needs to know --

11 DR. BETH TARINI: Correct.

12 DR. JEFFREY BROSCO: So, is there enough
13 universality in the content that it's worth making it universal
14 as opposed to, here's what we need to know and they need to
15 know?

16 DR. BETH TARINI: I will tell you from a recent
17 personal anecdote -- although, as researcher, I try to stay
18 away from them generally -- in my interactions with the vetting
19 stakeholders, they are very particular about what they feel
20 they need at the point in time of their interactions in the
21 newborn screening process and what is relevant to others.

22 So, there seems to be when you look at the Ys and
23 the Ns across that say yes/no in the sort of -- you take the
24 row of the stakeholder and then you see the content across --
25 there's Ys and Ns if yes applies, no does not. You see a very

1 varied pattern. So, you see commonalities, and you see
2 diversity. That's what we've seen.

3 DR. ROBERT OSTRANDER: Bob Ostrander with the
4 American Academy of Family Physicians. First, a request --
5 where you have pediatricians and providers, would you mind
6 throwing family physicians in there, because we really hate
7 being called providers.

8 DR. BETH TARINI: You and Cathy DeAngeles share that
9 feeling.

10 DR. ROBERT OSTRANDER: Yeah. I mean -- physician
11 has become my go-to word because everybody else -- you know --
12 we've now got --

13 DR. BETH TARINI: What if I said clinicians?

14 DR. ROBERT OSTRANDER: That's fine. I guess -- I
15 guess I'm putting my Academy hat on as much as anything, and I
16 know if I acquiesce to something that specifies pediatricians,
17 it kind of --

18 DR. BETH TARINI: That's fair. I agree.

19 DR. ROBERT OSTRANDER: Because if I'm ask by
20 specialty, my bosses are going to be made at me.

21 DR. BETH TARINI: Fair.

22 DR. ROBERT OSTRANDER: My second question is -- and,
23 maybe you went over this and I missed it -- but, how -- who
24 decided where there was a Y and where there was an N? I mean -
25 - did you -- I mean -- it looks like a lot of stakeholders

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 they've actually talked to and found out what they thought
2 their needs were.

3 DR. BETH TARINI: So, first the Committee sent one
4 of their representatives from -- I would say probably in that
5 room -- greater than 50% of the stakeholders are represented.
6 So, the Committee went through -- the workgroup -- I'm sorry --
7 went through and did its preliminary assessment, and then each
8 stakeholder group -- we've called stakeholders -- and they have
9 now gone through -- they are going through -- so, it's in
10 process -- and they are vetting the Ys and the Ns. Does that
11 answer your question? Okay.

12 DR. ROBERT OSTRANDER: Okay. Thank you.

13 DR. BETH TARINI: Okay. Amy's going to present the
14 next project, and then I'll come back.

15 MS. AMY GAVIGLIO: Okay, so the second project we're
16 going to be discussing is the Abnormal Newborn Screening Result
17 Communication Guide. So, just as some background -- especially
18 for the new Committee members -- the need for this really arose
19 from work done by Natasha Bonhomme and Dr. Carol Greene that
20 used focus groups of parents who had received abnormal results
21 and really talking about what that initial notification process
22 was like as well as, of course, I think all of us within
23 Newborn Screening Programs have heard anecdotal evidence --
24 sorry, Beth, that we're going to use anecdotal evidence -- from
25 families on what that initial notification was like for them.

1 goal for us to reach because as we started writing through
2 this, we wanted to add as many examples as possible and make it
3 as comprehensive as possible but really to get down to a one-
4 page document, so balancing all of the guidance we wanted to
5 give with ensuring that it was actually a practical document.

6 The review process thus far has been certainly
7 Baby's First Test staff. Natasha and Amelia have been
8 fantastic on providing guidance with this, especially coming
9 out of their initial research, which led to this project. We
10 have had primary care providers look at it, genetic counselors.
11 I believe, Dr. Brosco, at the last meeting -- you had mentioned
12 the need to have a communication expert look at this. Cathy
13 Wicklund did pull someone from Northwestern, and she has looked
14 at it, and her feedback has been incorporated as well as at
15 this point, all of the Education and Training Workgroup
16 members.

17 So, next steps, now that we have created the
18 document and feel pretty good about the content -- what are we
19 going to actually do with it. Our potential dissemination
20 strategy is to provide the content to states for inclusion
21 potentially with their abnormal result notification package.
22 So, typically when there is an abnormal result, the report as
23 well as some fact sheets get sent to the primary care provider.
24 This is something that could be ancillary included with that.

25 You will notice that the guide you got is just a

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 word document -- black and white. That is so we can just focus
2 on the content at this point. We, of course, will take the
3 time to prettify it -- is that a word -- to make it look more
4 user friendly.

5 A second potential dissemination strategy -- and,
6 we've talked with ACMG about this -- is to provide the content
7 for inclusion as part of the Act Sheet process. So, this would
8 kind of be considered a pre-Act Sheet. Certainly providing
9 content on newborn screening education sites like Baby's First
10 Test. We talked about potentially StarG,
11 newbornscreeningeducation.org, I believe.

12 And, then the other thing to consider -- you know --
13 in terms of really getting this into the hands of the people we
14 want using it is developing a potential AAP Maintenance of
15 Certification course module and/or obtaining endorsement by the
16 AAP.

17 For Committee members, the next steps are very
18 similar to what -- what Beth requested of the previous project.
19 We would ask you to review the document in the interim between
20 the meetings and provide comments back to us -- again, really
21 focusing on content, not so much on formatting. And, we are
22 hoping for Committee approval of the document content at the
23 February meeting.

24 And, I think with that, I will turn it back over to
25 Beth -- who looks confused -- to come back.

1 DR. JOSEPH BOCCHINI: Okay, thank you.

2 MS. AMY GAVIGLIO: Oh, you have questions? Yeah.

3 DR. JOSEPH BOCCHINI: We'll just take a few
4 questions. Let's see if there are any questions or comments.
5 I have Jeff and then Scott. Joan? Okay.

6 MS. JOAN SCOTT: Just a real quick question because
7 I see you're using the term a positive newborn screen, and I
8 know there's been a lot of language -- you know -- discussion
9 back and forth about positive and negative, and the value as
10 opposed to saying something like out of range, which doesn't
11 mean is it normal or abnormal. So, I see smiles -- you've
12 discussed this?

13 MS. AMY GAVIGLIO: We did. Yeah. We originally had
14 out of range in there, and then we learned that some states use
15 out of range to mean something different than what we would
16 think of out of range. It's not analogous to a positive or
17 abnormal screen. So, our thought process on this is that --
18 you know -- we'll probably put it in brackets, and it would be
19 -- you know -- choose your own adventure based on how you --
20 how you provide that information. You know -- if you're using
21 the word positive on your reports, then you should use the word
22 positive here. If you're using abnormal, use abnormal out of
23 range. So, that would be something that would be customizable
24 to the state.

25 DR. BETH TARINI: And, to add to that, this document

1 does not have to be used -- right? It does not have to be used
2 as it is for the programs, for instance. If you put that
3 bracket, that segues into the discussions we've had that you
4 can take this and say, oh, we use this word, and we would like
5 to have that word in it, and so they can customize it as they
6 wish. Sorry, Beth Tarini.

7 DR. JOSEPH BOCCHINI: We've got Jeff and then Scott.

8 DR. JEFFREY BROSCO: Did you -- I didn't see in your
9 list -- did you have a chance to sort of try this out with like
10 an informal focus group and sit with a couple of residents or
11 clinicians and say, is this helpful?

12 MS. AMY GAVIGLIO: No, not yet. But, we certainly
13 have talked about actually evaluating it in that way to see if
14 they found it helpful -- if they felt like the conversation --
15 they were more comfortable having the conversation.

16 DR. JEFFREY BROSCO: Just informally might be nice.
17 And, one of the things I found in doing this, they often --
18 students -- they sometimes like it when you even suggest
19 language like exact words, like "This is what you might say to
20 a family." And, that level of specificity gets beyond the
21 abstract -- you know -- share emotions with family to, "How are
22 you feeling now?"

23 MS. AMY GAVIGLIO: So, you're saying provide actual
24 examples within?

25 DR. JEFFREY BROSCO: You might get that feedback.

1 MS. AMY GAVIGLIO: Okay, thank you.

2 DR. BETH TARINI: I -- this raises an important
3 question that I have for the group. The Education and Training
4 Committee struggles with a number of challenges. One, it's
5 scope is vast. Its leverage in the vast scope of education is
6 small, and its resources are vanishingly smaller. Therefore,
7 I'm seeking committee guidance on how much -- at some point
8 there's a level of validity testing, and at some point -- like
9 it seems to work -- it works in the real world -- and, that
10 we've done a due diligence of upwards of an R03 or manuscript
11 publication.

12 And, without resources and with limited time of the
13 members, it is difficult for me to understand where Cathy and I
14 need to draw the line on this because we don't have the
15 resources or the bandwidth to do extensive testing. Although,
16 I agree that simply throwing something out that's not been to
17 some degree tested, if you will. The question I have is where
18 is the line between enough and none. So, any feedback from the
19 Committee or guidance would be welcome.

20 DR. KAMILA MISTRY: Sure. I think it's a simple
21 answer -- but I think it depends on how it's being applied, and
22 in terms of -- you know -- balancing are there any harms, are
23 there any -- you know -- trying to think about it more broadly,
24 and also in terms of -- you know -- including it in MOC and
25 things like that. I mean -- what's their bar in terms of the

1 evidence or -- you know -- it being cognitive -- you know --
2 tested in terms of cognitive testing or -- you know --
3 evaluated in some way. I don't know.

4 MS. AMY GAVIGLIO: No, I think that's a good place
5 to start.

6 DR. KAMILA MISTRY: There needs to be some
7 transparency around what happened -- how is it evaluated, how
8 is it -- and this is Kamila -- and -- you know -- I think
9 that's also very, very important just so that's clear.

10 MS. AMY GAVIGLIO: Correct.

11 DR. BETH TARINI: I think -- this is Beth Tarini --
12 I think -- I think perhaps a starting point then might be to
13 say, what are the potentialities that we need to at least look
14 at. I mean -- I would say to push back -- we mandate disorders
15 that must be done and have minimal review based on minimal
16 evidence of the harms. So, to have that grade for an
17 educational handout seems out of scope or out of balance. But,
18 I think we could certainly have the discussion about what are
19 the pros and cons and the harms and the benefits.

20 DR. KAMILA MISTRY: One quick followup, which is
21 just that -- you know -- in terms of cognitive testing, that
22 might be something simple, but I think it's important to make
23 sure from a validity perspective that what you have here is
24 being interpreted in that way. It's something simple, but I
25 think it offers some evidence at kind of a low -- you know -- I

1 don't think it's a big cost to do that, but I think across
2 different stakeholders and things like that -- I think offering
3 that up and having that sort of in your pocket will be an
4 important step.

5 DR. BETH TARINI: Which workgroup are you on?

6 [Laughter.]

7 DR. JOSEPH BOCCHINI: All right. We've got Scott,
8 Mei, and Sue.

9 DR. SCOTT SHONE: So, Scott Shone. So, I appreciate
10 you talking about in terms of next steps potential
11 dissemination strategies, but my comment, I think, circles
12 around what was just being discussed, which I don't think the
13 goal should be dissemination but actually use. And, because
14 there is a clear -- and Beth just said it -- there's a lot of
15 time and effort that's being poured into this -- these ideas.
16 But, if they're simply just disseminated to whether it's -- you
17 just talked about, Amy, in terms of pediatricians or family
18 physicians -- so that Bob doesn't have to raise his hand and
19 add on to my comment -- clinicians or in terms of the
20 spreadsheet that I just went through -- you know -- genetic
21 counselors and things like that. And, so I'm wondering if
22 there's an opportunity here to have commitments from the groups
23 who are not only contributing to these documents in terms of
24 content but contributing to figuring out ways to have their
25 members of their organizations use them -- genetic counselors,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 pediatricians, family physicians. Because, otherwise, there's
2 a lot of time going into this, and it's just another document
3 that a workgroup of this Committee has created, and I know that
4 that's the ultimate goal, but I think that with limited
5 resources that Beth just identified, perhaps getting that
6 initial agreement to help use the documents would help guide
7 where the scope because if people aren't going to agree to
8 support its use, then that might tell you how much effort to
9 put into creating it.

10 DR. BETH TARINI: This is Beth Tarini. So, if I'm
11 understanding you correctly, simply sending it to the AAP and
12 saying, here you go, versus having them endorse it -- is that
13 the type of --

14 DR. SCOTT SHONE: I don't think it's endorsement.
15 But, I think that them actually saying to their members, here
16 is something that is coming from this Committee that we've
17 contributed to -- you know -- there's a different -- I think
18 there's a weight to endorsement. But, there's also a support
19 for its use -- you know -- that could still hold water in terms
20 of -- you know -- putting meaning to your substantial efforts.

21 And, I think that goes -- I actually think that goes
22 across the board. We talked a little about timeliness
23 yesterday, and I'm not going to -- I'll have comments when
24 Kellie talks about a similar thought is that there's a lot of
25 groups here that are throughout the system, and it's not just

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 the Education Workgroup or the Lab Workgroup who are
2 shouldering the burdens -- but everybody who's sitting at the
3 table.

4 DR. JOSEPH BOCCHINI: Mei?

5 DR. MEI WANG BAKER: From the discussion, I think
6 Susan is saying that I cannot understand better because my
7 original question -- one question is this document is for
8 general education purpose or because you mentioned some in the
9 package you send a report so this is what I want to make a
10 couple of comments. I think the most important fact -- I use
11 primary care providers because I need to think about midwives
12 too.

13 So, I would think the most important message is the
14 risk assessment if newborn screening is the key. So, I think
15 like all the residents and medical students through my
16 laboratory, if they are left with this concept, I think it's a
17 success. The reasoning is with this in your mind, you
18 communicate with families in a different way. We only say
19 newborn screening because we're trying to set a threshold
20 that's more conservative and that if you are in this group, you
21 have a high risk. In other groups, you have less in
22 comparison. So, I think because I often hear it said that
23 newborn screening is right, newborn screening is wrong. It's
24 not. So, I think it's important the elements I would like to
25 see this happen. And, another thing is when you talk about

1 communication, I think, don't forget we have our specialties in
2 that. So, for our program, you have a consultant. Actually,
3 you need to communicate with them how much what you need to say
4 to a primary care physician, because they will immediately come
5 in and you don't want the message to get so confused. So, I
6 think that's the part I want to mention that.

7 And, the third part is in terms of [inaudible] with
8 the report. My understanding, actually, I think most of the
9 program doing such and such is abnormal -- I use abnormal --
10 abnormal normal newborn screening -- actually you call the
11 physician first. They don't see the resident there because
12 they're never different. And, I was impressed by one
13 clinician. I would call her on the Pompe result. She asked
14 me, what is your positive predictive value?

15 You know -- all these things -- I was very
16 impressed, actually for these questions. I think these are
17 things that we need to kind of take into consideration.

18 MS. AMY GAVIGLIO: No, I think that's -- all three
19 were really great points. And, I'll start with your second
20 one, I think, in terms of specialty care. I think certainly if
21 that's -- you know -- we know each state has different
22 communication models when it comes to out of
23 range/abnormal/positive results. So, if that's a model that
24 you use, I think that certainly could be something provided to
25 your subspecialist as well as your primary care providers.

1 In terms of risk assessment and things like positive
2 predictive value, I know that those are very important things
3 and things that we need to get across to families, but not -- I
4 would say -- I know the idea of genetic and health literacy
5 came up and risk assessment is not always very well understood.
6 So, I think providing numbers doesn't always work, and
7 sometimes you have to provide more qualitative information.

8 So, one thing we talk about -- you'll notice in the
9 first bullet -- where it says the newborn screening result is
10 serious, but that you will discuss the next steps together.
11 And, we had -- you know -- the conversation of well, maybe not
12 all newborn screening results are serious -- the positive ones
13 -- if we think it is likely a false positive. But, for the
14 family, it's serious, and it's a balance of not saying -- you
15 know -- that you have low risk to a point where that family
16 doesn't want to follow up versus scaring them too much. And,
17 so trying to find that balance and communicating the risk was
18 something we talked quite a bit about with this project.

19 DR. MEI BAKER: I just want to quickly say -- this
20 is Mei Baker -- I didn't.

21 DR. JOSEPH BOCCHINI: Okay. Sue?

22 DR. SUSAN BERRY: Sue Berry. See, I remembered.
23 So, a couple things. I think this is a great step towards
24 avoiding the doctor that calls the family or the provider
25 calling families saying, don't worry about this, this is

1 probably nothing. Because we kind of don't want to tell people
2 that, and it's a very common response. Two practical
3 suggestions. Why don't we use some of the tools we have
4 available to us like the network of -- I don't know what they
5 call them now -- they used to call them the collaboratives, but
6 now the genetics networks that are HRSA funded -- our own
7 Midwest Genetics Network has a Provider Education Workgroup.
8 If you want a vetting process, it would be really easy to
9 invoke that -- ask that group of providers to give you some
10 feedback. So, that could be a quick and easy, and, I think,
11 very useful. So, I'm going to make that offer.

12 MS. AMY GAVIGLIO: Thank you. That's a great idea.

13 DR. SUSAN BERRY: Because we can do that.

14 MS. AMY GAVIGLIO: Perfect. Thank you.

15 DR. SUSAN BERRY: I already got my okay from Cindy.
16 She said it was all right. And, the other thing I would say
17 about working with organizations -- for example -- the American
18 Academy of Pediatrics has a Council on Genetics. We can
19 certainly turn to them. I know that we can speak to them
20 because I have to go to that meeting in a couple weeks, and if
21 there are things that we need organizations to do, you turn to
22 -- I think -- the appropriate -- at least in some places there
23 are subspecialty groups that can help support the activities
24 that you want to do.

25 So, it's not the job of this -- of your workgroup to

1 take that on. I think it's more the job to pass it on and have
2 other people support you.

3 DR. BETH TARINI: No, I totally -- I completely
4 agree -- this is Beth Tarini -- I completely agree. I did not
5 mean to say that each one in the workgroup needs to vet it, but
6 organizing and implementing and reviewing the vetting is no
7 small task. So, that -- and, as a member of the COG -- the
8 Committee on -- former member of the Committee on Genetics -- I
9 think they would be an excellent place.

10 Some organizations tend so to be a little touchy
11 about what they -- the AAP at times is -- has its hesitancy
12 about what it will endorse. I don't think endorsement in and
13 of itself is a goal. It's what endorsement may get you, to
14 Scott's point, as the ultimate goal or the outcome, which is
15 usability or uptake in usability among the members.

16 DR. SUSAN BERRY: Yeah, in that context, I wasn't
17 offering endorsement because that's not mine to give. But,
18 it's another group of great professionals that could provide
19 feedback.

20 DR. JOSEPH BOCCHINI: I've got Scott. So, I've been
21 reminded we have two Scotts. So, I have Scott G and I've got
22 Carol.

23 DR. SCOTT GROSSE: This is the Scott corner today.
24 I think usability testing is very important for any open facing
25 material such as this. And, so, I was going to ask the

1 question, which was partially answered, is whether within the
2 HRSA-funded network of collaborators or system resources for
3 usability testing. There may be others.

4 DR. JOSEPH BOCCHINI: It certainly is a possibility.
5 Carol?

6 DR. CAROL GREENE: So, first I want to say thank
7 you. This is fabulous. It's all on one page, and it for the
8 most part -- you know -- I have three specific comments. But,
9 I think it really fits with the focus groups that were done
10 that we heard from the families.

11 One comment is, I wonder if there could be a
12 companion sheet with some suggestions of language because it
13 sounds like there was a lot of discussion about -- you know --
14 fun easy ways to explain what a screen is and risk, and -- you
15 know -- putting in some language. So, maybe a companion sheet
16 that -- don't change that it's one page.

17 And, then two specific comments. One is -- and I
18 will give comment. But, we have starting with the share the
19 specific results, and that goes immediately to understand what
20 is screening, which is great and important, but it doesn't say
21 way at the top -- it's not until halfway -- two-thirds of the
22 way down the page that you say, what's the disease. So, remind
23 people to say -- because, remember -- these are people who are
24 calling in saying, your PKU was positive for biotinidase.

25 So, I think way --

1 MS. AMY GAVIGLIO: Right. That's a great point.

2 DR. CAROL GREENE: So, I think way up at the top in
3 the beginning, it has to be -- you know -- share the specific
4 positive newborn screen results. By the way, that means name
5 the disease.

6 And, then starting with the engage the family with
7 knowledge and information, and then farther down, provide valid
8 websites. That does lose track. And, it's the one thing that
9 got lost, I think, here in the focus groups we had is some
10 people said, what I had was too much information. I learned
11 all about the disease. I only wanted to know where I go for my
12 lab test.

13 So, I will provide specific comments, but it's not
14 engage the family with knowledge and information, it's engage
15 with the family and explore what do they want. Do they want
16 information about the disease, or do they just want to know
17 where to go for the blood test and what to watch for.

18 MS. AMY GAVIGLIO: Yeah. And, we try to address --
19 that point came up. Natasha certainly brought that up several
20 times, and we tried to address that in bullet 2, where we say
21 at the family's pace and desired level of detail, but --

22 DR. CAROL GREENE: But, that's not a sub-bullet.
23 That's --

24 MS. AMY GAVIGLIO: Okay. I see what you're saying.

25 DR. CAROL GREENE: -- providing people with engaging

1 with information. And, I agree with Natasha. We were both at
2 that focus group. We heard it -- we heard it. It's engage
3 with the family, not engage the family with the information --
4 it's engage with the family and then figure out what they need,
5 and then do it together.

6 MS. AMY GAVIGLIO: Okay.

7 DR. CAROL GREENE: And, that I really -- I will
8 provide some comments. But, I really feel strongly. We heard
9 from the families that that's -- this is starting to read like
10 a doc that is providing information as opposed to a
11 partnership.

12 MS. AMY GAVIGLIO: I look forward to your specific
13 feedback, absolutely. Thank you.

14 DR. JOSEPH BOCCHINI: All right. Additional
15 comments or questions? If not, Beth, you can continue. Thank
16 you, Amy.

17 DR. BETH TARINI: So, now I'm going to talk about
18 some future project ideas. But, on the heels of that
19 discussion, I'm wondering if perhaps we take some of these
20 projects and then move them into a phase 2. And, then I would
21 ask the Committee for their input as I present these projects
22 to not only discuss and think about the relative importance of
23 the issues that we're going to present that we've discussed
24 about future areas to address and input on strategies about
25 these areas, but also whether the Committee feels at this

1 point, given the feedback, that we should create phase 2s of
2 what we have done thus far and then dig much deeper because
3 we've actually not sort of discussed it. We have sort of, I
4 would say -- I'm speaking for the workgroup members -- we have
5 in our meetings thought of these as one year or less projects -
6 - sort of, here you go, find a project, make it happen, let's
7 do it. And, it may be in our group that that is just not
8 something that is effective or that with a bit more time, we
9 can do a little bit more.

10 And, so, in fact, taking a whole 'nother area and
11 superficially addressing it is not where the value lies --
12 going much deeper in one area maybe. So, I just put that out
13 there because that's not, I would say, how the discussion in
14 the groups has been historically, even going back in my last
15 reiteration as Co-Chair around these projects. So, that just --
16 I'm going to put that out there.

17 So, some issues we discussed -- problems, if you
18 will -- challenges in the areas of education and training were
19 designing intervention strategies for optional newborn
20 screening. The example and issue that came up was that in
21 Ohio, there is optional Krabbe screening, and there is a
22 significant -- I don't have the exact number -- proportion of
23 families that are opting out of this screening, and there is
24 concern that their opting out may not be based on informed
25 decision-making. And, the Newborn Screening Program and

1 Committees in Ohio are dealing with this now, and one idea is
2 as part of their work, can we help them with their process.
3 There is a slight snag as of recently as how that project might
4 be implemented from a regulatory standpoint, but that is one
5 potential option that has been discussed at this meeting and at
6 the last meeting of the workgroup.

7 The other is address and determine educational needs
8 for newborn screening conditions with adult-onset variants.
9 This has become a prominent discussion point for the Committee
10 during the recent nomination discussions. And, so the question
11 is, what are the educational needs that we need to be thinking
12 about as we provide newborn screening results that may have
13 implications for adult-onset symptoms.

14 The final is -- was brought up yesterday and touched
15 upon -- carrier status, especially as it relates to improving
16 educational outreach around hemoglobinopathy carrier status.
17 This is a broad topic -- outreach understanding,
18 misunderstanding, etc.

19 So, I would say that each of these -- given our
20 recent discussions -- are quite broad topics. I could try to
21 write an RO1 for an HOBI on the last one. So, I am hesitant
22 based on the last discussion to delve into each of these
23 without Committee guidance on where they think our time and
24 resources would be best spent for impact on the community. So,
25 I open it up for discussion.

1 DR. JOSEPH BOCCHINI: Annamarie? Scott S?

2 MS. ANNAMARIE SAARINEN: Thank you and Amy both for
3 these presentations and your work. As a parent, I'm sort of
4 overjoyed.

5 DR. BETH TARINI: I'm glad someone's happy.

6 MS. ANNAMARIE SAARINEN: I am. I'm overjoyed. I'm
7 beyond happy to see this communication and how we can open up
8 the channels and make this a better experience in the worst of
9 possible circumstances for families. So, I think it's awesome.

10 So, I have two questions, and I vetted my first one
11 with Kellie so I didn't feel like a complete idiot. But, the
12 optional NBS -- is this something that's happening in many
13 states, and is it specific to Krabbe, because this is new --
14 I've not heard of how often this is happening where it's not
15 part of the traditional opt out.

16 DR. BETH TARINI: I didn't know if anyone --
17 otherwise I'll call Aaron Goldenberg. Do you want to come up?
18 You've been vetted -- you spoke yesterday.

19 DR. AARON GOLDENBERG: So, in Ohio, it's not --
20 optional is an interesting word because it's on the panel --
21 it's not -- it's not a -- it's not an extra condition. It's on
22 the panel. It's on -- it's meant to be mandatory. But,
23 because it wasn't added to the RUSP, the state decided to add a
24 separate opt out option. So, when parents get the brochure for
25 newborn screening, they get the regular brochure, and they get

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 a one-pager that talks about Krabbe, and then they have the
2 option to separately opt out of -- of just Krabbe screening.
3 They can get the rest of the panel, and they can separately opt
4 out to Krabbe.

5 But, what's happening is that they're seeing -- you
6 know -- in a 6-month period when you may have maybe 10 or so
7 opt outs for the entire panel, they are seeing over 3,000 -- 3
8 or 4,000 opt outs for Krabbe. Unclear what's actually
9 happening with that. About 160,000, I think -- maybe 130 -- I
10 can't remember.

11 So, many of those opt outs are coming from zip codes
12 that have high Amish populations in Ohio, which is -- and, so
13 there's some -- some knowledge at the state level in talking
14 with the Amish community about Krabbe and about screening.
15 And, it may be that these opt outs are very meaningful, right?
16 So, that's the question that I think is important for the
17 Committee to think about. Maybe these are actually really
18 meaningful and actually okay opt outs. But, the state has
19 mandated screening for Krabbe, and so there's this interesting
20 educational question that I think we've been talking about,
21 which is how do you educate about a condition that the state
22 has decided we want to screen, but has this very interesting
23 option. And, I think Georgia has also been dealing with this,
24 right, but has gone more of an opt-in, I believe, approach.

25 And, so I do think there's an interesting set of

1 educational questions for the Committee -- for the workgroup,
2 which is as conditions that have slightly different opt-out
3 procedures or slightly different opt-in procedures, what are
4 the potential educational needs, and how do you communicate
5 that with families.

6 MS. ANNAMARIE SAARINEN: Okay. Thank you very much.
7 So, yeah -- you might want to stay.

8 Now, this raises something really interesting. One
9 is whether or not this was a unique scenario to Ohio or
10 condition-specific scenario, or if that was like, oh, since
11 Krabbe has been added, there are several other states that are
12 doing it, but since you mentioned Georgia and the variables
13 around opt in versus opt out. I don't know if maybe NewSTEPS
14 or someone else has -- either has or the ability to define for
15 the Committee how many states are dealing with what we would
16 consider nontraditional, right? The thing that all of us thing
17 of as the normal opt-out formula for screening. And, if it is
18 more than several, I think this is probably a very good target
19 for your workgroup.

20 DR. BETH TARINI: Oh, see, I was going to actually
21 say that that seems beyond the sort of scope because if we are
22 going to say what the states are doing and -- I'm not saying
23 that this issue isn't important -- but, I would say perhaps
24 this needs to go back to the Committee about programmatic
25 organization, and how they decide what they put on the panel,

1 what they don't, and what's optional. And, that discussion, I
2 think, perhaps may be best in the Committee and outside of the
3 E&T.

4 MS. ANNAMARIE SAARINEN: Yeah. And, I hear you, and
5 I think you might be right about that. But, I think just
6 gathering the information might be the first step.

7 DR. BETH TARINI: Oh, certainly. HRSA can do that.

8 MS. ANNAMARIE SAARINEN: If we could come back and
9 see -- again, to find out whether this is sort of like
10 symptomatic or if it's pervasive, or where it's at, because I
11 think at that point, we start having pretty substantive
12 discussions on that, and I feel like Baby's First Test has done
13 a lot of this too. Where should the engagement really be
14 happening -- or the educational education really be happening.
15 And, in my mind, when you have something like what this example
16 is, it's got to be happening before the birth setting. I mean
17 -- no question.

18 DR. BETH TARINI: I agree. I think -- I think
19 getting an understanding and a handle on the landscape might be
20 a first step. Is Jelilly in the room? I don't mean to throw
21 him under the bus. He's in the back. But, since NewSTEPS has
22 as it's purview the information on each of the states and what
23 is on their -- Marcy's nodding, so good -- write that down --
24 Marcy was nodding.

25 [Laughter.]

1 So, that may be a place to start since they one,
2 house the information, and two, can get a better sense of where
3 it is. And, then we are happy then to revisit this at a later
4 time and add it as an adjunct project about specific
5 educational opportunities that might exist.

6 MS. ANNAMARIE SAARINEN: I think that's great.
7 Thank you for your responses, and I will just say from the
8 Newborn Foundation's perspectives since we're -- I know this is
9 Committee crossover as we're a group crossover -- but, since --
10 since this policy piece around newborn screening is sort of
11 really a part of our DNA, we're really, really committed to
12 making sure this doesn't become a problem. And, I think of how
13 this interesting nuance for Ohio -- and I don't if they've
14 looked at that data -- but, if your given the opportunity to
15 opt out for Krabbe as a separate thing, is it impacting the
16 rates of opt out for the overall newborn screening panel? And,
17 I think that would be a very interesting thing to look at.

18 DR. AARON GOLDENBERG: Right, and we've talked a lot
19 about that. And, I actually think -- this is totally my own --
20 this is not based on any data -- I actually think it's actually
21 lowering the rate of opt outs because the way that the brochure
22 is worded -- the one-page brochure is worded -- makes it seem
23 like this is the one you can opt out for, the rest are
24 mandatory. That's only my opinion from looking at the
25 materials. But, we've been wanting to do a study in Ohio and

1 the state program and the IRB is all on board, and we're
2 hitting a legal hiccup that is not allowing us to move forward.
3 But, the idea would be to do interviews and surveys with
4 everyone who has been opting out. And, everyone's been excited
5 about the project, and I think it will eventually go forward.

6 But, I do think this is a really important point in
7 time to think about the connections between opting out,
8 permission, consent, and education where these kind of unique -
9 - even if they're one offs -- these unique one offs allow us to
10 rethink some of those issues in ways we haven't before, and
11 what it means for expanding panels, those kinds of things. So,
12 I appreciate the comment.

13 DR. BETH TARINI: And, we did do a study -- I don't
14 have it off the top of the head -- at the University of
15 Michigan where we did hypotheticals as in we presented the
16 participants of the survey with information on Duchenne's with
17 Brian Zikmund-Fisher, and I believe that was published, and
18 whether or not having an optional, affects what you want if you
19 were given a mandatory panel. I can look to that data and that
20 paper and send it to the Committee. It was hypothetical, but
21 it was --

22 DR. SCOTT SHONE: All right. Scott Shone. I'll try
23 to keep it quick because I know we're up against time here.
24 So, just back to the original question you asked Beth about do
25 we stick with the current projects or go something like this.

1 You know -- my personal preference is to try to take what
2 you're working on and have it come to a complete and meaningful
3 conclusion as opposed to -- while a lot of work -- a
4 superficial pass of a topic, despite how critical these three
5 topics are. So, that's my comment is I think that the phase 2
6 -- I think that's actually a common theme across the workgroups
7 we'll hear today is that there's been a lot of great work done,
8 but there's more to do, and what's great is their ideas of how
9 to continue it and make it more meaningful. So, that's what I
10 would say with respect to this optional topic.

11 DR. BETH TARINI: So, I think that that's something
12 I would like to just pause you -- I would like then the
13 Committee to talk about this because that has not been -- and,
14 I'm not saying this is good or bad -- that has not been the
15 sort of thought I have felt either way -- the guidance from how
16 the workgroups should be addressing their projects. And, if
17 that's -- I don't think it's actually ever been discussed
18 explicitly. So, what has been -- you know -- internalized as
19 do your projects there year-long. So, that would be helpful
20 for the Committee to have as a whole like, what do we want the
21 workgroups to bring to the Committee, and what is their
22 ultimate achievement we're looking for. So, thank you.

23 DR. SCOTT SHONE: Okay. And, with respect to the
24 optional screening -- this is an issue that's beyond E&T. It's
25 -- everybody's going to have to deal with this. And, I think

1 that I don't agree with the term problem. It's actually an
2 interesting challenge and an opportunity for parents to really
3 figure out what is newborn screening, where are we going, and
4 what are my options in the field. And, I think -- you know --
5 there are great possibilities for additional optional panels,
6 where they're not RUSP conditions -- you know. They've gone
7 through -- either they've gone through the vigorous evidence
8 review and don't meet the criteria or they haven't, but a state
9 decides to do it in this manner where a parent has the -- their
10 right -- you know. You mentioned mandated, Aaron, and that's
11 what it amounts to in most states is that the state has decided
12 it's the benefit of the child, and the parents' right is they
13 might have an opportunity to opt out, but the government has
14 decided this is so important to the public's health, that we're
15 doing this. And, some of these conditions don't necessarily
16 meet that bar, but the parent might want that information.

17 And, I think that we, as a system, need to think
18 about that -- not just education, not just lab, not just
19 followup -- but everybody. So, I do agree with you, Beth.
20 It's Committee, and the Committee needs to think about if we
21 want to attack this, how to cross cut all the workgroups to
22 attack the -- to approach the issue.

23 Dr. AARON GOLDENBERG: Yeah, I would just add -- so,
24 I absolutely agree with you, and I would add that while my
25 voice may be somewhat of a minority voice in Ohio, when I see

1 the large numbers of opt outs, my automatic response is, well,
2 that's terrible. They shouldn't be opting out. There may be
3 actually very rational and good reasons why people are choosing
4 to not have the screening -- I don't know. That's why I want
5 to do this work. And, maybe a lot more interesting and
6 actually challenge is a good word, but actually more meaningful
7 than just saying, well, I don't want the government to have my
8 DNA, so I'm going to say no. And, actually, when we think
9 about the condition and how it's been rolled out, I think it's
10 important for us to understand how parents are making that
11 decision, and that's really important. So, I really appreciate
12 the comment.

13 DR. BETH TARINI: I also think that if we disagree -
14 - if we think the parents are making a bad decision, then it
15 needs to be mandated. There is no -- either they're allowed
16 the choice and have an informed choice, or they're not allowed
17 the choice. And, once that decision is made, you have then
18 decided it's mandatory.

19 DR. MEI WANG BAKER: Yeah, it seems we are talking
20 about -- this is Mei Baker. It seems we're talking about the
21 opt out, I just want to add in a little bit of information from
22 our experience. So, from the description you have for the opt
23 out for Krappe, it sounds like exactly what we are doing for
24 Pompe in Wisconsin. The rationale used is adult-onset. In
25 terms of general newborn screening, we -- our states uses opt

1 out. Anybody can opt out without question. And, we don't have
2 people opt out. That is something we know that. But, for
3 Pompe, we made extra steps. So, we have the material on the
4 website, and we disseminated the one page to like ACOG and AAP,
5 and we have it set up with a 1-800 number. We have a website.
6 I can tell you we started middle of July up to now, we have two
7 of that, and it's through the phone.

8 So, we do ask for a callback number, so they do
9 leave the callback number, and I kind of want to find out like
10 why you opt out, but I don't want to push. So, I always call
11 back to them, give them my phone number, and neither one called
12 me back. So, I think it's a very interesting -- the one thing
13 is when you talk about opt out -- meaningful opt out is we
14 don't really know how the information has been reaching
15 everybody -- so, we don't know. But, we've been asked by our
16 state when we do this pilot, as far as we put a serious effort
17 -- that's how far they want us to go. That's how far we did,
18 so.

19 DR. BETH TARINI: Right. I don't think that -- this
20 is Beth Tarini -- I don't think we've had a discussion. And,
21 I'm not sure -- I don't think it's in the E&T -- I think it's
22 in the Committee discussion -- what is an informed decision. I
23 mean -- the people that make decision aids have trouble sort of
24 actually with the definition of informed, and agreeing with me
25 is not the definition of an informed decision. Me agreeing

1 with you is not the definition of an informed decision. I'm
2 not saying you're saying that. But, the philosophical struggle
3 is, when have we done our due diligence in 1) making sure we've
4 educated, and b) making sure -- at the very least a and b) --
5 and b) making sure that the person has received it, understands
6 it, and has made a choice.

7 There are studies that show that people can get 100%
8 on an HPV vaccination knowledge screen or knowledge test for
9 their child and not want the HPV screen -- the HPV vaccine,
10 sorry. So, that is -- we just have to -- I think -- if we
11 weigh into this is decide what is going to be our outcome, and
12 are we comfortable with our outcome of the education around
13 optional for opt out.

14 DR. JEFFREY BROSCO: So, I agree that all three of
15 these are wonderful topics, but I really like the educational
16 primary care and the things that you are already doing, so I
17 would recommend sticking with that and getting to a place where
18 you think it's ready to go.

19 DR. BETH TARINI: Perfect.

20 DR. DIETRICH MATERN: Yeah, about the opt out, I
21 don't think that we should spend a lot of time in worrying
22 about the optional tests as in Ohio for Krabbe. If it's not on
23 the RUSP, the extra conditions -- I don't think we should worry
24 too much what the states are doing.

25 The similar situation is, I believe, in Pennsylvania

1 where some of the RUSP conditions are, I believe, mandated, but
2 you can still have -- if you want to -- the additional
3 lysosomal disorders. I don't know if Cate or Kurt can comment
4 on that -- how that is going. But, again, I think overall
5 consenting and all these things are important for us, and we
6 should keep track of what's going on, but I don't think we
7 should spend a lot of time on it.

8 DR. JOSEPH BOCCHINI: Natasha?

9 MS. NATASHA BONHOMME: Hi. Natasha Bonhomme,
10 Genetic Alliance. I have to politely disagree with that
11 because when we then talk about we need to educate about
12 newborn screening, what people are getting is all of newborn
13 screening and whatever is at their state level. And, so
14 depending -- I mean -- maybe go back to the charter of this
15 Committee -- is it just about RUSP or is it about newborn
16 screening in this country?

17 And, I bring that up because this is where this
18 conversation is happening nationally. And, so we really need
19 to know not just what our state programs is hearing back, but
20 what are the questions that are coming up from parents, from
21 families, that may be funneled into a number of different
22 places. I bring up we have asked the experts on Baby's First
23 Test, and the types of questions we get -- it's very clear that
24 people don't know to go to their states, and they're not
25 interested in going to their state program. They just want to

1 type it in and get an answer and go on to their next piece of
2 their life.

3 So, I think this push to really think about this as
4 a system and not just, what should this workgroup do, what
5 should that workgroup do -- but, what are the actual questions
6 this Committee should be looking at. We need to be reflective
7 of the reality of newborn screening on the family's side, on
8 the public health side, and along all the different components
9 of newborn screening. So, I'll leave it at that.

10 DR. JOSEPH BOCCHINI: Thank you. Dieter?

11 DR. DIETRICH MATERN: Sorry, can you -- but, what
12 are the questions that they actually have when it comes to
13 optional testing? What do we need to fix or educate people
14 about here?

15 MS. NATASHA BONHOMME: Did I not state my name
16 before? Just a reminder -- this is Natasha Bonhomme. I mean -
17 - I think we can go through -- and, that's like a whole project
18 to assess -- like, what are all the different questions coming
19 up. But, I think -- and, I guess that is the question -- I
20 guess it's a philosophical one. Is it that you wait until
21 people have questions, and then you try to fix it, or is it as
22 a system is going in a particular direction, you try to think
23 based off of history and what has come up to see what are the
24 things that we can do if states are going in these different
25 directions to actually be there for families, for providers, as

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 it's being built as opposed to let's wait and see what happens.
2 Again, that's a philosophical piece, and I can't say that.
3 It's not my job to determine that for this Committee. It is my
4 role, I think, to speak for the families that we do hear from.

5 DR. JOSEPH BOCCHINI: Is there -- no? Okay. I
6 think we've had a really good discussion about this, and I
7 think from the comments is that the consensus of the Committee
8 that the E&T Workgroup should continue to work in greater
9 detail related to these two projects to make sure that the
10 efforts that they've put in result in something that is usable,
11 acceptable, and potentially tested for effectiveness before
12 going on to other projects, while we still can keep these on
13 the table as potential things that either the workgroup or the
14 Committee may need to address, but, do it in this fashion so
15 that we give some direction. I'm here seeing lots of nods yes.
16 Okay. All right. You have your directions. Thank you.

17 DR. BETH TARINI: Thank you.

18 DR. JOSEPH BOCCHINI: The next report is from the
19 Followup and Treatment Workgroup, and Jeff Brosco, Chair of
20 this workgroup will make this presentation. Jeff?

21 DR. JEFFREY BROSCO: Thank you. So, first I would
22 like to thank the workgroup members. We again yesterday had a
23 very energetic and enthusiastic conversation. It's clear that
24 people really are invested in this issue, and it's wonderful to
25 be a part of it. I also want to thank Catherine Camp, Joanna

1 Monaco, and Alan Zuckerman -- all of whom are ending their
2 formal service on this workgroup, but we have lots of folks
3 with informal work, and I hope that they continue to attend and
4 participate. We don't have any new members yet, but we should
5 point out that Sue Berry, who has been a workgroup member
6 forever, is now a Committee member as well.

7 So, basically, we have four things to talk about.
8 The environment scan and how we hope to work together with Alex
9 and K.K. and their group. Secondly, this idea of creating a --
10 a road map to what the future of a long-term followup system
11 might look like. And, I just put in there for a minute that
12 the L-word can mean different things. We've always talked
13 about long-term. Yesterday, we were chatting about whether
14 longitudinal might be better or even lifespan, and before
15 everyone at HRSA freaks out -- when we talk about this, what we
16 mean is that when we're taking care of a 2-year-old, we think
17 to think about what that might mean for that child when he or
18 she is 50. And, so knowing the longer-term outcomes is -- we
19 think -- part of our purview. We're not talking about taking
20 care of 50-year-olds now.

21 We do want to follow up with the medical foods
22 report and the Quality Measures report. So, to go through each
23 of these quickly. I'm going to do the last two first, because
24 you have heard from me often already about these in two of our
25 current sub-workgroups. This is activities 3 and 4 in the

1 previous slide.

2 So, the first thing is the medical foods for inborn
3 errors of metabolism. Sue Berry has been leading us through
4 this. The report is basically completed. The last Ts are
5 being crossed and Is being dotted. And, the last remaining
6 issue really is about what kind of publication came out of
7 this. We would like to see it widely disseminated, and
8 although our website gets lots of hits, we want to see if we
9 can go beyond that in the peer-reviewed literature. So, we
10 need to think about that over the next couple of months, and
11 Sue will continue to take the lead on that.

12 Secondly, the Quality Measures. You've been hearing
13 about this in the last few meetings. Alan has been leading
14 this. We've had lots of help from Carol and Kamila and Margie,
15 and really the entire group. I think almost every single
16 person has written at least a paragraph in this report. It's
17 now 26 pages plus about 20 more pages of appendices. And, we
18 have almost -- I think -- all the pieces together. We just
19 need to make sure they're all there. And, we want to spend a
20 little bit of time working on the executive summary in
21 particular so that we have a very crisp just 1-page description
22 of what it is we found. And, I do think there's a little bit
23 more work we need to do on the specific recommendations. So,
24 we would like this to be something we continue to work on over
25 the next couple of months, and we think that we could have this

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 done and ready to go by February.

2 So, what are the newer things. One is this idea of
3 what can we do to help with the environmental scan. So, as you
4 all probably remember from August, we have asked Alex Kemper
5 and K.K. Lam and their group to do an environment scan about
6 long-term followup. And, so the basic idea of this would be
7 documenting current activities and identifying key gaps for
8 newborn screening conditions -- the idea being providing
9 information for stakeholders.

10 One of the things we noticed as we've been doing a
11 lot of this stuff -- for example -- on the Quality Measures
12 report is that there's actually a lot of stuff happening,
13 right? That report is 26 pages because there are many examples
14 of people doing this work. But, they're sort of piecemeal. It
15 happens in some states and not others, some regions and not
16 others, some are about treatment, some are just about following
17 and seeing what happens. So, there are a lot of things
18 happening, but it's not really in one place. And, as we spent
19 some time yesterday, we realized that there is value in an
20 iterative process between our workgroup and what Alex and K.K.
21 are doing that we can sort of inform each other and go back and
22 forth. Their report is supposed to be done by July of 2018, so
23 we think that over the next 6 months or 8 months really working
24 with them would be an important way to make sure that what
25 their report comes up with really does meet the needs of our

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Committee. And, we think also it will probably tell us a lot
2 about where the key gaps are that we can move forward on. So,
3 I think that's one thing we would really like to work on for
4 the next 6 to 8 months.

5 Just to remind everyone that for a long time, the
6 Secretary's Advisor has had in some sense what our vision is
7 for long-term followup. And, here is the Hinton, et al paper
8 from 2016. I've shown this to you several times before. If
9 you look on the far left where the outcomes are -- I mean --
10 here are the things that we want. We want improved survival
11 and well-being, and we have specific measures. And, it goes
12 through how we would get to that and even some of the specific
13 concepts that we would measure.

14 And, in our Quality Measures report, we looked at
15 what are the specific measures we want to use.

16 So, what keeps bubbling up though at pretty much
17 every meeting for the last year, it seems, is almost no matter
18 what topic we touch on, people start saying, well we really --
19 we don't have the whole thing together. There's this happening
20 there, and that happening there, and there's a sense of
21 frustration that we really don't have a handle on it.

22 So, we can thank Joe Schneider for saying this out
23 loud, but he had this idea of well, it's not anytime soon that
24 we're going to have a single organization that takes
25 responsibility from beginning to end. But, we could imagine

1 sort of a federated system where maybe the State Newborn
2 Screening Programs have some responsibility, and NewSTEPS has
3 this responsibility, and Patient Registries fit in here, and
4 you could imagine sort of putting together a quilt-like pattern
5 that created a more or less system.

6 So, our idea for this workgroup -- for now, we'll
7 just call it a road map -- and the idea would be trying to get
8 to someplace where we could say here is what we think are the
9 roles of all the different pieces in the federated system
10 looking at long-term longitudinal lifespan followup -- however
11 you want to think about it. And, again, the gap is that there
12 are lots of things happening. We also know there are a lot of
13 gaps, and there's no real system. There's -- for example --
14 not a clear way that each of the different parts of the system
15 communicates with each other.

16 So, what we imagine doing over -- and I put December
17 2018 as a timeline because we think this will work in some ways
18 in conjunction with the environmental scan -- is can we
19 continue to work with stakeholders, many of whom are
20 represented in our workgroup, and start laying out what this
21 road map might look like and say, we know we want this kind of
22 federated system. Here might be the next step for Newborn
23 Screening Programs, here might be the next step for NewSTEPS.
24 Here might be the next step for different pieces of the system.

25 And, I also don't want to lose track of -- as we

1 have this grand vision for where we want to go -- that there
2 might be some interim steps. And, one that came up a few times
3 is, is there a way to explore how to support
4 parent/family/patient registries as a way of more quickly -- as
5 least for some conditions -- getting to where we want to go.

6 So, I think that's basically what I wanted to say.
7 So, it's open to questions or comments.

8 DR. JOSEPH BOCCHINI: Thank you, Jeff. This is open
9 for questions and comments. Cindy.

10 DR. CYNTHIA POWELL: Cynthia Powell. I think this
11 work is so incredibly important, and you've already done a
12 great deal of work -- you know -- on this. But -- you know --
13 when you look at states that are doing long-term followup and
14 those that aren't, it all comes down to the money. And -- you
15 know -- there's a lack of people to enter data in states that
16 aren't doing it, and the states that are -- you know -- they
17 may charge extra for their newborn screening fee in order to
18 pay for this. And, so I think -- you know -- while I agree
19 with all the different aspects that you've outlined, to really
20 focus on the need to do this. And, I think if -- you know --
21 State Newborn Screening Programs and State Public Health
22 Directors -- you know -- can hear this from this committee that
23 -- you know -- there needs to be funding to do the long-term
24 followup, and then to make sure that there is an appropriate
25 system to -- you know -- share this information.

1 DR. JEFFREY BROSCO: So, as you might imagine, this
2 came up yesterday, and pretty much comes up each time in that
3 one of the gaps we have identified across whether it's -- you
4 know -- developing new Quality Measures. Whether it's entering
5 data, whether it's connecting datasets. The resources do
6 matter. And, whether it's -- you know -- funding particular
7 personnel. So, we have identified this many times. And, the
8 question is how best to get to that next step.

9 We have been discouraged from the idea of just
10 saying, hey, you -- and pointing our finger at someone -- you
11 should put more money into this. That doesn't seem to be
12 within the purview of what we would want the Advisory Committee
13 to say. So, we have been thinking about ways around that.
14 And, so -- for example -- in the Quality Measures report that
15 we're finalizing, we will talk about gaps in funding, and we
16 will talk about how the efforts that have been successful have
17 been properly funded and how they have. And, we will look at
18 what specific next steps -- a couple of concrete things we
19 might be able to do. And, I do think this fits into this idea
20 of the road map. If we can say, all right, Newborn Screening
21 Programs, we think this is where you fit in. You know --
22 NewSTEPS, this is where you fit in. Then, we might have a
23 clearer idea of what the specific ask is. Because it may also
24 be that we say to AHRQ, look, you guys really need to develop
25 some specific Quality Measure for particular conditions, and we

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 need to do that too. So, that's -- I hope that we can get
2 there a little bit, but I don't think it's a thing we can go
3 straight at as much as many of us would like to.

4 DR. JOSEPH BOCCHINI: Okay. Sue Berry?

5 DR. SUSAN BERRY: This is Sue Berry. Thank you,
6 because I think that's an echo for all of those of us who care
7 for children and who really see this as a burning need. The
8 resources simply aren't there to accomplish the goals. I don't
9 know how we make that a higher priority, but I think that's our
10 -- that's our dirty little secret. It's our shameful gap. I
11 mean -- we keep adding things, we keep doing new stuff -- but,
12 we're not actually fulfilling the responsibility we had
13 initially to a whole group of people that we've identified with
14 these disorders in terms of saying we did newborn screening and
15 it helped them.

16 So, I'm going to editorialize that way and say that
17 we have to find a way to make this a higher priority.

18 DR. JEFFREY BROSCO: I'm sure that if Carol were
19 able, she would point out that it's also about treatment,
20 right? And, then when we talk about long-term followup, we
21 mean treatment swell, and that needs to be part of our
22 discussion, especially when it comes to funding. And, I got
23 your name right.

24 DR. JOSEPH BOCCHINI: So, Carol, Jeff made your
25 comment for you?

1 DR. JEFFREY BROSCO: Sorry about that.

2 DR. JOSEPH BOCCHINI: All right. Okay. All right.
3 Then, we have Scott G and Beth.

4 DR. SCOTT GROSSE: Thank you. Scott Grosse. As an
5 economist, I'd like to point out that there's unlimited wants
6 or needs, and scarce resources, and it's all about tradeoffs,
7 and how do you persuade people that something is worth doing?
8 You should show value. We need to document how long-term
9 followup has improved outcomes or shown value in states that
10 have done it and also show how much resources were used in
11 those states to present that information to other states who
12 might consider it.

13 DR. BETH TARINI: This is Beth Tarini. I think on
14 both of those comments, I might push the Committee to come up
15 with a statement of Newborn Screening Program priorities
16 because I think there are too many balls in the air. You
17 cannot do all things extremely well. You must decide -- and
18 decisions are difficult -- resources are finite --
19 opportunities are endless. You must decide what you want to do
20 and how well you want to do it. So, if timeliness -- not to
21 have a spoiler -- is -- if timeliness is our priority,
22 timeliness goes to the top -- to the list of top things. It
23 doesn't have to be one thing, but the list can't be 20. There
24 must be a small and non-exhaustive list of what we believe are
25 the most important and critical challenges facing newborn

1 screening systems today, and those are the ones that we -- you
2 know -- like no child left behind -- it will not be left
3 behind. And, that is what I think the Committee needs to -- I
4 think would be helpful to have the Committee weigh in on to say
5 these are our urgent mission items. And, these others are ones
6 that are important, but they are not where we are right now.
7 These are the more urgent items.

8 It will do two things. It will stop the constant
9 moving of, no, it's about timeliness -- no, it's about long-
10 term followup. It will make us focus, and then it will put a
11 time limit upon us to track our progress.

12 So, I advocate for this to come up if not this
13 coming meeting, in the next meeting or two.

14 MS. JOAN SCOTT: I think one of the ideas behind
15 doing this road map was to identify all the other stakeholders
16 who have a stake in this issue because it is so critically
17 important, and we have been talking about it with the Committee
18 for a long time. And, if it can't be done all within the
19 Newborn Screening Program, who are all the other players in
20 here that we could start to tap in to potentially link together
21 to try and get the answers? And, so is there another way of
22 getting to it?

23 DR. BETH TARINI: This is Beth Tarini. I agree, but
24 I would push back that I don't think this is critically
25 important. And, the reason I don't think this is critically

1 important is because we do not have a letter to the Secretary
2 like we do for timeliness. Am I correct? So, I would argue
3 critically -- with all due respect -- is too strong for long-
4 term followup, given our actions on this Committee. I would
5 say this is an important issue that keeps coming up that we
6 wish we could do more on, but we have not acted as we have with
7 other things like mandated disorders and timeliness. The
8 reasons why -- we could discuss that in a separate discussion -
9 - but, I would argue it's not critically important. I would
10 argue it's important and we're exploring it. But, this is the
11 difference that I'm talking about. This doesn't rise -- this
12 has not seemed by interpretation of our actions to rise to
13 critical like others have. I'm not saying it shouldn't -- I'm
14 saying we need to decide if it should, and then apply the
15 appropriate resources and efforts to achieve a specific goal.

16 DR. JEFFREY BROSCO: So, just to be clear -- when we
17 talk about long-term -- this is not just about data and how
18 things are going. This is about families not being able to get
19 medical foods for treatment of PKU.

20 DR. BETH TARINI: I agree.

21 DR. JEFFREY BROSCO: And, well, no -- it sounded
22 like you didn't. I think almost everyone here would say the
23 fact that we have conditions on the RUSP and people who may or
24 may not be getting appropriate treatment as part of their long-
25 term followup is essential to what we do.

1 DR. BETH TARINI: I think it's essential. I don't
2 think -- this is Beth Tarini -- I don't think we as the
3 Committee -- there's a difference between what is ethical and
4 what is essential. And, what we as the Committee have chosen
5 to devote our focused resources to doing with all of our might,
6 if you will. The State of Iowa's Formula Program --
7 eliminated. Eliminated. Am I correct when I say eliminated --
8 like gone -- zero? Those children -- that milk program does
9 not exist. We are not discussing it. We are not discussing
10 whether or not -- we have tried, and we have discussed it, and
11 we have done our best. But, my point is -- these are all
12 important, but I think the Committee needs to put its flags in
13 the sand on what are going to be its top priorities and -- and
14 what are its goal achievements, and they must be specific, and
15 they must be achievable, and we must put a force behind it.

16 I'm fine if it's long-term followup. I'm fine if
17 it's medical foods. I just want something, and I want it
18 specific.

19 DR. JOSEPH BOCCHINI: Carol?

20 DR. CAROL GREENE: At the risk of repeating, we do -
21 - and Jeff said it very well -- sometimes we say long-term
22 followup, and all of a sudden many people in the room are
23 talking about data collection. And, long-term followup has
24 been defined by this Committee.

25 And, I -- I think I agree with what I'm hearing

1 about the importance of the Committee deciding where there is
2 work that the Committee can do that will make a difference.
3 The reason this road map idea keeps coming up is because
4 there's always something pulling to this task and this task
5 that somebody's asking for, and this idea keeps coming back
6 because this is what we don't have for the Committee to then
7 say, what are all the pieces, what are all the goals -- that if
8 we take a little bit of time and write down who are all the
9 stakeholders, what resources are brought to it -- let' -- we've
10 honed in on the outcomes data, we've honed in on the labs,
11 we've honed in on the diseases. But, let's look at the whole
12 picture and say, what does the system look like, what are the
13 parts of it, who are the stakeholders in it, and then we can
14 identify where the Committee wants to work next. Because we've
15 just been sort of taking that -- that next piece, and some of
16 us do keep coming back to treatment. And, we know that we
17 can't say who should pay or should be responsible, but at least
18 if we look at that -- that's why the road map idea keeps coming
19 up. It's let's look at the big picture so that then we can
20 have the Committee make a weighed, informed decision about what
21 needs to be looked at.

22 So, I hear the plea to work on what's important, and
23 I think we've done a lot of infrastructure, and I think this is
24 needed so that people can decide what's important without just
25 taking the low-hanging fruit.

1 DR. JOSEPH BOCCHINI: Sue?

2 DR. SUSAN BERRY: Sue Berry. I hear exactly what
3 you're saying, Beth, which is that many of the actions -- I
4 mean -- speaking as a new member -- my observation has been
5 that we've reacted to things that were pushed upon us like
6 timeliness. We were mandated by legislation essentially to
7 tackle timeliness, so that was a task that was of necessity
8 required of the Committee -- a hundred percent -- we had to do
9 it, right? We are mandated to look at adding new disorders,
10 and we cheerfully do that on a regular basis -- you know --
11 like clockwork. And, we don't spend very much time about
12 saying whether we should keep doing that, but we keep doing it.

13 We have other knowledge of the system that we know
14 are parts of what should be accomplished, but nobody's ever
15 said, this is your task. You must do it. I would say the one
16 element we've worked hardest on -- because -- I mean -- it
17 comes down to my personal knowledge of it -- is medical foods,
18 and the Committee has acted on a number of occasions on at
19 least that element of treatment.

20 But, as a general rule, we haven't -- a hundred
21 percent I agree with you -- we talk about it, but we've never
22 actually done anything to say this is so important in the
23 system that we have to fix it. And, part of it is that there's
24 no easy locus for long-term followup. So, it's hard to assign
25 that responsibility. We know that's the State Newborn

1 Screening Program's job to implement screening. We know that
2 it's the Committee's job to brief and think about new
3 disorders. When we get handed a task like timeliness,
4 timeliness becomes the task.

5 But, there's no -- no one who is singly responsible
6 for long-term followup and treatment. It's the clinicians,
7 ultimately, who are, and we're just all going "Aaah, aaah!"
8 okay?

9 So, how do we find that locus of responsibility, and
10 then -- so maybe the road map is a way to do that -- and, then
11 how do we -- you can't do it all at once. How do we parse it
12 out?

13 DR. BETH TARINI: Exactly. Because we -- what I
14 predict could happen is that a lawsuit occurs from a long-term
15 followup -- as we do much in health care -- in the health care
16 system -- and a crisis creates our action. And, so I'm not
17 saying that -- and, that's how we'll find our locus -- and, I'm
18 not saying that this is coming up during the long-term followup
19 discussion -- I'm not saying that the road map is not a good
20 place to start. I'm saying we tend to wallow in exploration,
21 and, then persevere and paralyze when it comes to a decision
22 on where to identify the locus of action. That's where I think
23 we as a Committee need to do a little more work. You disagree.

24 DR. JEFFREY BROSCO: I don't really understand
25 because it sounds like on one hand you're saying we have these

1 very precise things we do and we haven't taken action here, so
2 we said, well, we want to see the whole system, figure out
3 where to really focus our energy, and now you call that
4 perseverating. So, I don't know which --

5 DR. BETH TARINI: Okay. I'll try to clarify.
6 That's helpful. So, what I'm saying is perhaps a synergy of
7 what Sue has said as well. We tend to be reactive. We tend to
8 make great strides when we're reactive for the following
9 reasons, possibly. We are forced by mandate, by scrutiny from
10 external entities -- including the media -- and mandate from
11 our legislation to do specific actions. We therefore martial
12 much resources and money from our Federal partners to address
13 these issues, and we make great progress, as we saw yesterday
14 with Josh's presentation.

15 Those tend to be reactive. They are not
16 preventative medicine or preventative public health.

17 In other areas, we tend to wallow for years about
18 issues -- I think your road map actually sort of puts us in a
19 place where we can now -- and, again, this is why I'm trying to
20 separate it from the road map -- that we get to a position
21 where we've had these discussion for upwards of a decade, and
22 we have not made progress because we can't get to the point of
23 preventative identification of the locus that this needs to be
24 done and the priorities, and then martial our resources
25 according to them.

1 Now, that comes at the largest point of long-term
2 followup, and then the precision point of where in long-term
3 followup. I think the road map is an excellent point of
4 getting to the inner locus. What I'm saying is, we can't do
5 everything, so we must have at least focused -- we must have
6 focused discussions on what is going to be our lever. I'm
7 happy to discuss after if it's not clear.

8 DR. JOSEPH BOCCHINI: So, before we take that
9 question, let me just clarify the record for timeliness. The
10 issue of timeliness came to our attention by a parent who on --
11 during public comments indicated that there was an issue in the
12 state related to her child receiving a diagnosis before
13 symptoms developed. And, this Committee chose to look into
14 that. Subsequently, Congress put that on our re-authorization
15 as part of our responsibility. So, sometimes things come to us
16 because of issues that are identified by the public or by
17 others that we then take on and actually we already had
18 timeliness requirements for the Newborn Screening Program,
19 which we then found out were not being met by all states, and
20 that led to a revision and reworking with them.

21 And, I think other things are going to come to us
22 based on the system perhaps having gaps or barriers or things,
23 and so we do need to be reactive in that sense to address those
24 issues when they come up.

25 But, I understand the importance of us being

1 proactive as well and attempting to identify issues that we
2 view as important for the program or coming up because of
3 changing technologies and other things as well. So, I think
4 looking to try and strengthen the activities of the Committee
5 by using the expertise around the table is really important,
6 and we need to do that.

7 DR. BETH TARINI: This is Beth Tarini. Just to
8 clarify. I didn't mean to say we were without action, but our
9 action became much -- I remember that parent very well with the
10 postal service story. She came, I believe, three meetings in a
11 row. Our attention became much more vigorous after the
12 Milwaukee Sentinel article, and so that's the type of shifting
13 in enthusiasm/energy that I'm thinking about.

14 But, I do -- I do agree -- to correct myself -- we
15 were actually discussing timeliness at that point when it was
16 brought up in the public forum.

17 DR. JOSEPH BOCCHINI: So, let's see. I have Dieter
18 and then Cindy.

19 DR. DIETRICH MATERN: Yeah, Dieter Matern. Thanks,
20 Joe, for clarifying how we got to timeliness.

21 The product of that was eventually a recommendation
22 to the Secretary of what should happen. And, I think as we
23 have these workgroups -- and I think the workgroup apparently
24 though that this was a worthwhile project -- and, I think
25 there's no one in the room who wouldn't agree with it, I would

1 suggest go ahead, do this work, and then in the first meeting
2 in 2019 the latest, there should be a vote as to whether the
3 recommendation should be made to the Secretary as to what long-
4 term followup entails. And, then have the Secretary figure out
5 how this can be -- how the states can be incentivized to
6 actually follow through with that.

7 I don't know what other projects you want to have
8 prioritized. I don't know if we have a list of all the
9 projects that we actually can see what is currently ongoing and
10 what might be in the parking lot or wherever.

11 DR. JOSEPH BOCCHINI: Okay. Cindy?

12 DR. CYNTHIA POWELL: Cynthia Powell. My concern is
13 that -- you know -- we don't even have good consensus on
14 standard of care for many of the conditions that we've been --
15 you know -- screening for many, many years, let alone this new
16 territory that we're getting into with conditions that have
17 recently been approved where 80% may have -- you know -- adult
18 onset. And -- you know -- the Committee is asked to make a
19 decision, and -- you know -- with very little time, with very
20 little evidence, regardless of the excellent job that Alex and
21 K.K. do in gathering all that evidence. But -- you know -- we
22 make a recommendation, and then we have no idea really what --
23 you know -- the outcomes are. And, as a clinician, I really
24 fear that we could be doing much more harm than good, but I
25 don't know, and I don't know how we're ever going to get that

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 information unless we do in some way prioritize the need for
2 long-term followup.

3 DR. DIETRICH MATERN: Dieter Matern again. So, I
4 agree with that, but I think the work here must be more general
5 as to what is long-term followup. I don't think it can --
6 within scope -- you can't put the exact treatment of any --
7 every condition we have and every variant thereof. I mean -- I
8 think there are other opportunities, other organizations, who
9 should be working on this. We see guidelines coming out for
10 various conditions that are included in Newborn Screening
11 Programs as to how they should be treated and followed up, and
12 I think we should encourage those entities to continue
13 providing those.

14 DR. JOSEPH BOCCHINI: Okay. Are there additional
15 questions or comments? Yes, Sue?

16 DR. SUSAN BERRY: Just a final word. Sue Berry
17 here. Cindy, I think that's the key to it, which is that
18 unless we have systematic plans for long-term followup, we
19 won't be able to answer the question that you raised. I think
20 Dieter's suggestion about making that a higher priority and
21 planning the road map for how that can be accomplished is the
22 strategy that can be followed. And, I think that is a task in
23 my view that the Committee can -- we can have a document that
24 says, here's what's long-term followup, here's what our
25 responsibilities are, here's some strategies for

1 accomplishment. And, I think that's a quite laudable goal that
2 the Committee could endorse. And, it could take the form of a
3 letter because that's our means of communicating.

4 DR. JOSEPH BOCCHINI: So, Carol?

5 DR. CAROL GREENE: Just appreciating and
6 anticipating what the charge might be. If you could go back a
7 couple slides. I think forward one. The table from the paper.
8 Okay.

9 In the idea of a road map, the road map would not be
10 about -- or at least as I understand the concept -- it would
11 not be about defining what is long-term followup. This
12 Committee has defined it. This would be a road map of what's
13 going on around that definition. This Committee has gone on
14 record -- long-term followup is defined and it's right up
15 there.

16 DR. JEFFREY BROSCO: So, to sort of conclude in
17 followup on that, I think it's worth pointing out sort of our
18 process, which has been that at our last few meetings -- but
19 particularly on our phone calls and this meeting -- we've asked
20 our workgroup, what are the big issues out there? What are the
21 things we really need to tackle? What are the most important
22 things? What do you see in your practice? What do you see as
23 a parent? And, the list is about 13 or 14 things long. But,
24 we also realized that as we start looking at any one of those,
25 there's a lot more happening than we think. And, this fits in

1 very well with the environmental scan that we asked Alex and
2 K.K. to do, which is -- there are lots of pieces of this
3 already happening, and it really came together saying, we need
4 to step back, make sure we have all these pieces together, know
5 where the true gaps are. And, the idea of a road map is, what
6 specific things can we do to get to this.

7 So, I think that, Beth, we're trying in some sense.
8 You're right. There's a political process where you react to
9 some things because things happen, and there are the legal
10 issues we have to follow. And, this is some sense an attempt,
11 I think, to do what you suggested, which is take a step back,
12 find out really what is happening and what the true gaps are,
13 and the next steps for that. So, I hope that we're able to
14 accomplish that, and you'll be happy with us.

15 DR. JOSEPH BOCCHINI: So, thank you, Jeff. My
16 feeling is the consensus from the Committee is that this should
17 go ahead, and that the workgroup should proceed with this road
18 map development. I'm not sure, Dieter, that they could provide
19 a final product that would be voted upon in February, but --
20 2019. That would make better sense, thank you. Okay. All
21 right. So, is that the general consensus to go forward?
22 Nodding yes. Okay, thank you. All right. Thank you, Jeff,
23 and thank you for the workgroup members as well.

24 So, next we have the presentation from Laboratory
25 Standards and Procedures Workgroup, and Kellie Kelm, the Chair

1 will present this. Thank you.

2 DR. KELLIE KELM: Good morning. We had a really
3 interesting meeting. We had a little hard time. We were
4 really continuing a lot of the discussion during the Committee,
5 and so it was a quite interesting discussion that we had.

6 So, this is just our agenda, briefly. And, we did
7 really spend a lot of time talking about document on cutoffs as
8 well as timeliness, and so unfortunately our discussion on
9 priorities was short. But, I think that that was still time
10 well spent. How can I move forward?

11 So, this is our workgroup roster, currently. And,
12 you can see the Committee members that are in bold. We
13 welcomed Scott Shone to the workgroup, and we do have three
14 members rolling off. They couldn't join us yesterday. They
15 was Harry Hannon, Joann Bodurtha, and Koon Lai, and we are
16 looking forward to having some new members join us in February.

17 This isn't going forward. It's not moving. Here we
18 go, okay. So, first we had an update from Joe Orsini on the
19 work that his sub-committee is doing on the guidelines for
20 determining cutoffs, which has been continuing from some of the
21 information that concerns some of the articles and obviously in
22 parents and moving forward into a guideline that APHL is taking
23 on.

24 So, we had an update on the outline of the document.
25 This just doesn't like me today. All right. Next slide.

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 So, as I said, following discussions on cutoffs at
2 the national level, the sub-committee has been tasked with
3 developing this guidance on how to determine cutoffs using
4 newborn screening. And, so Joe gave us an update on where it
5 was, so the draft has already been reviewed by the APHL Newborn
6 Screening and Genetics in Public Health Committee, and they had
7 a lot of feedback from that group. And, he presented some of
8 that feedback that they had received, and I'm not going to go
9 over that here, because I think what I'm going to do is provide
10 their overview -- sort of their outline, and then some of the
11 feedback that our workgroup gave to them during our discussion
12 yesterday.

13 So, next slide. So, I have two slides with the
14 current outline of the document, so I just wanted to state
15 again here for you what the purpose of it was. So, there is a
16 lot of information on historically how labs have been
17 determining cutoffs. And, so that is described here as the
18 purpose.

19 Next slide. And, then there were these additional
20 sections. So, our overview of cutoff determination -- that's
21 going to be the sort of description of the general process,
22 historically has been used. Third section is cutoff
23 considerations for specific newborn screening disorders. And,
24 number four is monitoring and evaluating the cutoff. And, then
25 last is going to be the list of references.

1 Next slide. So, some of the feedback that our
2 workgroup was giving them based on the presentation was there
3 was some concern that the document was a little bit too heavy
4 on history and what's been done before. So, are we going to
5 need more -- another document that's a guideline, because we
6 felt that was missing. But, I think the suggestion was that we
7 felt there needed to be more information included in the
8 document about other methods that could be used to calculate
9 cutoffs, and that includes multiples of the medians and the
10 clear tool, pros and cons of historical as well as some of the
11 newer methods. So, I think the workgroup felt that if there
12 was a lot more information added to the guideline, that it
13 would be a guideline. Otherwise, it would be a little bit too
14 much of just a historical document.

15 The other ideas included incorporation more
16 information from the CAP checklist on cutoff determination in
17 this document.

18 Next slide. The other thing that was mentioned was
19 more of a discussion of using goals for sensitivity and
20 specificity when choosing cutoffs, and -- you know -- assessing
21 the impact of false positives and false negatives as you
22 consider your cutoff. And, that was something that we thought
23 could be folded into the document more. And, then factors that
24 impact cutoff determination. So, we know that states may
25 choose how well -- you know -- where there cutoff and what the

1 goals of the cutoffs are depending on -- for example -- if they
2 have second-tier testing. So, they might shift it to actually
3 have more false positives because they're going to use second-
4 tier testing. What conditions you're screening for. So,
5 different states have different goals in terms of -- you know -
6 - what their goal is in terms of what they want to capture.
7 And, then, of course, some states one screen versus two-screen
8 states also have different goals for their cutoffs. So, I
9 think that that was our main feedback that we gave Joe and his
10 group.

11 So, next slide. So, these are the next steps and
12 estimated timelines. The APHL Hemoglobinopathies Workgroup is
13 going to add some work this month, and the goal is to then send
14 out the draft and solicit feedback from the newborn screening
15 community including our workgroup in the next month or so, and
16 then incorporate that feedback from the community into their
17 final draft with the goal of presenting the draft to the
18 Committee in February.

19 So, it is -- you know -- it's going to be quite
20 tight in terms of getting -- you know -- sending it out and
21 getting workgroup and other group's input in the next month or
22 two.

23 Next slide. So, we did wind up having after the
24 presentation yesterday on the timeliness data -- so, one of our
25 workgroup projects has been to look at sort of the timeliness

1 data and assess -- you know -- and assess the data and think of
2 some of the impacts of -- for example -- what were the
3 consequences of improving timeliness, etcetera. And, so some
4 of these things we already sort of talked about yesterday, but,
5 obviously, once again we brought up the switch from 24 hours to
6 2 days in the NewSTEPS data collection for transport to the
7 lab. And, we had some other really interesting discussions,
8 and some of it we even touched upon earlier today in some of
9 the other workgroup things. So, looking outside Newborn
10 Screening Programs to assess the whole system. So, timeliness,
11 we really focused a lot on what our labs can do, but some of
12 the discussion has been about, well, what about downstream
13 short-term and long-term followup -- you know -- and things
14 like that.

15 There was also discussion about -- you know -- we've
16 really been focusing on reporting presumptive positive results
17 within 5 or 7 days, but if it's the weekend, and it's really
18 not a time-critical condition, then it might not make sense to
19 -- you know -- put the family in a lot of anxiety, calling them
20 immediately on the weekend, if it's something where -- you know
21 -- we don't need to get them to the ER immediately versus a
22 time-critical condition.

23 So, standards for other timeliness pieces, and this
24 has come up before. Obviously, we've even talked -- tried to
25 talk to Joint Commission about -- you know -- getting their

1 impact in some of our timeliness issues that we wanted -- not
2 just this Committee -- but for other groups to help us with,
3 and that's been a struggle, but that's come up again. And,
4 obviously -- you know -- once again, what is this set up for?
5 What is the goal? Are we meeting the goal, and how can we
6 improve?

7 So, Committee -- one of the thoughts of some of the
8 members was the Committee could consider recommendations for
9 other parts of the system outside of lab. I mean -- I think
10 that discussion we just had -- it played right into that. And,
11 also, can we link -- you know -- these improvements in
12 timeliness in improving outcomes? That's the big picture. Can
13 we do it? But, I think discussing like we just did about long-
14 term followup and measuring those pieces and the struggle to do
15 that -- you know -- sort of -- that would be hard to do.

16 Next slide. So, when we had unfortunately a very, I
17 think, 10-minute window to think about future projects. And,
18 the next slide, I think we have our -- this was our charge that
19 we've had for the workgroup -- you know. And, so we've looked
20 about lab procedures. We've looked at infrastructure and
21 services.

22 And, next slide. So, this is our -- you know -- the
23 last project that was assigned to us. So, first of all,
24 exploring the role of NexGen sequencing in newborn screening.
25 And, so we've had -- it's sort of been something we've been

1 monitoring. We've had presentations. We've had -- you know --
2 updates from things that are going on in NGS. And, I think
3 that the -- you know -- we still had some other things that
4 were coming up with NGS and even just generally molecular
5 testing in newborn screening that are still coming that we want
6 -- that we felt was still a role for us.

7 And, so the next slide has sort of updated our
8 proposal for project 1 would just be maybe even changing NGS to
9 molecular tests. And, so still understanding how molecular
10 tests are going to be used. And, we had heard -- not yesterday
11 but previously -- that there was some more discussion about
12 molecular first-line tests, and I've added that to the bottom.
13 Michael Watson has talked about some work that's being done.
14 We know the Insight projects are still ongoing, and it would be
15 great to hear an update. Molecular tests being added for
16 second-tier tests. And, I think -- the other thing we thought
17 of is also falling underneath this as well as we hear more
18 about other types of second-tier tests that are being
19 developed. And, obviously, use of tools and other things as
20 well. So, this was our idea to continue sort of project number
21 1, expand it a little bit, which I think in general sometimes
22 we do, because we still think that there's a lot of information
23 on molecular tests that's growing that our Lab Workgroup should
24 keep touch on.

25 Next slide. So, project 2. That was assigned to us

1 in 2016. It was reviewing the timeliness initiatives and the
2 data that was emerging. Unfortunately, the data really just
3 did emerge, so we have heard some talks from California -- for
4 example. They've published data on the implications of their
5 earlier specimen collection, and I think if there is additional
6 data, we can hear about that as well as considering --
7 continuing our discussions on timeliness and what states are
8 doing, and what we can do in terms of obviously laboratory and
9 maybe beyond.

10 So, next slide. So, we really felt that we should
11 continue to monitor timeliness. We did have some other ideas
12 that were brought up. We didn't have a lot of time to flush
13 them out. So, some of the discussion was -- you know -- as
14 states are bringing on the new conditions that are added to the
15 RUSP -- you know -- they are taking -- you know -- they are
16 obviously rolling out slowly in many states. So, some of the
17 discussion is does it make sense for us -- because we don't as
18 a Committee often talk about it -- does it make sense for the
19 Lab Workgroup to talk about barriers, and do we have a role in
20 discussing what is leading to the fact that there is very slow
21 uptake for some of these tests, and that obviously -- you know
22 -- pilots -- which has come up before -- we've had many
23 discussions about it, and obviously -- you know -- we have an
24 organization whose goal is to start doing more pilots. And, we
25 have a new Committee member on a workgroup who's in touch with

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that as well. But -- you know -- that's something we could
2 still maybe talk about, but I think we through projects 1 and 2
3 should continue unless people had thoughts about other things
4 we could do. So, that's it.

5 DR. JOSEPH BOCCHINI: Thank you, Kellie. This is
6 now open for questions, comments, and discussion. Natasha?

7 MS. NATASHA BONHOMME: Natasha Bonhomme. I have a
8 question about the presentation that was done around cutoffs.
9 Is that okay? I saw the outline, and I think that was really
10 helpful. In any of that, is there any discussion of how this
11 would be communicated out to the public or just out beyond kind
12 of those who are in the labs doing this work? And, I bring
13 that up because though the discussion of cutoffs has been
14 around for years, it really got taken to another level due to a
15 news article. And, so I'm just thinking in any of that, is
16 there a component of actually communicating it out to probably
17 those who have a lot of questions about what's happening with
18 cutoffs.

19 DR. KELLIE KELM: I think right now -- I mean -- we
20 didn't. I think mainly our focus was giving feedback to the
21 APHL sub-committee that was working on it. But, obviously, I
22 don't know if APHL or the Committee has thought about something
23 beyond the document right now.

24 DR. JOSEPH BOCCHINI: Susan.

25 DR. SUSAN TANKSLEY: Susan Tanksley. So, Natasha,

1 that's a good point, and I will bring it up to APHL and the
2 sub-committee, and we can talk in the Committee as to how
3 something like that -- how we might be able to accomplish
4 something like that.

5 DR. JOSEPH BOCCHINI: Dieter?

6 DR. DIETRICH MATERN: Dieter Matern. I think we
7 gave, however, a suggestion back to Dr. Orsini that the
8 document should include generally understandable language about
9 the terms that are used. And, my assumption would be that the
10 document would be available to the public online. Whether APHL
11 will make any special announcement, press release, whatever
12 when it's out there is a different question.

13 DR. JOSEPH BOCCHINI: Okay. Other questions or
14 comments? Dieter?

15 DR. DIETRICH MATERN: Dieter Matern again. You
16 mentioned, I think, the review of the RUSP. One of the things
17 that came to attention again at the recent APHL Newborn
18 Screening Symposium was that we still don't have on the website
19 -- the Committee's website -- an option to reclassify a
20 condition that is on the RUSP to either get it off the RUSP
21 completely to get it downgraded to secondary target, or
22 something upgraded to primary target. But, again, this is, I
23 think, important because at the APHL meeting, there was at
24 least one presentation, I believe, from Michigan about how they
25 get rid of SCAD deficiency and IVDH deficiency. So, I think

1 that is important, and I would rather have the states that want
2 to remove stuff come back here and basically ask for that in a
3 more official, formal approach so that we can have an evidence
4 review whether that should happen.

5 DR. JOSEPH BOCCHINI: Yeah, and I think that's a
6 good point. And, I think that probably we need an ADHOC
7 Workgroup of Committee members and others to address that
8 issue. And, I know we've talked about it, but I think you're
9 right. It needs to happen. So, that should be on our going-
10 forward agenda. Scott?

11 DR. SCOTT SHONE: Scott Shone. I've been toying
12 with whether or not to say this. But, I think Natasha's
13 comment gets at sort of something bigger that just sort of --
14 now being part of the process here has occurred to me -- is
15 that a lot of these projects are cross cutting. But, because
16 of the way the workgroups are structured, they tend to be a
17 little siloed. And, so timeliness ended up being a laboratory
18 -- Laboratory and Standards Workgroup topic, but there were
19 educational components that then the Laboratorians were tasked
20 with. And, there were followup -- you know followup issues
21 that -- but it still stayed in that workgroup. And, then
22 around cutoffs -- the same idea -- you know. And, I think -- I
23 haven't seen the document yet, so let me be clear. But, I saw
24 the slides that Joe presented yesterday, and it's -- it's
25 largely written by Laboratorians for Laboratorians. So, just

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 publicizing and communicating the existence of the document
2 doesn't mean that it's going to effectively communicate the
3 message of, this is why the system does what it does and how
4 it's been designed to function in an optimal screening fashion,
5 which is why just the simple historic perspective might not get
6 at the goal, because part of the challenge was -- is the way
7 we've been doing it the right way to continue doing it.

8 And, so I wonder -- and, this is not just a Lab
9 Workgroup issue -- but, I wonder if the Committee needs to
10 consider how to ensure that these endeavors were undertaken,
11 and Beth's comment about priorities really gets at that. A lot
12 of these things are cross cutting -- you know -- the paradigm
13 is completely changed in this system, right? It's no longer
14 PKU with medical food, which we still haven't gotten done or
15 galactosemia or whatever. It's -- it's much more complex.
16 And, until we start thinking about these as opposed to a lab
17 issue, a followup issue, an education issue, as a system issue
18 -- I'm sorry to sound like a broken record over the last two
19 days -- maybe I'm not going to be invited back in February.

20 [Laughter.]

21 But, the fact is, we want -- it sounds like we want
22 to move the ball forward substantially on these issues, not
23 because -- we don't want to, we need to. And, if we just think
24 about it as the Committee -- you know -- NewSTEPS, NewSTEPS
25 360, APHL, and all the groups were represented at the table --

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 not just this U-shaped table, but the tables out there -- then,
2 we're not going to have the significant outcomes we need for
3 the system.

4 And, so my recommendation is part of the process of
5 deciding what the priorities are for the workgroups will be,
6 how do we integrate the workgroups either whether it's at this
7 table, or how do they integrate, so that -- so that we get the
8 biggest bang for the buck. You know -- HRSA and the Regional
9 Collaboratives, now the Regional Genetic Networks -- there was
10 a requirement that there be multidisciplinary projects. You
11 know -- NIMAC did a great job of this over the last couple of
12 years. It was an honor to be part of that in my role in New
13 Jersey working with Michele and these cross-cutting projects
14 that helped -- it wasn't just one issue -- you know. It was an
15 issue that was tackled from many fronts. And, we were able to
16 get more done that way as opposed to we have a document, and we
17 educated some hospitals on how important newborn screening is
18 so that they actually package this right, but actually, oh, by
19 the way, the Secretary of Health -- you know -- thanks to
20 efforts by the Secretary of Health who understands how
21 important this is and established a timeliness Czar. Maybe
22 it's not just for newborn screening but for the entire public
23 health lab so that the benefit is shared while the cost is
24 minimized -- something like that. And, I think that's where we
25 need to go with our thought processes.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 DR. JOSEPH BOCCHINI: Thank you. Beth?

2 DR. BETH TARINI: This is Beth Tarini. So, I think
3 that I agree with you. We are siloed and have work -- I
4 believe -- because we have workgroups that have sort of been
5 created based on topics, not on problems -- largely. We have
6 had these ADHOCS as problems come up. So, I wonder if we need
7 to diminish in some way the roles of these standing workgroups,
8 which are created just based on an organizational cut and
9 aren't based on -- they're not problem focused. If they're
10 problem focused, they're focused on a problem that's been
11 prioritized, and then they are cross cutting because the
12 members involved have specific skills and/or content expertise
13 and/or connections related to that.

14 So, when you create AHOCS, you then create a
15 prioritized group, and you bring together by nature of the
16 creation a cross-cutting, multidisciplinary team. What I feel
17 like we're trying to do now is find projects and put them in
18 the buckets of the existing workgroups, and that is the system
19 we have designed -- this microsystem -- is potentially working
20 against us being successful. And, exactly as you point out, we
21 are actually not working like a system. We are working as
22 individuals trying to make it happen.

23 DR. JOSEPH BOCCHINI: Thanks. Mei?

24 DR. MEI WANG BAKER: Mei Baker. I just want to go
25 back to this document for the cutoff evaluation. I think the

1 intention is to provide a deadline because historically we do.
2 But, now how we systematically end up with some guidance and
3 some standardization -- that's the purpose. Also, I just -- I
4 really -- I'm glad actually that Scott mentioned that because
5 that is intention for the laboratory practice. I'm not so sure
6 how beneficial is it to the public, but I think public needs to
7 know the measurement it has been taking. I think that's
8 important.

9 The one thing -- I want to add on one thing is at
10 our meeting, we talked about looking at all the different
11 practices, and also we want to cross check with a certain like
12 a CRS document, and also even clear [inaudible] requirement.
13 So, this way we are really in the position because obviously
14 this discussion is the patient's safety, right? So, I think we
15 need some -- you know -- to follow certain procedures. We
16 talked about how you set a cutoff and what kind of tool you
17 use. You need to state in your [inaudible] require you to do
18 periodic assessment and monitor when you do that. I just
19 wanted to add this piece.

20 DR. JOSEPH BOCCHINI: Susan?

21 DR. SUSAN TANKSLEY: Susan Tanksley, APHL. So,
22 Jelili made me aware that there has been a 1-pager on the APHL
23 website regarding cutoffs since the issue came out many, many
24 months ago at this point. But, that is a document. So, it's
25 publicly available now, but it's something that we could use.

1 Mei is correct when she says that this is a technically written
2 document, and its intent and purpose was for the laboratories
3 and helping them to figure out what are the best ways to
4 establish cutoffs or reference ranges or whatever we're going
5 to call them. But, we can build off of what's existing now as
6 far as what's publicly available, and we can also work with
7 Genetic Alliance in some kind of document that we could share
8 so that it's available in multiple areas.

9 DR. DIETRICH MATERN: Dieter Matern. So, the
10 document that has been drafted or is almost ready and that we
11 discussed yesterday is really an overview of what -- how things
12 have been done, and it is pointing out to whoever wants to read
13 it what the options are to determine cutoffs and reference
14 ranges, and so on. I don't believe it is really -- it clearly
15 is not -- and, Joe said -- it's not an SOP as to how to
16 establish cutoffs and reference ranges, which -- I think -- is
17 really what laboratories need versus what it is. But, I think
18 that the idea was that that would be a subsequent document.
19 But, maybe that is something that our Committee should actually
20 take the lead on and try to put together.

21 DR. JOSEPH BOCCHINI: All right. Any additional
22 questions or comments? All right. So, the consensus again
23 would be to continue the work that you're doing, and other
24 comments? Okay. Thank you, Susan, and the rest of your
25 workgroup. Thank you.

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 So, we are actually 7 minutes ahead of time. All
2 right. So, we have an hour for lunch with a little extra 7
3 minutes, and we are going to promptly restart at 12:45. And,
4 since we've completed the discussions about each of the
5 individual workgroups and direction that they're going in,
6 we're going to start at 12:45 with the discussions panel on
7 SCID. Thank you.

8 [Lunch break]

9 DR. JOSEPH BOCCHINI: All right. Let's go ahead and
10 call the meeting to order. First item is roll call. So,
11 Kamila is not here. Mei Baker?

12 DR. MEI WANG BAKER: Here.

13 DR. JOSEPH BOCCHINI: Susan had to leave us early.
14 I'm here. Jeff had to leave early. And, Scott Grosse?

15 MR. SCOTT GROSSE: Here.

16 DR. JOSEPH BOCCHINI: Kellie Kelm?

17 DR. KELLIE KELM: Here.

18 DR. JOSEPH BOCCHINI: And, then Debi Sarkar for
19 HRSA?

20 MS. DEBI SARKAR: Here

21 DR. JOSEPH BOCCHINI: Dieter Matern?

22 DR. DIETRICH MATERN: Here, and thanks for the
23 chocolate. Thanks, Annamarie.

24 [Laughter.]

25 DR. JOSEPH BOCCHINI: Cindy Powell?

1 DR. CYNTHIA POWELL: Here.

2 DR. JOSEPH BOCCHINI: Melissa Parisi?

3 DR. MELISSA PARISI: Here.

4 DR. JOSEPH BOCCHINI: Annamarie Saarinen?

5 MS. ANNAMARIE SAARINEN: Here.

6 DR. JOSEPH BOCCHINI: Scott Shone?

7 DR. SCOTT SHONE: Present.

8 DR. JOSEPH BOCCHINI: Beth Tarini?

9 DR. BETH TARINI: Here.

10 DR. JOSEPH BOCCHINI: And, Catharine Riley?

11 DR. CATHARINE RILEY: Here.

12 DR. JOSEPH BOCCHINI: For Organizational

13 Representatives. Bob Ostrander?

14 FEMALE SPEAKER: His jacket is here, so I think

15 he'll be right back.

16 DR. JOSEPH BOCCHINI: Okay. All right. We won't

17 give him credit. Okay.

18 DR. JOSEPH BOCCHINI: Michael Watson?

19 DR. MICHAEL WATSON: Here.

20 DR. JOSEPH BOCCHINI: Britton Rink will not be

21 available this afternoon.

22 DR. BRITTON RINK: I'm here.

23 DR. JOSEPH BOCCHINI: Oh, you're there. Okay,

24 great. Thank you.

25 DR. JOSEPH BOCCHINI: Kate Tullis by webcast?

1 DR. KATE TULLIS: Here.

2 DR. JOSEPH BOCCHINI: Susan Tanksley?

3 DR. SUSAN TANKSLEY: Here.

4 DR. JOSEPH BOCCHINI: Chris Kus by webcast?

5 DR. CHRISTOPHER KUS: Here.

6 DR. JOSEPH BOCCHINI: Adam Kanis?

7 DR. ADAM KANIS: Here.

8 DR. JOSEPH BOCCHINI: Natasha Bonhomme?

9 MS. NATASHA BONHOMME: Here.

10 DR. JOSEPH BOCCHINI: Siobhan Dolan?

11 DR. SIOBHAN DOLAN: Here.

12 DR. JOSEPH BOCCHINI: And, then Cate Walsh Vockley?

13 DR. CATE WALSH VOCKLEY: Here.

14 DR. JOSEPH BOCCHINI: And, Carol Greene?

15 DR. CAROL GREENE: Here.

16 DR. JOSEPH BOCCHINI: Great. Thank you.

17 So, now we're going to begin a panel discussion on
18 the Clinical and Public Health Impact of Screening for SCID.
19 As you know, the Secretary approved the Advisory Committee
20 recommendation to add screening for SCID to the RUSP in 2010.
21 And, as of this past August, 47 Newborn Screening Programs
22 offer universal newborn screening for SCID, and the remaining
23 programs continue to work toward full implementation.

24 The Association of Public Health Laboratories
25 recently hosted a national SCID meeting for SCID newborn

1 screening stakeholders, in part to facilitate the strengthening
2 of relationships between the SCID clinical network and a
3 newborn screening community within each state.

4 So, we've invited three speakers to join us today to
5 provide information on the Public Health and Clinical Impact of
6 SCID Screening. And, as we did yesterday, we'll have the three
7 presentations, then open the floor for questions, comments, and
8 discussion.

9 Our first presenter will be Sikha Singh. She will
10 be offering an overview of the Public Health Impact of SCID
11 Screening and an update of where the states are with SCID
12 screening, summarizing APHL SCID Screening meeting. Ms. Singh
13 is the Manager of Newborn Screening and Genetics Operations for
14 the Association of Public Health Laboratories, and this has a
15 focus on Newborn Screening Technical Assistance and evaluation,
16 the NewSTEPS program. She joined APHL in 2009 from the Johns
17 Hopkins University, where she gained experience in high
18 throughput genomic sequencing and is a Certified Project
19 Management Professional.

20 I'm going to also introduce the next speaker so that
21 we can move right along and then let her get started. The
22 second presenter will be Adrienne Manning. She will be sharing
23 with us Connecticut's experience with bringing SCID on to the
24 panel. Ms. Manning is the Division Director of the Newborn
25 Screening Program at the Connecticut Department of Public

1 Health. She joined the Connecticut Department of Public Health
2 Laboratory in 2004 during the implementation of the Expanded
3 Newborn Screening MS/MS validation process. Ms. Manning was
4 responsible for evaluating, validating, troubleshooting a
5 variety of analytical assays and instrumentation for inclusion
6 and use in the Connecticut Newborn Screening Program, including
7 a screening method for SCID and X-ADL. She is also a member of
8 APHL's Newborn Screening Quality Assurance/Quality Control sub-
9 committee.

10 And, the third presenter in the panel will be Dr.
11 Lisa Kobrynski. Dr. Kobrynski will be covering the Clinical
12 Impact of SCID Screening. She is a Clinical Immunologist,
13 Director of the Jeffrey Modell Center for Primary Immune
14 Deficiencies. She has over 20 years of experience in the
15 diagnosis and treatment of infants with SCID and other primary
16 immune deficiencies. She is part of a team of investigators at
17 Children's Health Care of Atlanta, who participate in the
18 Primary Immune Deficiency Consortia.

19 So, I'm going to turn this over to Ms. Singh. Thank
20 you.

21 MS. SIKHA SINGH: Good afternoon, everyone. Thank
22 you for that introduction. Can everyone in the back hear me?
23 Yeah. Awesome.

24 So, I want to thank the Committee for the
25 opportunity to share some of the Public Health Impact of Severe

1 Combined Immunodeficiency in Newborn Screening. I'll be
2 talking about some of the NewSTEPS data demonstrating this to
3 get SCID screening across the country as well as summarizing
4 some of the lessons learned from the recently held SCID
5 National meeting.

6 Joshua Miller gave a nice overview yesterday of
7 NewSTEPS describing it as the Newborn Screening Technical
8 Assistance and Evaluation Program. It's a HRSA-funded program
9 to APHL -- the Association of Public Health Laboratories -- in
10 collaboration with the Colorado School of Public Health. We
11 function with three main goals, the first being facilitating
12 communication and collaboration within the newborn screening
13 community. The second being operating a data repository to
14 facilitate continuous quality improvement as well as data-drive
15 outcome assessments. And, the third being to serve as a
16 technical assistance resource center.

17 In addition to the activities of NewSTEPS, APHL was
18 also funded by HRSA in 2014 to offer technical and financial
19 assistance to help expand the capacity of state Newborn
20 Screening Programs to implement SCID.

21 I would like to mention that this was not an
22 isolated funding opportunity. In fact, prior to as well as
23 following the addition of SCID to the RUSP, there have been a
24 number of national and multiagency initiatives around SCID
25 newborn screening, including through the NIH, the CDC, as well

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 as other HRSA-funded activities.

2 However, while the implementation of SCID newborn
3 screening at the state level has been steady, at the time of
4 funding announcement, you'll see the date on the press release
5 of September 2014. Less than half of states were screening for
6 SCID.

7 The key component of this particular funding
8 opportunity was that APHL issued a competitive RFP and
9 eventually funded 11 states and 1 organization, the Immune
10 Deficiency Foundation, to receive financial support for SCID
11 implementation, education, and network-building activities.
12 These programs were Alabama, Arizona, Hawaii, Kansas, Kentucky,
13 Maryland, North Carolina, North Dakota, Puerto Rico, Tennessee,
14 and Utah.

15 So, where have we been, and where are we with regard
16 to universal screening for SCID. Prior to the inclusion of
17 SCID on the Recommended Uniform Screening Panel, Wisconsin and
18 Massachusetts were the early adopters of SCID newborn screening
19 in 2008 and 2009, respectively.

20 In 2010, when the disorder was added to the RUSP,
21 California and New York implemented SCID newborn screening, as
22 well as the Navajo Nation and Arizona.

23 As time progressed, additional states began to
24 onboard SCID newborn screening. I want to call to your
25 attention that on these maps, there are some nuances that are

1 not captured. Some states who are not screening are still
2 pursuing authorization or funding or performing validation and
3 pilot studies to get closer to screening for SCID. These
4 nuances -- while not well depicted on this map -- are available
5 in the NewSTEPS data repository and have been carefully brought
6 out and vetted by the NewSTEPS Committee to ensure that the
7 picture we provide to the data is more than just binary and
8 accounts for various stages that fall within the spectrum of
9 not screening to screening.

10 By 2014, just about half of the states were offering
11 universal screening for SCID. As noted, it was at this time
12 that APHL awarded financial support to 11 states. Those are
13 denoted by a gold star on this map.

14 In 2015, more than half of states were offering
15 universal screening for SCID.

16 By 2016, more than 75% of newborns were screened for
17 SCID.

18 As of current, 94% of newborns are screened for SCID
19 in this country. In the time that has elapsed since 2014, 23
20 additional states have begun universal screening, and in the
21 time that's elapsed since 2010, 48 SCIDS -- 48 states, rather
22 are now offering universal screening for SCID.

23 I want to mention that in the end, the denominator
24 here is 53. We're including all 50 states, Puerto Rico, the
25 District of Columbia, and Guam.

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 So, in July 2015, APHL held -- or NewSTEPS rather --
2 our first SCIT National meeting, which addressed current
3 challenges faced by State Newborn Screening Programs in
4 implementing SCID including the intervention of new technology,
5 laboratory staffing to conduct screens, clinical followup
6 capacity, funding for personnel, equipment, and education, as
7 well as legislative and statutory mandates.

8 The audience during this National meeting was
9 laboratory and followup staff from Newborn Screening Programs,
10 and at the time of this meeting, 35 states were offering
11 universal screening for SCID.

12 Two years later, we've come pretty far with 48
13 states now offering universal screening for SCID.

14 In August 2017, it was an opportune time to hold
15 another meeting. This time, we engaged both the newborn
16 screening community as well as clinical stakeholders to
17 strengthen the Clinical Referral Networks within Newborn
18 Screening Programs and each state and region.

19 I'll take the next few minutes to talk a little bit
20 about what we learned from this meeting.

21 So, as we know, SCID is unique from the previous
22 disorders that have been added to the RUSP in that it requires
23 molecular methodologies to conduct the test -- the screen. I
24 have mixed feelings about this slide. It simultaneously makes
25 me chuckle and also gives me stress-related flashbacks. Prior

1 to joining APHL, as Dr. Bocchini said, I worked in a high
2 throughput sequencing facility, and every day, we ran PCR
3 reactions, and while we definitely trust the science, we also
4 prayed to the PCR Gods every day.

5 [Laughter.]

6 The introduction of PCR into a newborn screening
7 laboratory was certainly not a trivial addition.

8 During the meeting in August, we focused on the
9 unique challenges associated with this testing, as well as
10 followup policy and education, their challenges, barriers, and
11 opportunities. Barriers for newborn screening SCID policy are
12 not unlike the challenges faced when adding other disorders to
13 the RUSP. This includes obtaining state legislative mandates
14 when needed and also acquiring fee increases.

15 Of the 11 APHL-funded SCID awardees, 9 required a
16 fee increase in order to support SCID implementation, while 1
17 of those 11 states has no newborn screening.

18 During the meeting, states also discussed the
19 challenges associated with insurance coverage for confirmatory
20 DNA analysis as well as for coverage after diagnosis.

21 Regarding testing, we know that among the primary
22 various implementations of newborn screening for SCID, is a
23 lack of funding to support laboratory requirements to bring on
24 the necessary molecular tests. Many states face barriers to
25 implementation of this methodology due to the lack of trained

1 staff and inadequate space to incorporate a molecular workflow.

2 Of the 11 funded APHL SCID awardees, 8 required
3 modifications to their laboratories; 2 of the 11 states don't
4 perform their own testing in house, and, one of the
5 laboratories has recently moved to a new location and therefore
6 are not requiring immediate modifications.

7 There is also variability in the algorithms used
8 across states. About 30% of programs currently use the FDA-
9 approved kit, and about 70% of programs utilize a laboratory-
10 developed test, an LDT. Of the 11 SCID -- APHL-funded SCID
11 awardees, 3 awardees utilize the FDA-approved kit, 7 used an
12 LDT, 1 program used both. They initially began screening with
13 an FDA-approved assay, and the plan to move to an LDT later on
14 -- early next year, rather -- in order to support multiplexing
15 for potentially SMA.

16 Molecular testing has also posed a unique challenge
17 regarding the interpretation of these results for followup
18 programs. Additionally, due to the lower frequency of SCID
19 compared to other disorders seen by other groups of
20 specialists, there is a paucity of pediatric immunologists
21 across the nation. Establishing Clinical Referral Networks and
22 facilitating relationships between program staff and clinicians
23 was a benefit of this meeting.

24 States also perform and define short- and long-term
25 followup differently within their programs. Following a

1 newborn after treatment and tracking their progress was also
2 discussed at this meeting.

3 We've been working with the ACMG Newborn Screening
4 Translational Research Network to consider common data elements
5 that can bridge the gap between short- and long-term followup
6 and to understand the varying databases that currently exist
7 for immune deficiencies.

8 We've also worked with clinical experts in
9 establishing public health surveillance case definitions so
10 that we can facilitate consistent classification of diagnoses
11 across Newborn Screening Programs.

12 During this meeting, there was also conversation led
13 by the clinical community about harmonizing diagnostic
14 terminology, idiopathic versus variant, classic versus typical.

15 Regarding education, discussion occurred around
16 developing educational and awareness materials and campaigns
17 for families, clinicians, patient advocacy, and support groups.

18 We've worked closely with the Immune Deficiency
19 Foundation as well as the Genetic Alliance, Baby's First Test
20 to ensure that programs know about these resources that have
21 been developed by these and other organizations.

22 At this meeting, we also discussed that while SCID
23 is not categorized as time-critical by this Committee, it is,
24 in fact, time-sensitive and timeliness remains an important
25 factor in positive outcomes for SCID newborns.

1 At the end of the SCID in-person meeting, Newborn
2 Screening Programs had the opportunity to sit down with their
3 state immunologists and reflect on existing issues and identify
4 priorities for moving forward.

5 The following priorities on this slide were
6 identified, covering issues as they relate to legislative
7 barriers through the newborn screening system and through
8 clinical treatment.

9 These priorities worked toward the uniform goal of
10 improving outcomes for individuals with immunodeficiencies from
11 birth through development.

12 My colleagues, Adrienne Manning from Connecticut,
13 and Dr. Lisa Kobrynski will speak shortly about some of these
14 considerations.

15 I want to end by reminding everybody that NewSTEPS
16 in collaboration with the NBSTRN host bi-monthly webinars for
17 SCID education. I also encourage everybody to go to
18 newsteps.org and continue to visit the infographics and state
19 profiles available there if you have questions about the
20 evolving status newborn screening for SCID and for other
21 disorders as well.

22 Thank you for your time.

23 [Applause.]

24 DR. JOSEPH BOCCHINI: Thank you, Sikha. I
25 appreciate the presentation. We'll get you back up here after

1 the other speakers.

2 Okay, next we have Adrienne Manning, and Adrienne is
3 with us by telephone. Can you hear us, Adrienne?

4 MS. ADRIENNE MANNING: Yeah, I can hear you fine.
5 Can you hear me?

6 DR. JOSEPH BOCCHINI: Okay. We can hear you, so go
7 right ahead.

8 MS. ADRIENNE MANNING: Okay, great. I just want to
9 thank the Committee for the opportunity to speak today. I'm
10 going to be talking about Connecticut Newborn Screening for
11 SCID.

12 Next slide, please. So, a little bit about the
13 Connecticut Newborn Screening Program. We are a legislatively
14 mandated program, and we operate under the Connecticut General
15 Statute 19A55. We screen about 99.9% of newborns born in the
16 state of Connecticut, and that's about 37,200 newborns born
17 every year for 64 different disorders. Cystic fibrosis
18 screening is not conducted through our Newborn Screening
19 Program at the Department of Public Health. It's conducted
20 through UCONN and Yale Laboratories.

21 Next slide, please. This is a timeline for when we
22 implemented the various testing for the different disorders.
23 We started screening in 1964 for PKU, and our most recent
24 disorder adrenoleukodystrophy -- X-linked adrenoleukodystrophy
25 was added in 2016. We were mandated in 2011 to start screening

1 for SCID.

2 Next slide, please. So, our program has two
3 components to it that overlap quite a bit. We have the
4 laboratory end of things and then the Newborn Screening Short-
5 term Followup and Tracking Program. The laboratory
6 responsibilities include to receive, log in, sample quality
7 evaluation, creating the work list, punching the samples into
8 96 little plates, the sample preparation, instrument
9 maintenance and setup, sample interpretation, and then the
10 reporting of any abnormal results to the Newborn Screening
11 Tracking Group.

12 Next slide, please. So, the short-term followup and
13 tracking responsibilities are -- part of the responsibilities
14 include ensuring that all infants are screening for the newborn
15 screening disorders that we screen for, reporting out abnormal
16 results -- so either requesting a repeat newborn screening
17 specimen or referring the infant to a Regional Diagnostic
18 Treatment center. They maintain and report the statistics for
19 the program, and they collaborate with a number of different
20 individuals from the hospital and Birthing Center staff all the
21 way to Diagnostic Treatment Center staff, primary care
22 providers, and parents.

23 Next slide, please. So, the challenges for
24 implementation of a molecular screening test in a Newborn
25 Screening Program really come down to three basic components of

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 - the Jeffrey Modell Foundation -- that was quite active in the
2 state encouraging us to start screening for SCID. They sent
3 letters to the Governor at the time. They sent letters to the
4 laboratory staff and management. They sent letters to me.
5 They did radio interviews. And, our lack of starting testing
6 screening wasn't because we didn't want to test for SCID. It
7 was that we didn't have the means to test for SCID.

8 So, next slide, please. So, moving forward, in
9 2010, SCID was added to the RUSP. In Connecticut, things were
10 getting worse. Mid 2010 to 2011, we were now down to 6
11 laboratory staff. And, in 2011 a January Senate Bill 543, an
12 Act Providing Newborn Screening for Severe Combined
13 Immunodeficiency Disease was proposed. It passed in July with a
14 mandated start of October 1st, 2011. They didn't give us a
15 whole lot of time.

16 So, we started choosing the method. At that time,
17 we chose the CDC's in situ method and started putting in the
18 equipment requisitions for what we needed in July and started
19 some method development in July as well. In August, we went
20 down to the CDC for the preparation of the testing calibrator
21 and control reference materials, and we began the validation in
22 October.

23 So, all infants born as of October 1st, 2011 have
24 been screened for SCID, and our official start date was January
25 1st, 2012.

1 Next slide, please. Though we chose the CDC in situ
2 method for the three reasons that we had to go by -- and that's
3 funding, staffing, and space -- so, the cost of the test is
4 relatively inexpensive compared to some of the other methods.
5 It's about \$80,000 in instrumentation costs, about \$10,000 in
6 ancillary costs. We made our own QC Reference Materials at the
7 CDC, so we didn't have to pay for that. For staffing, this
8 method really doesn't require a lot of staffing, and it doesn't
9 require a lot of specialized staffing because -- as I said
10 before -- we only had 6 newborn screening staff, and we had no
11 staff that were familiar with current molecular biology or PCR
12 methodologies available to us.

13 We had a Master student intern that was available
14 through UCONN that was going to come in and help us with the
15 implementation of the screening method, but, other than that,
16 we were really on our own. And, this method, though, doesn't
17 require DNA extraction, so it's -- it's a little bit of an
18 easier method.

19 For space, this method requires minimal space. As I
20 said, there's no DNA extraction required. So, less space is
21 needed. And, that's a good thing. But, we didn't have any
22 space at all within the Newborn Screening Laboratory, so we
23 needed to be creative. Initially, space was provided in
24 another laboratory next door -- the Serology Laboratory. They
25 emptied out a storage closet and converted it to a very small

1 sample preparation area. We put a dead air box in there for
2 the preparation of our primers and probes and Master mix, and
3 this area contained all of our pre-PCR steps and equipment.
4 And, then we were given about 4 feet of benchtop space in the
5 Serology Laboratory for our strategy and PCR equipment for the
6 analysis. And, we were also able to borrow some equipment from
7 another laboratory to increase the amount of samples that we
8 could analyze for the validation at one time.

9 Next slide, please. So, the CDC in situ method that
10 we use is an 8-point dried blood spot, B-TRECs calibration
11 curve. It's prepared using T-lymphocyte depleted blood with
12 aliquots of a human Epstein-Barr virus transformed B cell line
13 that contains a single copy of Trek per cell. So, you get a
14 nominal concentration of TRECs copies per microliter because
15 we're adding a known number of cells to that blood.

16 So, our calibration curve goes from 1500 TRECs
17 copies per microliter down to 8 TRECs copies per microliter.
18 And, we also have quantitative and qualitative QC Reference
19 materials. We use the Perfecta Multiplex Reaction Cocktail for
20 the PCR amplification. We use the Qiagen purification solution
21 1 and DNA elution solution 2 for the sample preparation, and
22 our primers and probes we have for both TREC and our controlled
23 DNA RNaseP, and those are listed down in the right-hand corner
24 table of the slide.

25 Next slide, please. So, a little bit about the

1 method. It's very easy. We punch 2-millimeter dried blood
2 spot samples into PCR tubes or PCR plates. We add 125
3 microliters of the DNA purification solution S1, shake for 15
4 minutes at room temperature, and remove that S1 solution and
5 discard it. Then, to the washed blood spots, we add 125
6 microliters of the DNA elution solution S2. Shake for 5
7 minutes at room temperature.

8 Next slide, please. And, then we remove and discard
9 the wash buffer S2 and add 15 microliters of the qPCR Master
10 Mix to the samples. We seal the plates. We put them on the
11 strategy and MX3000 PE instruments and run them with the method
12 that's listed there on the slide. It takes about 2 hours.
13 And, then we analyze the qPCR data. We check the QC results
14 and report out the newborn screening results.

15 Next slide, please. So, as I said, we had an intern
16 from UCONN that assisted with the method validation due to our
17 staffing shortages. And, we also had -- we set up before we
18 started doing patient samples -- sample analysis -- a meeting
19 with our clinical immunologist, which at the start of this, we
20 had no idea who that would be. But, we spoke to the CDC and
21 Dr. Lisa Kobrynski, and she pointed us in the direction of an
22 immunologist within the State of Connecticut that could help us
23 with this launch of our method.

24 We met with the immunologist to talk about
25 guidelines for followup for possible true abnormal findings

 OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 during the validation and to set a lower limit action level for
2 TREC recovery where we would refer the infant for flow
3 cytometry.

4 Our patient sample population started after accuracy
5 and precision studies were done. We used samples that were
6 received between October 3rd, 2011 and November 15th, 2011.
7 So, it's more than 4,400 samples that we used. We also had the
8 New England Newborn Screening Program in Massachusetts assist
9 us with a second analysis of anything that might be potentially
10 abnormal because they have a very well-established and
11 validated method, and we had guidance through Massachusetts,
12 the CDC, and the Wisconsin Newborn Screening Program during the
13 validation process and afterward.

14 Next slide, please. So, I'm not going to go through
15 this slide in great detail, but these are some of our results
16 from the validation. We analyzed 4,457 samples for our
17 validation. We initially set the cutoff very high so that --
18 we didn't know where abnormal would fall, and we didn't want to
19 miss anybody. So, we set our cutoff for all gestational ages
20 at 55 TREC copies per microliter. And, then our initial post-
21 validation cutoffs we broke down into full-term infants,
22 infants greater than or equal to 37 weeks gestation, we had a
23 cutoff of 40 TREC copies per microliter. And, for our premie
24 infants or those born at less than 37 weeks gestation, we had a
25 cutoff of 25. And, then we further refined it and dropped it a

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 little bit for the full-term infants and kept it where it is
2 for the pre-term infants for our cutoffs. So, those are our
3 current cutoffs there at the bottom of the table.

4 We had 5 full-term infant samples that we sent to
5 Massachusetts for analysis during the validation of our patient
6 population; 4 of them came back normal, and 1 of them confirmed
7 as a SCID during the validation.

8 Next slide, please. So, this is our current testing
9 information or algorithm. I'm not going to go through this in
10 great detail either, but we do set cutoffs for full-term and
11 pre-term infants as well as the control DNA for whether or not
12 the sample results are valid. We have a lower limit cutoff of
13 less than 10 for either preemie or full-term infants -- 10
14 copies per microliter for TREC. If less than 10, those infants
15 get referred to flow cytometry. If we don't get any
16 amplification -- same thing. They get referred to the
17 Diagnostic Treatment Center for flow cytometry.

18 Next slide, please. These are some of the results
19 from what we've obtained since -- between 2011 and 2017. We've
20 analyzed about 221,554 infants with this method. We have 3
21 confirmed SCID patients. We have some DiGeorge infants that
22 have been identified, and a lot of T-cell lymphopenia, and a
23 lot of those are due to prematurity.

24 So, at the time of our launch, our NICU algorithm
25 was produced by the immunologist in the state to give to the

1 NICU physicians to sort of guide them for what to do with the
2 results that we were sending them for these really tiny babies.

3 Next slide, please. So, as I said, we have three
4 confirmed SCID infants. They are on this slide here. They are
5 all doing -- they've all been transplanted. They're doing
6 great. We also had the opportunity to participate in a
7 publication in JAMA with Dr. Jennifer Puck, Newborn Screening
8 for Severe Combined Immunodeficiency in 11 Screening Programs
9 in the United States, which was pretty neat as well.

10 Next slide, please. So, everything was going along
11 fine until mid-2014 when we started having problems with our
12 assay. And, the graph on the left-hand side of the screen
13 shows you what the amplification plots really should look like
14 -- everything should be closely clustered as it goes through
15 the amplification process, and the right-hand side of the slide
16 shows you what we were seeing -- so, very dispersed, and we
17 were unsure what was going on.

18 Next slide, please. So, we were multiple-plate
19 analysis failures. We were 14 days of sample analysis backlog.
20 It was very frustrating. We contacted and collaborated with
21 the CDC Newborn Screening and Molecular Biology Program. We
22 called Dr. Francis Lee and Jennifer Taylor was there at the
23 time and Golriz as well, and we went through troubleshooting
24 and eliminating potential causes of what we were seeing. So,
25 we eliminated all the things on the right-hand side of the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 slide in gray. And, they actually came out to the laboratory
2 and sent equipment up to the laboratory too. And, we found
3 that the culprit was actually a shaker -- a plate shaker that
4 we had been using for 3 years day in and day out. It wasn't
5 especially a high-quality shaker, but it was doing the job, we
6 though. But, then it stopped doing the job that it was
7 supposed to do, and it was an easy fix. We bought new shakers
8 that have worked really well. So, we solved the problem, we
9 caught up with our backlog, and it went pretty quickly.

10 Next slide, please. We further improved our SCID
11 Newborn Screening Program in Connecticut by moving to a new
12 laboratory space in 2012. So, we're not operating out of a
13 storage closet anymore. We were able to purchase and also got
14 extra additional instrumentation from other laboratories that
15 stopped using the platforms that we currently are using, and we
16 also were able to hire additional staff.

17 And, then in December of 2014, we had the Molecular
18 Assessment Program come in and look at our program, and it was
19 really a great visit and allowed us to reconfigure the
20 laboratory SCID testing area as well as the other molecular
21 areas for our program. And, it helped us refine what we were
22 doing, which is always helpful.

23 So, in summary, Connecticut SCID Newborn Screening
24 launch was successful; however, it wasn't without challenges.
25 So -- you know -- now for the method choice in 2011, there were

1 limited choices and there were no commercial methods available.
2 The choice was between DNA extraction methods or in situ
3 method.

4 Currently, both commercial kits and LDTs are
5 available for laboratories to choose from, so there are more
6 choices to allow laboratories to choose between an FDA-approved
7 kit or LDTs based on their technical expertise or convenience
8 or any other parameters that they take into account.

9 In 2011, Connecticut had no expertise with PCR, so
10 we chose the least complicated method, and it's worked really,
11 really well for us.

12 For staffing, we didn't have any experience with PCR
13 methods, but there's a lot of support and help that was
14 available and given by other newborn screening laboratories, in
15 particular Massachusetts and Wisconsin, and the CDC was there
16 to assist us for the start of SCID testing and continuing with
17 that.

18 We chose a method that was easier and required very
19 little time to complete, so that also helped.

20 With space, it was necessary to be creative and
21 innovative to identify and set up the minimal amount of space
22 for the pre-PCR and post-PCR areas for carrying out this
23 procedure, and that was an interesting time.

24 And, then for funding. For the types of assays that
25 are now available, the commercial kits or LDTs, LDTs are

1 probably generally still less expensive, and the sharing of
2 equipment with another laboratory could reduce the initial
3 amount of money that's needed to start SCID testing.

4 Next slide, please. I just want to acknowledge all
5 the people that helped us during the launch and have helped us
6 since. The Connecticut Newborn Screening Program is a
7 wonderful group. They're hard workers, and I'm proud to be a
8 part of them -- our Yale immunologist past and present, our
9 CCMC immunologist, the Molecular Assessment Program, Suzanne
10 Cortavato [phonetic], CDC Molecular Quality Improvement Program
11 and Christopher Green was just wonderful. Rachel Lee from
12 Texas has helped us a great deal. Tim Davis from Washington
13 has helped us a great deal. Gui Su [phonetic] from APHL has
14 been just great. The CDC Newborn Screening at Molecular
15 Biology Branch led by Dr. Carla Cuthbert and Bob Vo, Francis,
16 Golriz, and Jennifer who is now at RTI -- they're just a great
17 group. The New England Newborn Screening Program really has
18 helped us with SCID and given us ideas for other molecular-
19 types of testing that we can do as well as the Wisconsin
20 Newborn Screening Program. They've also -- Dr. Mei Baker has
21 given us a lot of ideas. And, I want to thank our University
22 of Connecticut intern.

23 Next slide, please. Thank you.

24 [Applause.]

25 DR. JOSEPH BOCCHINI: Thank you, Adrienne, very much

1 for that presentation. We're now turning to the next
2 presentation and we'll get the slides up for Dr. Kobrynski.
3 Lisa, your slides are up. Okay. We can hear you. So, go
4 right ahead when you're ready. Thank you.

5 DR. LISA KOBRYNSKI: So, I appreciate the
6 opportunity to speak to the Advisory Committee. And, I'm sort
7 of an unusual person in this field in that I am a clinician. I
8 treat patients with severe combined immune deficiency, but I
9 also have a Master's in Public Health, and I have inhabited
10 part of the public health world for a good bit now. I work
11 with the Newborn Screening branch at the CDC as well. So, I'm
12 coming to you to talk a little bit about the clinical impact of
13 SCID, realizing that a lot of this stuff was sort of surmised
14 at the onset and really sort of the push for even doing
15 screening for SCID came out of this publication by [inaudible]
16 in the Newborn Screening Translational Research Network.

17 Can you hit next slide, please, so you can get up
18 the two graphs. And, this publication, which was published in
19 2014 looked at this database to look at the outcome of children
20 who had severe combined immune deficiency and what happened to
21 them after transplant. And, this was a multicentered group of
22 centers who reported their outcomes data and collected data on
23 the age at transplant, the complications, as well as the types
24 of transplants that were done. And, what was the most striking
25 finding from this paper, really, was the fact that if you were

1 translating a child when they were quite young -- meaning less
2 than 3-1/2 months of age at this study -- 94% survived at 5
3 years, which was a very marked improvement. But, what was also
4 equally interesting was the fact that even if you were over 3-
5 1/2 months of age at the time of transplant, if you had had no
6 infections prior transplant -- so, in other words, you were
7 asymptomatic before you went to transplant -- you did almost as
8 well. Their survival rate was about 91%. So, that really
9 drove home the importance of early intervention and early
10 transplant for infants with severe combined immune deficiency,
11 and that impacted their outcomes.

12 And, what was important was that it wasn't just
13 dependent on -- or it was dependent mostly on the age, and it
14 wasn't necessarily dependent on the type of transplant. So,
15 whether the donor was a sibling or an MSD -- which is a matched
16 sibling -- or they were a mismatch related donor like a parent
17 -- it was the age that really made the difference. So, you
18 could do well regardless almost of who your donor was.

19 Next slide. So, the next thing was then looking at
20 what happened to some of the states who actually did screening.
21 And, so this was alluded to previously that there was a
22 publication in JAMA from Dr. Puck and others from 10 states
23 plus the Navajo Nation.

24 Now, the Navajo Nation is kind of unique in that
25 they have a very high incidence of a particular type of SCID.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 So, they've been screening with California since early on.
2 And, in this publication, they reported data from the screening
3 of over 3 million infants, so that was a very large number of
4 infants. And, it was population-based screening because it was
5 all the infants that were born in those states. And, a key
6 finding from this paper was the fact that there were 52 cases
7 of SCID that were identified and confirmed, which gave them a
8 birth prevalence for population incidence of 1 in 58,000. And,
9 as alluded to previously, published reports previously
10 estimated that the birth problems or incidence of SCID at 1 in
11 100,000, but all of those publications were based on center
12 reporting or individual hospitals reporting. And, so,
13 obviously, it was not population-based. So, for the first
14 time, we actually have a population-based measurement. And, lo
15 and behold, the birth prevalence of SCID was actually double
16 what we had thought. So, that was a very important message
17 because it meant that we were missing cases of SCID. And, this
18 increased the importance of the newborn screening.

19 So, consistent with findings of the Newborn
20 Screening Translational Research or the Primary Immune
21 Deficiency Translational Research Network and the Transplant
22 Consortium was that survival was still consistently fairly
23 good. So, overall, 45 out of 52 survived, but only 49 of them
24 went to transplant, and of the 49 who went to transplant, 45
25 survived. And, so that gave you a survival of 92% for those who

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 were treated.

2 But, another finding of this, which was not entirely
3 unexpected, was the number of non-SCID T-cell lymphopenias that
4 occur. So, this TREC testing is a test that does identify low
5 T-cells or T-cell lymphopenia. It is not confirmatory or
6 diagnostic for SCID. So, we knew that there were other
7 conditions that caused infants to have very low T-cells at
8 birth, including syndromes like DiGeorge syndrome, where they
9 have a defect in their thiamine and also some other conditions.
10 But, there were other conditions that we identified that we had
11 not necessarily anticipated. And, those were actually -- those
12 conditions turned out to be pretty frequent for that 1 in
13 14,000 infant. And, among those, DiGeorge syndrome led the way
14 and still tends to lead the way in terms of the most number of
15 infants that identify with a non-SCID T-cell lymphopenia, but
16 followed also by Trisomy 21, Trisomy 18, ataxia-telangiectasia,
17 which is another primary immunodeficiency that results in a
18 combined immune deficiency and generally is not diagnosed until
19 these children are much older when they start to show signs of
20 ataxia and they start to have the telangiectasia.

21 Another syndrome was identified, one which we had
22 not known previously caused significant T-cell lymphopenia from
23 birth, and that's Jacobsen syndrome, and there were many others
24 where there were just single cases, but these core groups of 5
25 or 6 diagnoses were ones that have been seen over and over

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 again. And, so these are important in the sense that these are
2 other conditions where we possibly have a chance to intervene.

3 So, what we learned from this very early paper was
4 that while the birth prevalence was much more common and that
5 there were a lot of other conditions that we needed to consider
6 when we were trying to do diagnostic testing.

7 So, next slide, please. So, this was followed up by
8 some individual state data of a little longer duration. In
9 Wisconsin, that was the first state to initiate newborn
10 screening, reported on their experience from 2008 to 2011 with
11 5 cases of SCID identified from 207,000 -- 208,000 births, and
12 that gives an approximate birth problem of 1 in 41,000 -- so a
13 little bit more common than what we thought in the 11-state
14 data. And, then they had an additional number of patients with
15 DiGeorge syndrome. Also, they described several patients with
16 something we call idiopathic T-cell lymphopenia. So,
17 idiopathic T-cell lymphopenia implies an infant that is born
18 with a T-cell count that is markedly below normal -- so, more
19 than 2 standard deviations below normal, but without the
20 associated infections and complications that we would typically
21 see with severe combined immune deficiency. And we've seen
22 that in the New York data, that they've also reported -- other
23 states have also now reported this. And, again, the impact of
24 this for screening programs has been on the followup end when
25 we are doing diagnostic evaluations, and we are categorizing

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 infants and then ultimately deciding how to treat them, we have
2 to be sure that we diagnose them correctly.

3 So, out of Wisconsin's patients, 4 out of 5 were
4 transplanted, and the fifth one was on a PEG-ADA, which is a
5 synthetic adenosine deaminase enzyme replacement and all were
6 alive and well.

7 New York also published their experience, and they
8 had 9 diagnosed cases of SCID out of nearly 500,000 births, and
9 that gave them a birth problem of 1 in 54,000 patients. Again,
10 they saw a good number of patients with idiopathic T-cell
11 lymphopenia, and there were a variety of other syndromes that
12 were similar to what's been seen in other states. Similar to
13 Wisconsin data, 8 out of 9 have been transplanted, and 1 was on
14 synthetic ADA, and all were alive and well.

15 And, then California, which has the lowest births
16 per state in the Union, reported 26 cases of SCID from
17 California alone and 6 from other states. Now, early on,
18 California did receive samples from some other states for
19 screening. They still received some samples from the Navajo
20 Nation, so the population denominator is not known for this
21 series. Nonetheless, out of the cases that were identified,
22 94% were alive at the time of publication.

23 And, one other thing that is important to note. The
24 idea with transplantation for severe combined immune deficiency
25 is that it's potentially curative for immune deficiency. The

1 exception has been -- and, this has been not really changed by
2 screening -- but, certain types of severe combined immune
3 deficiency -- particularly one that is inherited through the X
4 chromosome -- the IL-2 receptor gamma chain or IL-2 RG --
5 results in children who may have T-cell function restored, but
6 do not have B-cell function restored. So, in terms of long-
7 term outcomes or clinical impact, what that means is those
8 children are still likely to receive benefit of early
9 transplantation and reduced infection and better outcomes from
10 transplant; however, it has not appeared to change our ability
11 to correct the B-cell defect in those children, and that may
12 require further advancement in the transplantation therapies
13 that we use now, and that's being worked on.

14 So, that -- when you say that transplant outcomes,
15 all of them had T-cell reconstitution -- well, that's actually
16 critical, because if they don't, then they basically have no
17 correction, and they will not continue to survive, and 50% with
18 B-cell reconstitution -- accounting for some of those patients
19 with certain types of severe combined immune deficiency --
20 where there are difficulties getting good B-cell function to
21 return.

22 So, an important element from the cases in
23 California was looking at the different subtypes of severe
24 combined immune deficiency. So, in severe combined immune
25 deficiency, we have identified about 14 different genetic

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 causes for this syndrome, and all of these genetic causes
2 result in an absence of T-cells with pretty severe combined
3 immune dysfunction and require transplantation, but there are
4 some subtle nuances in how you do the treatment and how you
5 perform it, so that means that for diagnostic testing purposes,
6 identification of the genetic defect is actually quite critical
7 in addition to knowing that they have T-cell lymphopenia. And,
8 what was seen in California has changed the paradigm a little
9 bit because the X-linked SCID was previously presumed to
10 account for half of the cases of SCID. And, what we see in
11 their cases here is that there is almost an equal number of
12 SCID due to IL-2 receptor gamma chain as there are due to ADA
13 deficiency. And, as you saw from the other two states, many
14 patients can be treated for ADA deficient SCID using a
15 synthetic enzyme rather than transplant, and now there is a
16 third option, which is gene therapy for adenosine deaminase
17 deficient SCID.

18 So, knowing that that type of SCID is actually
19 fairly common changes a little bit how we might treat them and
20 may ultimately have some effect on what we presume is the cost
21 estimate for treatment of these diseases. And, some of the
22 other disorders like Sinclair -- which is seen very frequently
23 in the Navajo Nation -- those children are susceptible to
24 cancers with irradiation because they have problems with
25 repairing DNA breaks. And, so knowing that that's the genetic

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 form of SCID they have -- especially as these children may get
2 sick and people want to do chest x-rays on them -- you might
3 actually help to prevent late malignancy by not doing that if
4 you're aware that this is the type of genetic defect that they
5 have.

6 So, this knowledge really impacts how we treat
7 patients and can ultimately impact what their outcomes are
8 going to be.

9 And, then as I said before, they identified a lot of
10 non-SCID T-cell lymphopenias including DiGeorge and CHARGE,
11 which caused a thymic defect and ataxia telangiectasia.

12 Now, within their series, they did have 1 patient
13 that died prior to transplant, so did not make it to
14 transplant. So, we're still not perfect at getting them to
15 transplant before they get too sick.

16 So, I can speak a little bit about our experience in
17 Georgia -- I live in Georgia and helped the state lab set up
18 their newborn screening lab and their process. And, they use
19 the CDC in situ PCR method as well. And, we started screening
20 in June of 2016. We've had 3 cases identified over a period of
21 a little over a year where we had 129,700 births. So, that
22 would give us a birth prevalence of approximately 1 in 43,200
23 births, so consistent with what's seen in some of the other
24 states. And, among those 3 kids in the SCID, we identified 1
25 IL-7 receptor alpha chain, 1 purine nucleoside phosphorylase

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 deficiency, and 1 we do not know the genetic defect.

2 Now, one of the things I'll say about the clinical
3 outcomes is that there have been concerns expressed very early
4 on in using TREC screening for SCID is that you might miss
5 cases of adenosine deaminase deficiency or PNP deficiency
6 because those children are capable of producing T-cells in the
7 thymus, but the T-cells are rapidly destroyed in the periphery
8 due to accumulation of toxic metabolites.

9 And, so here we see examples of several states that
10 have many children with adenosine deaminase deficient SCID, and
11 our state at least finding 1 PNP deficient SCID, which may help
12 alleviate a little bit some of those concerns. And, as the
13 other states, all 3 of our patients have been transplanted, and
14 all are currently alive and well.

15 Now, we did see, as other states -- we only have
16 seen 1 idiopathic T-cell lymphopenia. We've had several
17 patients with issues with their thymus. We've had 2 with
18 CHARGE syndrome with complete absence of T-cells and several
19 DiGeorge syndrome patients, and 1 that's an unknown. He is
20 lacking T-cells with no thymic function, but no deletion in 22Q
21 and does not have CHARGE syndrome.

22 So, again, in terms of clinical impact, it means
23 that we need to continue to remain vigilant, and the clinicians
24 need to be aware of the conditions that they need to be looking
25 for in these infants that come back with severe T-cell

1 lymphopenia.

2 So, Scott Grosse is there, and he can probably
3 comment on this paper a little bit more. But, I worked on this
4 paper with him and Yao Ding from APHL, and we wanted to look at
5 what was the cost impact of newborn screening for severe
6 combined immune deficiency because there were a lot of early
7 discussions about, was this too expensive, was it worth it
8 because this was a relatively rare condition or considered to
9 be quite a rare condition.

10 And, so -- as I said in the beginning -- it was the
11 fact that we knew that pre-symptomatic identification led to
12 better outcomes. And, now we're there where we can actually
13 say that we're doing it in about 46 out of 50 states, and most
14 states do operate on a very timely fashion. So I know -- for
15 example -- Florida -- they are expected to get that infant in
16 to a physician within days of a critical newborn screening
17 result. Most states aren't quite that rapid, but there are
18 still some issues of impact in terms of identifying specialists
19 who will assess and care for these patients because most people
20 don't have a primary immunodeficiency specialist in their town
21 or -- you know -- in their area.

22 So, this doesn't necessarily take that into account
23 in terms of how easy it is for them to have access. But,
24 basically looking at data that was gathered either using Public
25 Health Data Sources or expert opinion and experience in their

1 own institutions, we calculated that the total cost for
2 screening and diagnosis would be about \$741,376, but then the
3 treatment cost per infant -- for a surviving infant -- would be
4 about \$197,260 compared to a child that was picked up with SCID
5 who had not been screened. So, the presumption there is that
6 the way that they're picked up is with severe infections, so
7 they're usually generally fairly sick at the time of diagnosis,
8 often in hospital and in the intensive care unit, and there,
9 the estimate was that the treatment cost per infant picked up
10 late but survived is about \$460,000.

11 If you have a child who has SCID and dies prior to
12 transplant, again, the cost for an infant who was never
13 screened is still higher -- it's about \$84,000 compared to
14 \$27,000 for an infant picked up through screening.

15 So, putting this together in terms of cost
16 effectiveness analysis -- we came up with a reduction for
17 treatment cost with screening for about \$317,000. And, that
18 gave you net direct cost per infant diagnosed with screening of
19 \$424,000. So, although there is still a net direct cost for
20 screening, when you put this in terms of some Public Health
21 Data Analysis, which are the cost per life you saved. So,
22 there are Federally accepted dollar amounts associated with the
23 cost of a life basically. So, if you have or presume a certain
24 amount of mortality from SCID -- which is pretty much
25 essentially 100% for untreated SCID but still fairly high for

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 children who are diagnosed late and received a transplant --
2 the cost per life year saved was calculated at about \$35,000.
3 And, many of the published estimates consider something to be
4 "cost effective" if the cost per life year saved is less than
5 \$50,000 or \$100,000.

6 So, we've met that bar. Now, it still remains to
7 be seen when we start to then go back and actually try to get
8 actual costs of the infants who have been screened if those
9 cost savings actually bear out. And, we realized that the
10 treatment cost per surviving infants and those dying are based
11 on historical data for the ones that were not screened. So,
12 it's kind of hard to directly compare them. But, we do have
13 those numbers based on some of the historical data that's been
14 presented.

15 Next slide. So, in conclusion, basically we've
16 learned a few things from newborn screening for SCID. We
17 learned that it's more common than we thought, which increases
18 our awareness of this disorder and our index of suspicion for
19 diagnosing children, and that early diagnosis really does
20 result in better outcomes, and that's something that -- you
21 know -- we'll continue to follow, and it's very important for
22 us to gather good data on the impact of these programs. But,
23 so far, that still appears to bear out.

24 But, one thing -- aspect of this that has been sort
25 of a byproduct of newborn screening for SCID has been the focus

1 on early detection of SCID and the need for developing these
2 sort of referral networks and care networks that have martialled
3 resources from multiple centers to come together to gather data
4 on the outcome of treatment. And, of course, in clinical
5 medicine, we always are looking at how do we best analyze the
6 outcome of a treatment to know what's the best way to do a
7 treatment. And, with relatively rare diseases, this is rarely
8 possible to do in a single center. So, it does require the
9 cooperation of multiple centers.

10 So, we still have some barriers that we really need
11 to overcome in order to make that a reality. One, as I alluded
12 to, not all states have equal access to a specialist. There
13 are some states, like Montana or North Dakota or South Dakota,
14 where they don't have a single clinical immunologist in their
15 state. In fact, they don't do the transplants in their state.
16 They send their children out to other states. So, not only is
17 that -- may that delay access to care -- but it also is a cost
18 factor if you have to send the child and the family to a
19 neighboring state to have treatment.

20 So, there is also the issues of -- well, if your
21 outcome is better if you have your transplant in a specialized
22 center that are used to doing these transplants for immune-
23 deficient patients, does that make it more cost effective to
24 send them to a regional center rather than sending them to your
25 local hospital that does some bone marrow transplants. And, I

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 think that most of the people in our clinical community would
2 argue that you are not being responsible if you don't go to a
3 center that has experience transplanting these infants because
4 we do see differences in outcome, depending on the expertise of
5 the team that's doing the transplant.

6 And, then the last thing is, how do we go about the
7 data sharing. And, this was alluded to somewhat when we talked
8 about the followup needs here. And, we've talked about these
9 followup needs for many years among our community as being able
10 to gather this multicenter data using central repositories for
11 data on newborn screening, and so efforts have been made
12 through APHL, through the Newborn Screening Translational
13 Research Network, and NewSTEPS to help gather some of this
14 data. But, most of that has been gathered from the point of
15 view of the state and the newborn screening labs that
16 traditionally have not been involved in the longer-term
17 followup. They're really just very short-term programmatic
18 followup. And, in order to really demonstrate the impact of
19 this, we really have to gather long-term followup.

20 So, on the clinical end, the Primary Immune
21 Deficiency Treatment Consortium, which is multi-centers that do
22 transplants for severe combined immune deficiency and other
23 immune deficiencies, have done very well. They're an offshoot
24 of the main Bone Marrow Transplant Registry and focus
25 exclusively on primary immune deficiencies, and they've

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 gathered a lot of information, but not all centers report to
2 them. It's voluntary, and it is ongoing, but it's limited in
3 its scope because it's not every single place that does a
4 transplant.

5 We also have the USIDNet Registry, which is a group
6 of clinical centers -- not necessarily transplants but just
7 clinical centers -- that report on their immune-deficient
8 patients, and they've been attempting to gather data on the
9 idiopathic T-cell lymphopenia patients so we can get a better
10 idea of what happens to those patients, what's the best way to
11 manage those patients. And, again, they suffer from the
12 limitations that it's voluntary -- that one just has to submit
13 cases to that database. And, unfortunately, we don't do a
14 great job in this country because there's no incentive to do
15 so, and it's very difficult for many clinicians to submit this
16 data on a timely manner or on a repetitive manner. So, it's
17 still a bit limited, but it is a step forward in terms of us
18 being able to gather information about the clinical impact.

19 And, I believe that's all I have. Next slide.
20 Thank you very much.

21 DR. JOSEPH BOCCHINI: Thank you very much for your
22 presentation.

23 [Applause.]

24 DR. JOSEPH BOCCHINI: Let's bring Sikha back up to
25 the microphone, and leave the phones open for our two outside

1 speakers. And, these presentations are now open for questions,
2 comments, discussion. Scott?

3 DR. SCOTT GROSSE: Scott Grosse. Could you back up
4 two slides, please? Yes, thank you. I just wanted -- first, I
5 wanted to clarify -- this study was done in collaboration with
6 Washington State Department of Health and APHL, which funded
7 the study. And, John Thompson created the original spreadsheet
8 model, which was adapted in this article. But, Lisa gave a
9 great summary of the study, but the last footnote is not
10 correct. The benefit cost ratio was the function of the
11 assumed willingness to pay to avert premature death. For 9
12 million dollars -- if you assume preventing a death is worth --
13 society is going to spend 9 million dollars -- benefit-cost
14 ratio is 5.3. If you assumed willingness to pay 4.5 million
15 dollars, it was 2.3 benefit-cost ratio. 9 million dollars is
16 the current figure that is used -- 9 to 10 million dollars by
17 US Regulatory Agencies such as Environmental Protection and
18 Food Safety. It is also used in Washington now. And, by using
19 a benefit-cost ratio, you can put public health on a level
20 playing field with other programs. The benefit-cost ratio is
21 substantially greater than the cost-effectiveness ratio. The
22 equivalent dollar value of preventing a death otherwise would
23 be much lower than 9 million dollars if you used cost-
24 effectiveness ratios with standard thresholds. So, this was --
25 in Washington State,

OLENDER REPORTING, INC.
1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Newborn screening is considered a regulation, and the state
2 requires the health department to prepare a cost-benefit
3 analysis for that -- for adding a condition, which is why this
4 was done that way. Thank you.

5 DR. SCOTT SHONE: Scott Shone. Sikha, I have a
6 question for you. For the states that are still not screening,
7 what are the barriers, what are the plans? Are you aware of
8 how they can be -- I think it's red as well?

9 MS. SIKHA SINGH: That's a great question. So, one
10 of those five states is in fact one of the APHL SCID awardees,
11 and we're closely tracking their progress. They have -- they
12 had to undergo some renovations within their lab space to
13 accommodate for the SCID screen, and they also had some fee-
14 increase related activities that they had to support, and now
15 they're undergoing pilot -- well, validation and then pilot
16 testing -- so they're almost there. But, the other labs also
17 have similar challenges that they're encountering. They're
18 working closely with the Immune Deficiency Foundation to
19 address some of those issues -- but, mostly either legislative
20 barriers or laboratory-specific.

21 DR. SCOTT SHONE: So -- I mean -- it's been 7 years.
22 So, I guess the question I would have is -- you know -- they're
23 unfortunately having to begin that part -- what you just said --
24 -- are the common barriers and challenges that we saw that you
25 presented, but also are listed on every new disorder that comes

1 up on the Committee. So, the question is what's the -- what
2 lessons can we learn, and what can the Committee learn in terms
3 of what took them, unfortunately, so long to get to the point
4 where they are right now, which several states started as soon
5 as it was on the RUSP or within 2 years. So, were there unique
6 challenges within the state? Can you comment on that?

7 MS. SIKHA SINGH: I can comment broadly. I can't
8 speak to the specific states. I would really rather they speak
9 to their specific problems. But, as noted with SCID, there
10 have been some unique challenges related to how to perform this
11 screen. That's not uncommon. We've seen that with the newest
12 disorders added while most of them are tandem mass spec
13 disorders. Then, there is a paradigm shift with followup, for
14 instance. And, there are a variety of considerations that were
15 different from all the other disorders that were previously on
16 the RUSP. So, there aren't really economies of scale. What
17 we've seen recently, adding a disorder isn't trivial. Like I
18 said, lessons learned -- definitely. We've learned a lot of
19 lessons from the 11 SCID awardees.

20 How can they get there quicker? I think we'd have
21 to talk to them.

22 DR. SCOTT SHONE: Okay. And, just one last question
23 is -- I don't know -- you might not be able to answer this one,
24 and Carla is not here -- but, I know that CDC has had an effort
25 for SCID NGS NexGen sequencing and issued awards recently. Are

1 we -- is this where things are headed? I mean -- is that going
2 to be part of the algorithms? I don't know -- maybe Lisa can
3 comment on that in terms of, is the goal to try to expand
4 specific SCID NGS in newborn screening, or what's the plan?
5 What's the plan around that?

6 MS. SIKHA SINGH: Yeah. I don't think I can comment
7 on that, but that reminded me of something that might be
8 helpful to answer your previous question. There were a series
9 of funding opportunities through the CDC through NIH -- there
10 were a number of pilots that occurred examining a variety of
11 mechanism to initiate screening for SCID, whether it was doing
12 it in your own lab, developing an assay, sending it to another
13 lab. So, that might also account for some of the differences
14 in the amount of time that it took for states to onboard.

15 Universal screening for SCID regarding the CDC
16 Sequencing Initiative -- I really can't comment on that.

17 DR. LISA KOBRYNSKI: This is Dr. Kobrynski. I just
18 wanted to say that some of the early labs that adopted newborn
19 screening for SCID did get outside funding from foundations.
20 There were some grants that came through the CDC Foundation.
21 Georgia received one of those. And, that helped them set up
22 the screening. And, one particular lab in Louisiana had
23 funding from an outside foundation and was screening, and the
24 lost their funding and could not get funding to restart
25 screening, and they're still not screening. So, I think the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 money issues and finding sources of funding -- many states
2 ended up depending on some outside funds to help them get
3 started.

4 And, then with regard to the whole genome sequencing
5 through newborn screening, it was primarily driven with the
6 SCID screening in mind. So far, as far as I know, it's not
7 being -- at least the states that have applied for funding --
8 they have gotten funding -- their target is the SCID condition.

9 DR. BETH TARINI: This is Beth Tarini. A quick
10 question -- you may have said this -- do we know what
11 proportion of states received Federal funding to get up and
12 running for SCID of those -- of the ones that achieved it? The
13 larger question I'm asking is, are we back door funding this to
14 get it up and running such that without these grants that come
15 up through HRSA and CDC -- we should probably sort of look at
16 the time to sort of screening in a stratified manner, the
17 states that didn't receive funding and the states that did,
18 because in the absence of funding like we just heard, it's a
19 matter of then what other inputs -- let's say the funding dries
20 up -- not entirely impossible -- or is not available for every
21 disorder -- that this would be the reality we're living in.
22 Like, what is the time limit or the timeframe of getting it up
23 and running without any Federal money coming through grants or
24 contracts?

25 MS. SIKHA SINGH: Yeah, that's a great question.

1 So, I think we can -- that proportion -- I don't know it off
2 the top of my head, but we do have that data, and we can look
3 at that.

4 DR. BETH TARINI: I think it would be helpful for
5 the Committee to look at as we talk about time to start,
6 because that we are implicitly relying on Federal dollars that
7 we don't know will be there.

8 DR. SCOTT SHONE: And, I also -- I think -- this is
9 Scott Shone. I think it's also important to recognize that a
10 state's decision to specifically not add a disorder just
11 because, again, it's the Recommended Uniform Screening Panel,
12 not the Mandated Uniform Screening Panel, that we should be
13 aware of if that's the case -- if they specifically decided not
14 to add -- to get that information as well and perhaps even why.

15 DR. BETH TARINI: Oh, as opposed to, I wish I could?

16 DR. SCOTT SHONE: Right.

17 DR. BETH TARINI: That's a good point.

18 MR. JELILI OJODU: Just to add a little bit of color
19 -- I'm sorry -- Jelili Ojodu with APHL. So, let's see. Back
20 to your question. I think for SCID, approximately half of the
21 states received some Federal funding -- CDC, HRSA, NIH combined
22 -- I actually think Wisconsin received something from CDC back
23 in the day then. And, without those funds, implementation
24 strategies or implementation activities would be stalled or not
25 to the point where we have 48 states screening right now.

1 DR. MEI WANG BAKER: Mei Baker. A couple of
2 questions. And, I think just previously we were talking like
3 cutoff. I just -- we've done SCID screening for so long -- at
4 least I do not have a good sense in terms of different states
5 doing this -- what sensitivity and specificity -- I mean, more
6 detail is like what is our -- the positive predictive value?
7 Everybody said, I'm screening, I got this and this and this,
8 but I don't know the denominator, I don't know that APHL or
9 NewSTEPS have the data. And, also, the two speakers on the
10 phone -- you know your states if you want to say something
11 about that, that would be great -- the false-positive rate, and
12 this kind of thing.

13 My second question is more for Lisa. Interestingly,
14 we talk about adult-onset disease, carrier -- you know -- I
15 think SCID has a unique situation. At least we named it as
16 transient [inaudible] T-lymphopenias. And, really indeed you
17 detect a low TREC and the flow said, yes, indeed, you have low.
18 But, they really resolve on their own. And, Lisa, could you
19 comment on that? Did you see that too?

20 DR. LISA KOBRYNSKI: So, with regard to the
21 sensitivity -- with regard to the sensitivity and specificity,
22 there is good published data on this. And, I agree, it's
23 problem is just having a population denominator, and I think
24 that needs to be something possibly going forward when people
25 publish their data from this, that they actually try to give

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 you a sense of what it is, so you can see the positive
2 predictive and negative predictive values. The negative
3 predictive may be a little more difficult, because that assumes
4 that we see a missed case. And, when we publish our cross
5 data, we assume that -- you know -- we picked up all the cases
6 of SCID because so far, there have not been any reports -- at
7 least in the public domain -- that a case of SCID was missed.
8 Although, California suggested that they had one ADA SCID that
9 might have been missed in newborn screening.

10 One of the issues too is your case definition. So,
11 APHL is working on sending out case definitions for SCID. We
12 have -- in the clinical realm, we have case definitions, but
13 they may not always be adhered to, and so you really have to
14 have a uniform set of case definitions to accurately define
15 your positive predictive and your negative predictive values.

16 With regard to the idiopathic T-cell lymphopenia --
17 so, in New York's experience, they had probably the most of
18 them. They ended up transplanting one child, but all of the
19 other ones -- it's not that their T-cell counts normalized --
20 but, they never became ill. And, the child that we picked up
21 is now over a year of age, has still quite low CD-4 cells, but
22 has normal function and makes normal specific antibodies, has
23 received all their immunizations, and is on basically no
24 medicine. So, we think that for the most part, this appears to
25 be benign, but we need longer followup to know exactly what

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 happens to these children.

2 DR. JOSEPH BOCCHINI: Just to clarify -- are those
3 patients ones that fall in this idiopathic T-cell lymphopenia
4 group where they don't have a known specific genetic marker for
5 SCID, and is that how those are selected out as being
6 idiopathic but potentially likely to improve?

7 DR. MEI WANG BAKER: Yes. Actually, we just had a
8 case. It's very profound being low. Mei Baker. And, over
9 time -- so, I think this kind of a new change, so it's very
10 interesting to see how this plays out because we don't want to
11 overtreat it, right? Bone marrow transplant is no small
12 potato.

13 DR. LISA KOBRYNSKI: I agree that -- you know -- we
14 don't want to rush these children to bone marrow transplant
15 because a lot of them don't need it.

16 DR. CYNTHIA POWELL: Hi. Cynthia Powell from North
17 Carolina. Just to say that we just recently started official
18 newborn screening for SCID. We had a pilot funded through the
19 APHL that was extremely helpful, but our state legislature had
20 already approved it for being added.

21 A couple of questions. So, in terms of
22 troubleshooting -- I'm wondering if people are collecting data
23 about this. We had one hospital that had a huge number of
24 positive screens, and we found out that the nurses were
25 collecting the dried blood spots from babies in the NICU using

1 heparin capillary tubes. And, also that babies on heparin for
2 various reasons -- you know -- in the NICU -- even if they got
3 their blood spots collected properly through a heel stick, they
4 were still getting these positive screens. And, that went away
5 -- well, at least for the capillary tubes -- we are able to --
6 you know -- get them to stop doing that. So, I'm wondering if
7 -- you know -- that information is sort of being collected to
8 help as states come on board with this.

9 And, the second thing relates to this maybe
10 transient T-cell deficiency is that we've had -- I think --
11 four cases now of children with congenital heart defects --
12 apparently non-syndrome -- not DiGeorge syndrome or other
13 syndromes -- who screen positive and truly did have low T-
14 cells.

15 DR. MEI WANG BAKER: Mei Baker, again. Actually,
16 interesting thing is I don't know when there is a heart
17 condition -- do they have heart surgery? Because kids going to
18 heart surgery have secondary because the thymus is partially or
19 fully removed.

20 DR. LISA KOBRYNSKI: No, it's not because of the
21 surgery. Sorry, this is Lisa Kobrynski. So, they -- the kids
22 who undergo heart surgery -- depending on their heart defect --
23 they may go to surgery at 24 hours of age. And, so if the spot
24 is collected after that 24-hour period, they are almost all
25 lymphopenic. It doesn't matter whether they have DiGeorge

1 syndrome or thymic problem or not. It's from being placed on a
2 pump that is leukodepleted blood, which is adult blood which is
3 very low in TREC anyhow. So, if you collect the spot within a
4 few days after surgery, their TREC is going to be abnormally
5 low. And, when we are looking at kids who we know have
6 DiGeorge, we always wait at least a week to check their
7 lymphocyte count after surgery because of that.

8 The other complication is when we have kids who have
9 abdominal surgeries or have gastroschisis where the abdominal
10 intestines are outside the wall or if they have a complication
11 during surgery where they nick the thoracic duct and they lose
12 all their lymphocytes -- they are also going to show up low if
13 the spot is collected after their surgery is done.

14 DR. MELISSA PARISI: Melissa Parisi. I have two
15 questions for you. One of them revolves around this issue of
16 the non-SCID T-cell lymphopenia group, which is pretty
17 heterogenous. And, it's clear from the data that you showed,
18 Lisa, that the outcomes really and that the treatment
19 strategies and the outcomes are in many respects dependent on
20 the underlying genetic defect. Do you have any estimate for
21 the proportion of children who have been identified through
22 newborn screening who have actually had a molecularly confirmed
23 diagnosis, i.e. know the genetic cause of their T-cell
24 lymphopenia, whether it's SCID or another form. That's my
25 first question. Maybe I should just stop there for a moment

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 and see if you have any comment on that.

2 DR. LISA KOBRYNSKI: So, if you look at -- if you
3 look at some individual state data, most states will have --
4 you know -- a case or two of SCID where we don't know the
5 genetic defect. And, when we look at them, we -- it's been
6 somewhere between about 5 and 10% depending on the center where
7 they never identify genetic cause for SCID. But, SCID is a
8 specific condition compared to idiopathic T-cell lymphopenia.
9 So, by virtue of the name, it implies that there is no known
10 cause, so there is no known genetic defect associated with it.
11 And, those children don't appear -- for all intent and purposes
12 -- to have an immune defect.

13 So, even though the T-cells are low, the function is
14 normal, the ability to make antibodies is normal, they are not
15 getting sick. So, we still need to worry a little bit more
16 about what this is. And, even in the adult community, there is
17 an idiopathic T-cell lymphopenia that in the early days of HIV,
18 infection was very concerning for people because they didn't
19 know why these patients had that. Now, some of them went on to
20 have other conditions, but some of them did not. So, that's
21 kind of where we are with the incidence of idiopathic CD4
22 lymphopenia is to see what happens to them one year, five
23 years, and 10 years down the road. We still don't know the
24 answer.

25 DR. MELISSA PARISI: So, that's helpful information.

1 I guess the category that I'm intrigued by is those that have a
2 known genetic problem, but it's not SCID, but it's either
3 DiGeorge or CHARGE or some of these other genetic syndromes.
4 And, I'm just wondering if -- if anybody's been collecting the
5 data, particularly since DiGeorge/22Q11 deletion syndrome is
6 relatively common. If there are any predictive factors that
7 allow us to know which of those children with this condition
8 are actually being picked up by the SCID screening, and whether
9 their outcomes are different or worse than kids who don't have
10 T-cell lymphopenias present on the newborn, but otherwise have
11 DiGeorge syndrome, for example.

12 DR. LISA KOBRYNSKI: So, there's not a good amount
13 of data on what proportion of DiGeorge syndrome patients will
14 get picked up with this syndrome, I agree. And, it's been one
15 of my interests to try to actually do newborn screening for
16 that syndrome itself. But, we know from just looking at a
17 series of patients that about three-quarters of those patients
18 have some T-cell defect. But, less than 1% of them actually
19 have a complete absence of T-cells. So, that means that you're
20 really with newborn screening for SCID with the TREC -- you're
21 really going to pick up a very small fraction of infants who
22 have DiGeorge syndrome.

23 Now, long-term -- you know -- the cardiothoracic
24 surgeons have suggested -- some of them, I think there's at
25 least one report -- that there are children who have DiGeorge

1 syndrome and have a complicated heart lesion -- usually
2 problems with their pulmonary vessels -- who have worse
3 outcomes, especially if they have low T-cells. But, has that
4 been vetted in any large series? No.

5 So, if your T-cell counts are absent, you're in the
6 same boat as the SCID patient that if you don't have
7 correction, that you're going to have problems and you're
8 likely going to die because of your immune deficiency. But,
9 that is such a small fraction of those patients. The other
10 ones -- even if their T-cell counts are pretty low -- do okay.
11 So, they maybe don't pop up on a screen, but their T-cell
12 counts are not so low that they're going to have a problem.

13 DR. MELISSA PARISI: Thank you.

14 DR. CAROL GREENE: So, I wanted to address one of
15 the issues brought up about access, but in the meantime two
16 other things. One is, that's the first that I've heard that a
17 baby was -- Carol Greene, SIMD -- that a baby was transplanted
18 for what then -- now, as we're learning more about the natural
19 history of something -- turned out to be a benign condition,
20 and that's kind of an interesting footnote to me, and that's
21 one of the fears that we always have and one of the reasons we
22 try to do -- one of the reasons the Committee tries to be as
23 responsible as it is about vetting -- you know -- what do we
24 have in the way of understanding of the natural history and the
25 diagnostic procedures, and how are we set up to go. And, I

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 think that's something that we need to --

2 DR. LISA KOBRYNSKI: This is Dr. Kobrynski. Let me
3 clarify -- that child who was transplanted probably did not
4 have idiopathic T-cell lymphopenia, as they observed that child
5 for almost a year, and the child was becoming ill and having
6 issues. And, that's why the child was transplanted.

7 DR. CAROL GREENE: Ah, that completely changes. So,
8 I withdraw my comment with -- yes, thank you.

9 Then, the issue fascinating with people still using
10 capillary tubes is that they're not supposed to and that SCID
11 has obviously alerted people to an inappropriate action. But,
12 I would also say that another part of that that should be
13 investigated is if babies are getting alerted because of an
14 abnormal screen for SCID and the question is whether it's due
15 to surgery, that is a fundamental flaw in the system as well
16 because that should have been a second screen, and the first
17 screen should have been normal because nobody goes to surgery
18 as a baby in a NICU -- them's the rules. Baby hits the NICU,
19 we're on the way to surgery. If there isn't a newborn screen
20 sent first, that was a violation of standard practice. So, any
21 postop samples should be a second sample, and there should be a
22 first one to compare to, and that's another way that seeing
23 what's happening in the system could be a way to investigate
24 and go back and make sure that NICUs are doing it properly
25 because you never know if a baby's going to get transfused in

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 surgery. So, every baby going to surgery is supposed to have a
2 screen first. And, I see Mei has something, but I wanted to
3 get to the point that I was -- had my hand up for earlier.

4 And, that actually allows me to say something about
5 access that we were going to say yesterday when the issue of
6 access came up in the context of critical results. So, we do
7 often hear about -- and it was a beautiful conclusion -- bullet
8 point -- access to specialists and treatment in underserved
9 areas and developing referral networks, which is an issue.
10 And, I do not want to make light of the access to specialist
11 issues. There are too few specialists, and one of the critical
12 issues is not only are there few specialists, but the funding
13 and support for access to those specialists is being cut every
14 year as we speak. It doesn't go up, and then it actually gets
15 cut. And, at the same time, we know that SCID is more common
16 than we thought is was. That means we're identifying babies
17 earlier -- babies who would otherwise be dead. And, the babies
18 who are now being treated and living -- they're going to be
19 more patients for these immunologists to take care of because
20 not only are some identified and now reach care, others who
21 would have only be cared for for a couple of years and die are
22 now going to be cared for for all of childhood and then all of
23 adulthood. So, the numbers go up.

24 But, in terms of access, it's really more about the
25 referral network and maintaining the funding for those

1 specialists, because -- and, I just counted on that speaking
2 for the SIMD -- counted on the SIMD website -- 40 -- counting
3 the states -- 40 states have members of the SIMD. Basically
4 speaking, everybody who provides care for inborn errors of
5 metabolism in this country -- pretty much everybody is a
6 member of the SIMD.

7 That means 10 states don't have -- they include Wyoming, they
8 include --

9 So, but we still screen, and we still get to those
10 babies within 24 hours, and it is about a referral network, and
11 there was a comment -- my apologies, we already talked about it
12 -- but, yes. We are waiting on the edge of our seat. I have
13 my pager on. I'm on call. And, I've already answered two
14 newborn screening calls while I'm here -- well, with the help
15 of a genetic counselor.

16 So, we do have access, but it does -- so, I don't
17 want to say that access to a specialist -- there's always
18 somebody who's a champion. There's always -- they don't -- we
19 don't get to having it on the newborn screen unless there's
20 somebody who can provide the care. So, there's always access,
21 and there's always access 24/7 -- 24/7/365 to start the
22 process. Where we're getting into trouble is the provision of
23 support for ongoing access

24 MS. ANNAMARIE SAARINEN: Annamarie Saarinen. I
25 probably should just defer to Mei because she's going to be

1 addressing my point. But, I was just going to chime in on the
2 thymus removal and the CHD kids, and the NICU protocols because
3 I don't -- I don't think they are terribly well defined, and
4 depending on what the baby is in the NICU for, I think that's
5 where there's a lot of variability about when a specimen is
6 being collected and when it's not being collected. And, in the
7 case of the critical heart lesions, I don't think there's any
8 uniform data right now to show at what age babies are being
9 referred to the OR. I know certainly that babies are going to
10 the OR within the first 24 hours of life -- I just don't know
11 what percentage of CCHDs are being referred to the OR in the
12 first 24 hours of life, and I also know that in the United
13 States, about 80% of the heart surgeries that are happening in
14 the neonatal period are happening with complete or almost
15 complete thymus removal. I know this because it was a project
16 that I worked on with Dr. Cohelis back in the early days after
17 my daughter's surgery because I know how actually critically
18 important that is to the development of these children and
19 their immune status moving forward. There's many congenital
20 heart kids that have ongoing issues because of that.

21 In Europe and many other parts of the world, the
22 standard procedure of removing the thymus has sort of gone by
23 the wayside. They just simply don't do it anymore, so I don't
24 know why we continue to do it in the United States, and I
25 continue to raise the issue among the Society of Thoracic

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 Surgeons at their meetings.

2 But, that said, I just wanted to recognize that it
3 may indeed be an issue, and it may be something worth exploring
4 if those numbers end up being in any way substantive as it
5 relates to SCID screening. So, that was my little tiny area of
6 knowledge and expertise that relates to the issue.

7 DR. JOSEPH BOCCHINI: Mei?

8 DR. MEI WANG BAKER: I'm just adding on a little bit
9 mostly to respond to Carol. The reason we learn is because we
10 do have first screening samples. And, their TREC number is
11 different. So, that's -- and also I can only speak of
12 Wisconsin is because we are a small state, so we know the
13 cardiac NICU -- we know the doctors. And, so when we have this
14 situation, I often call and say, I really need the surgery
15 notes. So, this kind of thing. So, we pretty much have this
16 experience. So, very fortunately, most of the time we really
17 know the first and the post surgery numbers. So, we have the
18 category on our report called a previous normal. So, we don't
19 ask them for the --

20 DR. CAROL GREENE: Thank you both for the
21 clarification, and that really was the point is that if there's
22 a state where a baby is having a false positive screen for SCID
23 because the only sample they got was postop -- that NICU is
24 having a problem because the protocol says as soon as you know
25 the baby has anything out of the ordinary and before they go to

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036
Washington: 202-898-1108 • Baltimore: 410-752-3376
Toll Free: 888-445-3376

1 the OR, you should have the newborn screen sent. And, that
2 baby should not have a false positive -- it should have exactly
3 what Dr. Baker just described.

4 DR. LISA KOBRYNSKI: This is Dr. Kobrynski. I would
5 say that in Georgia, we also do the same thing. So, if the
6 child had a screen at 72 hours that was abnormal, we always
7 look to see if there was an earlier screen that was normal.
8 And, then if there was, we don't have to do any further
9 followup at that point.

10 DR. JOSEPH BOCCHINI: Okay. I see no more questions
11 or comments. So, I want to again thank all three presenters --
12 Ms. Singh, Ms. Manning, and Dr. Kobrynski for excellent
13 presentations and certainly getting us started on a very rich
14 discussion. So, thank you.

15 The final item on the agenda is new business, and
16 this is an opportunity to bring up any additional items of
17 business. I know we had some full discussions and kind of got
18 behind schedule because of the strength of those discussions
19 yesterday, and everybody may not have had the opportunity to
20 weigh in on any specific issue. So, this is an opportunity for
21 anyone to bring up any item of business for the Committee.

22 Carol, did you have a comment that you wanted to
23 bring at this point?

24 DR. CAROL GREENE: Thank you for asking. I snuck it
25 into my previous comment. But, thank you. For the record, we

1 just want the -- the SIMD wants to be on record as showing that
2 we do believe that the system provides -- the system provides
3 for 24/7/365 access to specialists when the screen is positive.

4 DR. JOSEPH BOCCHINI: Okay. All right. Additional
5 -- yes? Natasha?

6 MS. NATASHA BONHOMME: Natasha Bonhomme, Genetic
7 Alliance. I really appreciate all the discussion that there
8 was yesterday about the work and timeliness. And, I hope that
9 in the future, we'll be able to delve a little bit deeper into
10 what were those educational efforts that were put in place on
11 many of the charts that you saw the little box that said
12 education, and then there was the change. And, so I hope just
13 down the line -- maybe later in the project -- there would be
14 an opportunity to bring those findings and those lessons
15 learned to this Committee.

16 DR. JOSEPH BOCCHINI: Thank you. All right.
17 Hearing no other comments. Scott? Last chance. He's good.
18 Okay. All right.

19 Well, first I want to thank -- oh. Mei.

20 DR. MEI WANG BAKER: I didn't know what was a good
21 time because last time I spoke too soon. Are you asking for
22 proposal for new projects too or not yet?

23 DR. JOSEPH BOCCHINI: If you have one to bring up at
24 this point, yes, go right ahead.

25 DR. MEI WANG BAKER: Okay. I think you perhaps are

1 not surprised because I talked to you a little bit. Also, I
2 talked to Aaron here too. And, I think it's a wonderful
3 opportunity when we talked about the carrier, adult-onset
4 situation, and I think the three speakers are wonderful because
5 putting it all into respect, but I hope we do not stop here
6 now. And, I think we need to do more work. And, also, the
7 education can bring over -- you have to back talk a little bit
8 about carrier and adult onset.

9 And, we also talked about cross working groups who
10 have some. So, I'm going to propose a new workgroup -- the
11 Carrier and Adult Onset. You know -- I think these three
12 people are very good. I think we can expand a little bit --
13 adding on others and I also talked to Aaron a little bit. I
14 understand actually he has done a lot of research. He has a
15 lot of background information, and I think we have a good grand
16 start doing more.

17 Oh, can I just add a quick -- I think -- I hope this
18 workgroup can come to some recommendations, then come into the
19 education pieces.

20 DR. SCOTT SHONE: Okay, I lied. Scott Shone.

21 [Laughter.]

22 DR. SCOTT SHONE: No, because what Mei just said --
23 you know -- this idea of a carrier and you even said the words
24 workgroup -- I mean -- the idea is again sort of like Beth said
25 earlier, and I think what I added on to I hope is that -- so

1 around that topic of carrier -- you have education issues, you
2 have laboratory issues, you have tech, and then we have
3 followup issues and how to deal with them. So, again, it goes
4 back to this cross-cutting effort. So, I don't think -- so,
5 the idea is are we really going to pursue the idea of, all
6 right, let's try to figure out how to break down the siloes
7 that are infrastructurally set up for education, lab, and
8 followup workgroups and start to think about things as topics
9 and address them or are we going to stay with the same mantra
10 and then just delegate this to education, and then find out,
11 well, does the lab always pick up carriers, and then we have to
12 wait for the next meeting to throw it to the Lab Workgroup, and
13 then, oh wait, how is Followup going to deal with it, and then
14 we wait until the next meeting to go to the Lab Followup
15 Workgroup, and now we're 9 months later and we might as well
16 have just done an evidence review.

17 So, I feel like -- I guess my suggestion is, I think
18 Beth's idea of prioritization and one of them might be looking
19 at the -- how the Committee looks at these system-wide topics
20 is something that we should pursue going into 2018.

21 DR. JOSEPH BOCCHINI: So, that's a good comment, and
22 I think that in the past, we have created specific ADHOC
23 workgroups to identify issues or to work through specific
24 things as you mentioned. And, that is one possibility.

25 And, the other thing is that I think if we

1 standardized the calls that we have between meetings between
2 the Chairs of each of the three workgroups, we may be able to
3 cross fertilize what's happening in the groups and determine
4 whether something does cross enough lines to become something
5 that Committee addresses with an ADHOC workgroup versus being
6 placed in a specific one of the three standing workgroups. So,
7 that's a really good point. Yeah.

8 Okay. Annamarie?

9 MS. ANNAMARIE SAARINEN: Do the workgroups as they
10 exist today have a defined like charter -- like this -- those
11 three workgroups are set to expire at a certain time? Is up at
12 the pleasure of the Chair or the Committee to determine if
13 those become something different?

14 DR. JOSEPH BOCCHINI: These are permanent
15 workgroups, and each has a set of -- a mission statement with
16 specific priority -- with specific areas to continue to work
17 in. And, actually at one point in time -- maybe 2010 or 12 --
18 I can't remember when -- but, we did kind of look at the
19 workgroups and as a Committee determine that those three
20 workgroups could -- should continue to exist the way they were.
21 Now, it may be time to revisit that, but I think at this point
22 in time, they are permanent workgroups, and they do each have a
23 charge.

24 MS. ANNAMARIE SAARINEN: Well, maybe Scott, if he's
25 willing, would be -- maybe in advance of or as part of the next

1 Committee meeting -- could carve out maybe like 15 minutes to
2 put a visualization up there of how these workgroups can better
3 -- you know -- I'm not saying ADHOC ideas isn't the right
4 pathway -- but, maybe we can flush out a few different ideas or
5 how we do break down those siloes, because I really, really
6 agree that these are -- everything that each of them talks
7 about touches the others. And, for that to be bubbling to the
8 surface when we all give our reports on the last day of this
9 Committee meeting every session, I think it makes it difficult
10 to feel like there is actionable integration happening before
11 we meet again three months later.

12 And, I also am not going to make a motion on this,
13 but if anybody doesn't make roll call in the morning that wants
14 to bring like chocolates the next time as their penalty, that I
15 would advocate for that because it might be a deterrent.

16 [Laughter.]

17 DR. JOSEPH BOCCHINI: All right. So, Kellie, and
18 then Carol.

19 DR. KELLIE KELM: Kellie Kelm. I just wanted to add
20 on -- we've had a question and we were talking about it
21 yesterday. Where does short-term followup fall? Does it
22 actually belong in any of the three? Maybe it's just not clear
23 to us if it actually does have a place that it lives.

24 DR. JOSEPH BOCCHINI: Carol?

25 DR. CAROL GREENE: So, thank you. Carol Greene,

1 SIMD. And, I do -- I was around when the original sub-
2 committees that then turned into workgroups were made, and
3 short-term followup was in the Lab. And, that's part of the
4 timeliness as well. So, it's been in the Lab. That doesn't
5 mean it has to stay there -- but, that's the history as I
6 recall it.

7 The one thing I would say -- and, I'm very glad that
8 I'm not on the Committee and I'm not staff, so that I have to
9 make this decision because I don't believe that's there one
10 right or wrong answer -- but, again, historically the reason
11 for the -- my recollection -- the reason for the standing
12 Committees is that when we do things -- the whole Committee is
13 large. And, when we do things in response to what bubbles up,
14 what people bring -- then we get back to that issue that Dr.
15 Tarini was talking about earlier that we're often reactive.
16 And, way back a long time ago -- and the concept has held -- it
17 was thought by the Committee that there were three major areas
18 that needed some ongoing attention more broadly and that the
19 idea of sub-committee, then workgroup could bring in people who
20 are not on the Committee.

21 And, no matter what way, and I -- you know -- have
22 been in medicine for 35 years and I teach a lot -- and, no
23 matter what way you cut things, there's always a different way
24 to cut them. So, you can do -- you know -- one person's cross
25 cutting is another person's silo.

1 So, if you take it disease by disease, then all of a
2 sudden somebody is going to say, but, we've lost track of the
3 long-term followup. So, the combination of things that --
4 trying to make things work together, but also the combination
5 of doing things that are standing and cross cutting -- the
6 standing helps to bring things to the Committee.

7 So, I'm just making an observation. Again, I'm
8 deeply grateful I don't have to participate in the decision,
9 but I do observe over the history that -- you know -- when you
10 do things that are cross cutting, you're creating different
11 silos, basically. Because any way you cut it, it's going to be
12 cut, and there's another way to look at it.

13 DR. JOSEPH BOCCHINI: Thank you.

14 So, with that comment, we're going to adjourn the
15 meeting. I want to thank everyone for their participation. I
16 want to thank HRSA for having things so well organized,
17 Catharine for all the work that she did to put together the
18 agenda and make things work so well. And, once again, I think
19 that we had a really good strong meeting, and I look forward to
20 seeing you all again in February. Thank you.

21 [Whereupon, the above-entitled matter was concluded
22 at 2:36 p.m.]

23

24

25