

1 The Advisory Committee on Heritable Disorders in  
2 Newborns and Children

3

4

5

6

7

HRSA Headquarters

8

5600 Fishers Lane

9

Rockville, MD 20852

10

11

12

13

14

May 09, 2018

15

16

9:00 a.m. - 3:00 p.m.

17

18

19

20

21

22

1

2

## A P P E A R A N C E S

3

## COMMITTEE MEMBERS:

4

JOSEPH BOCCHINI, JR., MD, Committee Chair,

5

Professor and Chairman, Department of

6

Pediatrics, Louisiana State

7

University

8

MEI WANG BAKER, MD, Professor of Pediatrics,

9

University of Wisconsin School of Medicine and

10

Public Health, Co-Director, Newborn Screening

11

Laboratory, Wisconsin State Laboratory of

12

Hygiene

13

SUSAN A. BERRY, MD, Professor and Director,

14

Division of Genetics and Metabolism,

15

Departments of Pediatrics and Genetics, Cell

16

Biology &amp; Development, University of Minnesota

17

JEFFREY P. BROSCO, MD, PhD, Professor of

18

Clinical Pediatrics, University of Miami School

19

of Medicine, Department of Pediatrics, Deputy

20

Secretary, Children's Medical Services, Florida

21

State Department of Health

22

DIETRICH MATERN, MD, PhD, Professor of

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Laboratory Medicine, Medical Genetics and  
2 Pediatrics, Mayo Clinic  
3 CYNTHIA M. POWELL, MD, Professor of Pediatrics  
4 and Genetics, Director, Medical Genetics  
5 Residency Program, Pediatric Genetics and  
6 Metabolism, The University of North Carolina  
7 at Chapel Hill  
8 ANNAMARIE SAARINEN, Co-Founder, CEO, Newborn  
9 Foundation  
10 SCOTT SHONE, PhD, Public Health Analyst, RTI  
11 International  
12 BETH TARINI, MD, MS, FAAP, Associate Professor  
13 and Division Director, General Pediatrics &  
14 Adolescent Medicine, University of Iowa  
15 Hospitals & Clinics  
16 CATHERINE A. L. WICKLUND, MS, CGC, Northwestern  
17 University, Feinberg School of Medicine, Center  
18 for Genetic Medicine  
19  
20 EX-OFFICIO MEMBERS:  
21 CARLA CUTHBERT, PhD, Centers for Disease  
22 Control and Prevention,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 National Center for Environmental Health

2 KELLIE B. KELM, PhD, Food and Drug

3 Administration, Division of Chemistry and

4 Toxicology Devices

5 MELISSA PARISI, MD, PhD, National Institute of

6 Health, Eunice Kennedy Shriver National Institute

7 of Child Health and Human Development

8 JOAN SCOTT, MS, CGC Health Resources and Services

9 Administration, Maternal and

10 Child Health Bureau

11

12 DESIGNATED FEDERAL OFFICIAL:

13 CATHARINE RILEY, PhD, MPH, Health Resources and

14 Services Administration, Maternal and Child

15 Health Bureau

16

17 ORGANIZATIONAL REPRESENTATIVES:

18 ROBERT OSTRANDER, M.D., American Academy of

19 Family Physicians, Valley View Family Practice

20 DEBRA FREEDENBERG, M.D., Ph.D., American Academy

21 of Pediatrics, Texas Department of State Health

22 Services

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 MICHAEL S. WATSON, PH.D., FACMG, American College  
2 of Medical Genetics

3 BRITTON RINK, M.D., M.S., American College of  
4 Obstetricians & Gynecologists, Mount Carmel  
5 Health Systems

6 JED L. MILLER, M.D., M.P.H. Association of  
7 Maternal & Child Health, Maryland Department of  
8 Health Prevention & Health Promotion  
9 Administration, Office for Genetics and People  
10 with Special Health Care Needs

11 SUSAN M. TANKSLEY, PH.D. Association of Public  
12 Health Laboratories, Texas Department of State  
13 Health Services, Laboratory Operations Unit

14 CHRISTOPHER KUS, M.D., M.P.H., Association of  
15 State & Territorial Health Officials, New York  
16 State Department of Health

17 LT COL ADAM B. KANIS, M.D., PH.D., Department of  
18 Medical Corps, U.S. Army, (Army) Surgeon General  
19 for Clinical Genetics, Department of Pediatrics,  
20 MCHK-PE Tripler Army Medical Center

21 JACLYN SEISMAN, Genetic Alliance, Maternal and  
22 Child Health Programs

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 SIOBHAN DOLAN, M.D., M.P.H. March of Dimes,  
2 Albert Einstein College of Medicine and  
3 Montefiore Medical Center, Department of  
4 Obstetrics & Gynecology and Women's Health  
5 CATE WALSH VOCKLEY, M.S., CGCS, National Society  
6 of Genetic Counselors, Children's Hospital of  
7 Pittsburgh  
8 CAROL L. GREENE, M.D., Society for Inherited  
9 Metabolic Disorders, University of Maryland  
10 School of Medicine, Pediatrics Genetics Clinic,  
11 Adult Genetics Clinic  
12  
13 OTHERS:  
14 SONDI APONTE, Education & Outreach Manager,  
15 Arizona State Laboratory -- Office of Newborn  
16 Screening  
17 STANTON L. BERBERICH, PhD, Program Manager  
18 Medical Screening, State Hygienic Laboratory at  
19 The University of Iowa  
20 AARON GOLDENBERG, PhD, MPH, Case Western Reserve  
21 University  
22 TONYA MCCALLISTER, Supervisor, Newborn Screening

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Lab, Public Health Laboratory, Oklahoma State  
 2 Department of Health

3

4 C O N T E N T S

5 DAY 1

	PAGE
6	
7 WELCOME	8
8 ROLL CALL	8
9 OPENING REMARKS	13
10 NOVEMBER 2017 MINUTES	14
11 FEBRUARY 2018 MINUTES	16
12 NEWBORN SCREENING EDUCATION AND TRAINING	29
13 TOOLS: A COMMUNICATION AID AND AN	
14 EDUCATIONAL PLANNING GUIDE	
15 CDC QUALITY ASSURANCE AND HARMONIZATION	69
16 ACTIVITIES	
17 REVIEW AND COMMITTEE DISCUSSION: CUTOFFS	103
18 AND RISK ASSESSMENT IN NEWBORN SCREENING	
19 WORKING ON TIMELINESS IN NEWBORN SCREENING:	147
20 LESSONS LEARNED FROM STATES	
21 OVERVIEW: ASSESSING THE PUBLIC HEALTH SYSTEM	214
22 IMPACT OF ADDING CONDITIONS TO THE RUSP	

OLENDER REPORTING, INC.  
 1100 Connecticut Avenue NW, #810, Washington, DC 20036  
 Washington: 202-898-1108 • Baltimore: 410-752-3376  
 Toll Free: 888-445-3376

1 ADJOURN

218

2

3

4

P R O C E E D I N G S

5

DR. JOSEPH BOCCHINI: Well, good morning,  
6 everyone. I'd like to welcome you to the second  
7 meeting of 2018 of the Advisory Committee on  
8 Heritable Disorders in Newborns and Children.

9

So, I'd like to begin by taking a roll call.

10

So, for day 1 -- Kamila Mistry will not  
11 be here for day 1 but will be here tomorrow.

12

Mei Baker?

13

DR. MEI WANG BAKER: Here.

14

DR. JOSEPH A. BOCCHINI, JR.: Susan  
15 Berry?

16

DR. SUSAN A. BERRY: Here.

17

DR. JOSEPH A. BOCCHINI, JR.: I'm here.

18

Jeff Brosco?

19

DR. JEFFREY P. BROSCO: Here.

20

DR. JOSEPH A. BOCCHINI, JR.: Carla  
21 Cuthbert?

22

DR. CARLA CUTHBERT: Here.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 DR. JOSEPH A. BOCCHINI, JR.: Kellie  
2 Kelm?

3 DR. KELLIE B. KELM: Here.

4 DR. JOSEPH A. BOCCHINI, JR.: And then  
5 the Health Resources Service Administration  
6 alternate, Joan Scott?

7 MS. JOAN SCOTT: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: Dieter  
9 Matern?

10 DR. DIETRICH MATERN: Here.

11 DR. JOSEPH A. BOCCHINI, JR.: Cynthia  
12 Powell?

13 DR. CYNTHIA M. POWELL: Here.

14 DR. JOSEPH A. BOCCHINI, JR.: Melissa  
15 Parisi for National Institute of Health?

16 DR. MELISSA PARISI: Here.

17 DR. JOSEPH A. BOCCHINI, JR.: Annamarie  
18 Saarinen?

19 MS. ANNAMARIE SAARINEN: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Scott  
21 Shone?

22 DR. SCOTT M. SHONE: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: And Beth  
2 Tarini will be in on webcast. Are you here yet?

3 (No audible response)

4 DR. JOSEPH A. BOCCHINI, JR.: She will be  
5 here in a little while. She's running a little  
6 bit late.

7 Cathy Wicklund?

8 MS. CATHERINE A. L. WICKLUND: Here.

9 DR. JOSEPH A. BOCCHINI, JR.: And then,  
10 DFO Catharine Riley.

11 DR. CATHARINE RILEY: Here.

12 DR. JOSEPH A. BOCCHINI, JR.: For  
13 organizational representatives -- American  
14 Academy of Family Physicians, Robert Ostrander?

15 DR. ROBERT OSTRANDER: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: American  
17 Academy of Pediatrics, Debra Freedenberg?

18 DR. DEBRA FREEDENBERG: Here.

19 DR. JOSEPH A. BOCCHINI, JR.: American  
20 College of Medical Genetics, Michael Watson?

21 DR. MICHAEL S. WATSON: Here.

22 DR. JOSEPH A. BOCCHINI, JR.: American

1 College of Obstetricians and Gynecologists,  
2 Britton Rink by webcast?

3 (No audible response)

4 DR. JOSEPH A. BOCCHINI, JR.: The  
5 Association of Maternal and -- and Child Health  
6 Programs, Jed Miller?

7 DR. JED MILLER: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: And I'd  
9 like to introduce Dr. Miller. Jed is the new  
10 Association of Maternal and Child Health Programs  
11 representative. He serves on the Maryland  
12 Department of Health Maternal and Child Health  
13 Bureau as the Director of the Office for Genetics  
14 and People with Special Health Care Needs.

15 Before joining the Maryland Department of  
16 Health, Jed was a general pediatrician in private  
17 practice and then an environmental health advisor  
18 at the Maryland Department of the Environment.  
19 Jed completed undergraduate work at the  
20 University of Pittsburgh Medical School at UCLA,  
21 a residency in pediatrics at the University of  
22 Virginia, and public health training through the

1 Johns Hopkins University. So, welcome to the  
2 Committee.

3 I would like to thank Dr. Kate Tullis for  
4 her important work over the years that she did as  
5 the previous AMCHP organizational representative.

6 Next, Association of Public Health  
7 Laboratories, Susan Tanksley?

8 DR. SUSAN M. TANKSLEY: Here.

9 DR. JOSEPH A. BOCCHINI, JR.: Association  
10 of State and Territorial Health Officials, Chris  
11 Kus, by webcast?

12 (No audible response)

13 DR. JOSEPH A. BOCCHINI, JR.: Department  
14 of Defense, Adam Kanis, by webcast?

15 COL ADAM B. KANIS: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: Genetic  
17 Alliance, Jackie Seisman, substituting for  
18 Natasha Bonhomme today.

19 MS. JACLYN SEISMAN: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: March of  
21 Dimes, Siobhan Dolan, by webcast?

22 (No audible response)

1 DR. JOSEPH A. BOCCHINI, JR.: National  
2 Society of Genetic Counselors, Cate Walsh  
3 Vockley?

4 MS. CATE WALSH VOCKLEY: Here.

5 DR. JOSEPH A. BOCCHINI, JR.: And Society  
6 of Inherited Metabolic Disorders, Carol Greene?

7 DR. CAROL GREENE: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: I think  
9 that's everybody.

10 So, for the Society of Inherited  
11 Metabolic Disorders, Dr. Shawn McCandless will  
12 begin serving as the new organizational  
13 representative from the society in August. I  
14 will formally introduce him in August unless he  
15 appears for part of the meeting today, and --

16 But, first, I want to thank Dr. Carol  
17 Greene for her many years of service to this  
18 Committee through her -- the multiple roles that  
19 she has played, and especially for her many years  
20 of service as the SIMD organizational  
21 representative. She's been an active member of  
22 the Follow-up and Treatment and has contributed

1 to much of the work that has been done here over  
2 the years, including the recent report on medical  
3 foods.

4           And so, Carol, we certainly value all of  
5 your comments and all of your participation in  
6 discussions and how you helped frame many of the  
7 arguments and discussions that we have here. So,  
8 thank you very much for your years of service.

9           (Applause)

10           DR. JOSEPH A. BOCCHINI, JR.: So, we now  
11 have the approval of minutes. We have two sets  
12 of minutes to approve, and apparently, we need to  
13 approve them separately. So, we have received  
14 some minor edits in the briefing book. The last  
15 iteration that you received has the most recent  
16 comments that were available at the time the --  
17 the book was sent. There have been a few other,  
18 minor edits, nothing of substance to add to the  
19 minutes that we have received since this has come  
20 out.

21           So, this is to approve the minutes as  
22 they were -- were distributed for the November

1 2017 meeting. So, we'll go in alphabetical  
2 order. You vote to approve, yes or no.

3 Mei Baker?

4 DR. MEI WANG BAKER: Yes.

5 DR. JOSEPH A. BOCCHINI, JR.: Susan  
6 Berry?

7 DR. SUSAN A. BERRY: Yes.

8 DR. JOSEPH A. BOCCHINI, JR.: I vote to  
9 approve.

10 Jeff Brosco?

11 DR. JEFFREY P. BROSCO: Yes.

12 DR. JOSEPH A. BOCCHINI, JR.: Carla  
13 Cuthbert?

14 DR. CARLA CUTHBERT: Yes.

15 DR. JOSEPH A. BOCCHINI, JR.: Kellie

16 Kelm?

17 DR. KELLIE B. KELM: Yes.

18 DR. JOSEPH A. BOCCHINI, JR.: Dieter

19 Matern?

20 DR. DIETRICH MATERN: Yes.

21 DR. JOSEPH A. BOCCHINI, JR.: Melissa

22 Parisi?

1 DR. MELISSA PARISI: Yes.

2 DR. JOSEPH A. BOCCHINI, JR.: Cynthia

3 Powell?

4 DR. CYNTHIA M. POWELL: Yes.

5 DR. JOSEPH A. BOCCHINI, JR.: Annamarie

6 Saarinen?

7 MS. ANNAMARIE SAARINEN: Yes.

8 DR. JOSEPH A. BOCCHINI, JR.: Joan Scott?

9 MS. JOAN SCOTT: Approve.

10 DR. JOSEPH A. BOCCHINI, JR.: Scott

11 Shone?

12 DR. SCOTT M. SHONE: Yes.

13 DR. JOSEPH A. BOCCHINI, JR.: And then,

14 Beth, have you made it onto the phone?

15 (No audible response)

16 DR. JOSEPH A. BOCCHINI, JR.: Catherine

17 Wicklund?

18 MS. CATHERINE A. L. WICKLUND: Yes.

19 DR. JOSEPH A. BOCCHINI, JR.: So, those

20 are approved.

21 The same for the February minutes. You

22 have the latest draft. There have been some

1 minor edits, subsequent to that, submitted.

2 Mei Baker?

3 DR. MEI WANG BAKER: Yes.

4 DR. JOSEPH A. BOCCHINI, JR.: Susan

5 Berry?

6 DR. SUSAN A. BERRY: Yes.

7 DR. JOSEPH A. BOCCHINI, JR.: I approve.

8 Jeff Brosco?

9 DR. JEFFREY P. BROSCO: Yes.

10 DR. JOSEPH A. BOCCHINI, JR.: Carla

11 Cuthbert?

12 DR. CARLA CUTHBERT: Yes.

13 DR. JOSEPH A. BOCCHINI, JR.: Kellie

14 Kelm?

15 DR. KELLIE B. KELM: Yes.

16 DR. JOSEPH A. BOCCHINI, JR.: Dieter

17 Matern?

18 DR. DIETRICH MATERN: Yes.

19 DR. JOSEPH A. BOCCHINI, JR.: Melissa

20 Parisi?

21 DR. MELISSA PARISI: Yes.

22 DR. JOSEPH A. BOCCHINI, JR.: Cynthia

1 Powell?

2 DR. CYNTHIA M. POWELL: Yes.

3 DR. JOSEPH A. BOCCHINI, JR.: Annamarie

4 Saarinen?

5 MS. ANNAMARIE SAARINEN: Yes.

6 DR. JOSEPH A. BOCCHINI, JR.: Joan Scott?

7 MS. JOAN SCOTT: Yes.

8 DR. JOSEPH A. BOCCHINI, JR.: Scott

9 Shone?

10 DR. SCOTT M. SHONE: Yes.

11 DR. JOSEPH A. BOCCHINI, JR.: Cathy

12 Wicklund?

13 MS. CATHERINE A. L. WICKLUND: Yes.

14 DR. JOSEPH A. BOCCHINI, JR.: So, those  
15 are approved.

16 So, the next item is Committee  
17 correspondence. In March, on behalf of the  
18 Committee, I sent a letter to the Secretary  
19 regarding our recommendation to expand the  
20 Recommended Uniform Screening Panel to include  
21 the addition of spinal muscular atrophy due to  
22 the homozygous deletion of exon 7 in SMN1. We

1 received an interim response from HHS indicating  
2 that the letter was received and that we will  
3 have a response within the 120 days that is  
4 required by the Newborn Screening Saves Lives  
5 Reauthorization Act of 2014. Both letters and  
6 the full SMA evidence review report are now  
7 available on the Committee's website.

8           Next item was the call for new members in  
9 2018. The nominations call has now closed. We  
10 have had a number of excellent submissions, and  
11 these nominations are currently under review.

12           Next is the call for organization  
13 representatives. Clearly, the Committee values  
14 the expertise and input from the organizational  
15 representatives. HRSA will be putting out a call  
16 for organizations interested in having a  
17 representative attend Committee meetings. I want  
18 to thank and, again, acknowledge the  
19 organizations that have already expressed  
20 interest in having an organizational  
21 representative here at these meetings. Your  
22 applications are all under review.

1           Also want to announce that, as per  
2 discussions that we've had at the last couple of  
3 meetings, we would like to begin to revisit the  
4 evidence review process, and in doing so, we will  
5 establish a steering committee to begin that  
6 process. It is very important that we  
7 periodically review the processes that we have in  
8 place for completion of evidence review and --  
9 and continue to use the standards that have  
10 evolved in the field of evidence review, as well  
11 as the lessons that we've learned from past  
12 iterations and subjects that we have reviewed.  
13 And to tackle this effort, we will create the  
14 steering committee, which will be comprised of  
15 Committee members, HRSA staff, individuals from  
16 the current Evidence Review Group, and experts  
17 from the field of evidence-based medicine and  
18 public health.

19           The charge will be to review the current  
20 evidence-review process and decision-making  
21 process so that we can think through how the  
22 evidence review is actually being conducted, do

1 we need to make any changes in that evidence  
2 review, how is the decision matrix working, does  
3 it need to be revised, and what would a process  
4 look like for potentially nominating conditions  
5 for removal from the RUSP. This has certainly  
6 come up at a number of meetings, and -- and I  
7 think it's time for the Committee to consider how  
8 to address this issue.

9           So, this process will begin shortly, with  
10 the hope that we will put together a meeting  
11 coming up in early or late summer to begin to  
12 really evaluate this process.

13           Dieter?

14           DR. DIETRICH MATERN: Dieter Matern. I -  
15 - I appreciate that you're moving forward with  
16 that initiative. Does it also include a process  
17 to up- or downgrade a condition that might be a  
18 primary target and might should be a secondary  
19 target and vice versa?

20           DR. JOSEPH A. BOCCHINI, JR.: Yes, that's  
21 a -- a good suggestion. Yes. Thank you. We'll  
22 make sure that's included.

1 (Pause in proceedings)

2 DR. JOSEPH A. BOCCHINI, JR.: Back on.

3 Thank you. That's my first failure to speak  
4 loudly. Okay. So, assessing implementation of  
5 new conditions --

6 One of the things that we would like to  
7 do is -- is evaluate what we have done and how  
8 things have been going overall with the  
9 conditions that we have recently recommended that  
10 were subsequently added to the RUSP. So, again,  
11 I'll be asking a few Committee members to help  
12 work on this. This will be a retrospective look  
13 on how implementation has gone, and as you can  
14 see, some of the key things are listed here: Were  
15 the estimated time frames accurate? What  
16 barriers and challenges were encountered that we  
17 did not foresee as a Committee or identify in the  
18 -- in the review? And what have been the overall  
19 clinic -- clinical and public health implications  
20 of the new conditions that we have added to the  
21 RUSP?

22 We've already heard some feedback about

1 critical congenital heart disease and -- and  
2 severe combined immunodeficiency disorders, but  
3 we should expand that and look at the more recent  
4 conditions that have added different nuances in  
5 terms of identifying patients with a longer term  
6 before onset of symptoms and -- and a variety of  
7 different other, potential public health  
8 implications.

9 So, the -- on the --

10 (Off-mic speaking)

11 DR. JOSEPH A. BOCCHINI, JR.: Yes.

12 DR. JEFFREY P. BROSCO: Jeff Brosco. Do  
13 we explicitly consider things like ethical issues  
14 that have arisen and/or economic issues, like  
15 availability of treatment? Will that be  
16 included?

17 DR. JOSEPH A. BOCCHINI, JR.: Correct,  
18 that -- that -- Yes. And as we develop these  
19 approaches, we'll certainly want significant  
20 input from the Committee. There will be members  
21 of the Committee that will be helpful in framing  
22 the specific questions that we need to ask and

1 get answers to.

2           So, next meeting -- You can see here  
3 that the August 02nd meeting will be held by  
4 webinar, and then subsequent meeting dates have  
5 been set up through 2020 and can be found on the  
6 Committee's website.

7           So, for today, we have on the agenda the  
8 following items: There'll be a discussion of  
9 newborn screening education and training tools  
10 that have been brought forth by the Education  
11 Training Workgroup. There'll be a presentation  
12 on CDC quality assurance and harmonization  
13 activities; discussion, subsequently, of cutoffs  
14 and risk assessment in newborn screening; an  
15 update on timeliness in newborn screening,  
16 lessons learned from states; and discussion on  
17 the assessment of the public health system impact  
18 of adding conditions to the RUSP.

19           Tomorrow, we will have an update on the -  
20 - on newborn screening pilot studies for GAMT  
21 deficiency. We'll have public comment, updates  
22 from the Workgroup meetings that are being held

1 this afternoon, and then discussion on the  
2 current survey tools for the public health system  
3 impact assessment.

4           So, now I'd like to turn this over to  
5 Catharine Riley, who will go over the DFO slides.  
6 Catharine?

7           DR. CATHARINE RILEY: Thank you, Dr.  
8 Bocchini, and good morning to everyone that's  
9 here with us in the room, and good morning to all  
10 those that are joining us from various time zones  
11 across the country via the webcast. So, thank  
12 you for joining us today.

13           This Advisory Committee's legislative  
14 authority is found in the Newborn Screening Saves  
15 Lives Reauthorization Act of 2014. This  
16 legislation established the Committee and  
17 provides the duties and scope of the work for the  
18 Committee.

19           However, all Committee activities are  
20 governed by the Federal Advisory Committee Act,  
21 which sets the standards for the establishment,  
22 utilization, and management of all federal

1 advisory committees. As Committee members on a  
2 federal advisory committee, you are subject to  
3 the rules and regulations for all special  
4 government employees.

5           So, I have some standard reminders to the  
6 Committee that I'd like to go over. I want to  
7 remind the Committee members that, as a  
8 Committee, you are advisory to the Secretary of  
9 the Health and Human Services, not to Congress.  
10 For anyone associated with the Committee or due  
11 to your membership on the Committee, if you  
12 receive inquiries about the Committee or the  
13 Committee's work, please let Dr. Bocchini and  
14 myself know prior to committing to an interview.

15           And I also want to remind Committee  
16 members that you must recuse yourself from  
17 participation in all particular matters likely to  
18 affect the financial interests of any  
19 organization with which you serve as an officer,  
20 director, trustee, or general partner, unless you  
21 are also an employee of the organization or  
22 unless you have received a waiver from HHS

1 authorizing you to participate. When a vote is  
2 scheduled or there is an activity proposed and  
3 you have a question about a potential conflict of  
4 interest, please let me know immediately.

5           So, per the Federal Advisory Committee  
6 Act, all Committee meetings are open to the  
7 public. If the public wishes to participate in  
8 the discussion, the procedures for doing so are  
9 published in the Federal Register with the  
10 announcement of the meeting. The notice of the  
11 meeting in the Federal Register for this meeting  
12 indicated two options for public comment: a  
13 request to make oral comments at the meeting,  
14 which will be held tomorrow, from 10:15 to 10:45.  
15 There was also the option to submit written  
16 statements. We did not receive any written  
17 statements for this meeting. So, any further  
18 public participation will be at the discretion of  
19 Dr. Bocchini as the Chair or myself as the DFO.

20           Any questions from Committee members  
21 before proceeding?

22           (No audible response)

1 DR. CATHARINE RILEY: Okay. Just a  
2 little bit of logistics, then, for today and  
3 tomorrow for having a meeting here in this  
4 building. Visitors -- I want -- need to remind  
5 all visitors that you only have access to the  
6 pavilion, which is the meeting room that we're  
7 in, the cafeteria, restrooms, and then, if you  
8 are going to participate in the meetings this  
9 afternoon. All areas of the facility are  
10 restricted and do require a HRSA staff member to  
11 escort you. There are no exceptions for this.

12 If you need to leave and reenter, you'll  
13 be required to go through security again and will  
14 require a HRSA escort to meet you at the security  
15 main entrance. For those who need to leave at  
16 lunch, about 15 minutes before lunch concludes  
17 and a little bit after our lunch break ends,  
18 there will be HRSA escorts at the main entrance  
19 in case people do need to leave and return for  
20 lunch. For all other reentry needs, please find  
21 a HRSA staff member or talk with one of the  
22 registration folks at the registration table and

1 let us know.

2           And then, just some other housekeeping is  
3 just to remind folks that visitors are not  
4 allowed to take any video or photography in the  
5 building, and in case of emergency, you can exit  
6 through the front doors, which is where you all  
7 came in. There is a parking lot across the  
8 street from the front entrance where folks that  
9 are exiting from this floor all meet. In the  
10 case of emergency, we want folks to exit quickly,  
11 so you can -- you can leave your belongings here.

12           And that's it. So, I want to turn it  
13 back over to Dr. Bocchini to get started. Thank  
14 you.

15           DR. JOSEPH A. BOCCHINI, JR.: Thank you.  
16 Let's see, Dr. Tarini, have you had a chance to  
17 get on the webcast?

18           (No audible response)

19           DR. JOSEPH A. BOCCHINI, JR.: She has  
20 not. Okay. Cathy? No?

21           MS. CATHERINE A. L. WICKLUND: I haven't  
22 -- I haven't seen the slides. I could --

1 DR. JOSEPH A. BOCCHINI, JR.: Okay.

2 MS. CATHERINE A. L. WICKLUND: -- try if  
3 you'd like.

4 DR. JOSEPH A. BOCCHINI, JR.: You want?  
5 Okay. So -- Yeah, Beth has a -- a -- a conflict  
6 and was trying to get to the -- to the webcast as  
7 soon as she could, so hopefully, she will break  
8 in somewhere along the line. But, Cathy, if you  
9 feel comfortable doing this --

10 Let me just give the background. The --  
11 both Cathy and Beth are co-chairs of the  
12 Education and Training Workgroup, and the plan  
13 was to present two products that the Workgroup  
14 has been working on, along with ideas for ways to  
15 disseminate the information. And this was --  
16 these products were ready for presentation --  
17 nearly ready in February, but our meeting was  
18 truncated, and, as a result, we didn't have the  
19 opportunity to hear them.

20 But just as a refresher, the -- the --  
21 the Committee provided guidance to the Workgroup,  
22 in 2016, to look at development of two products.

1 The first project was to create a tool that  
2 provides PCPs with guidance and tips for  
3 discussing out-of-range newborn screening results  
4 with parents, and these were designed -- to be  
5 designed to be an accompaniment to the ACT sheets  
6 that are currently available. Project two was to  
7 -- an educational outreach project to map  
8 educational materials with dissemination to  
9 target audiences that would be embedded them --  
10 embedded within their resources. And so, the  
11 goal here was to present the materials -- okay --  
12 and then to see if the Committee can reach a  
13 consensus that these tools are ready for  
14 dissemination and then agree on -- or weigh in on  
15 the dissemination plans and best use of these  
16 tools.

17 So, Cathy, we'll let you --

18 MS. CATHERINE A. L. WICKLUND: Do you  
19 want me to stay here?

20 DR. JOSEPH A. BOCCHINI, JR.: Your  
21 choice. If you want --

22 MS. CATHERINE A. L. WICKLUND: Stay,

1 yeah.

2 DR. JOSEPH A. BOCCHINI, JR.: You'll  
3 stay, okay.

4 (Off-mic speaking)

5 DR. JOSEPH A. BOCCHINI, JR.: All right.

6 FEMALE SPEAKER: Turn on your mic.

7 MS. CATHERINE A. L. WICKLUND: All right,  
8 you guys. All right. I -- the work -- this is  
9 our overall Workgroup charge, you guys, and,  
10 basically, our charge is to review existing  
11 educational and training resources, identify  
12 gaps, and make recommendations. And our  
13 stakeholders are pretty broad, anywhere from  
14 health professionals, parents, screening program  
15 staff, hospital birthing facilities, and the  
16 public, and I think we've also thrown in, like,  
17 legislators and that kind of thing, as well, in  
18 this group.

19 And the next slide. So, this is the --  
20 Okay, the next slide. And Cate can -- Cate led  
21 the effort on this, so if you -- Cate, if I leave  
22 something out, please chime in. But this was the

1 project. What we were doing is trying to give  
2 more guidance to different stakeholders when  
3 they're creating education materials about  
4 newborn screening. So, if you guys remember,  
5 this is what we were initially, kind of, calling  
6 the matrix or educational matrix. So, this was  
7 not just to be used by newborn screening  
8 programs. It actually could be utilized by many  
9 different stakeholders.

10 Next slide. So, if you guys remember, it  
11 was an actual grid that basically, in each of the  
12 columns, listed different content areas of  
13 newborn screening and different educational  
14 components, and then the stakeholders were  
15 listed, as well. So, you could find yourself as  
16 the stakeholder, and then, across the grid, it  
17 would basically say which educational components  
18 should be included in the different educational  
19 materials that you might be creating. And,  
20 obviously, it can be used like an index and was  
21 specific to each stakeholder.

22 Next slide. So, basically, it is

1 designed to help people -- and -- well, let me  
2 say this, too. This was also based on  
3 educational theory -- Right, Cate? -- in how to  
4 create educational materials. So, there was some  
5 basis in how this tool was created, and, really,  
6 we really brainstormed a lot about trying to  
7 include all the different stakeholders and really  
8 thinking about, from that perspective, what kind  
9 of information they would need to know about  
10 newborn screening.

11 Next slide. So, apparently, there was  
12 blood, sweat, and tears involved, really.

13 (Laughter)

14 (Off-mic speaking)

15 MS. CATHERINE A. L. WICKLUND: Wow, was  
16 there fighting, blood? Okay. I'm glad I was on  
17 the other group.

18 So, there were a lot of different  
19 revisions by a small group -- workgroup of the  
20 E&T members, and, also, this came to the full  
21 Committee several times for input, as well. It  
22 was also reviewed by different stakeholder

1 groups. There was, I think, a meeting that was  
2 hosted by the Genetic Alliance that it was taken  
3 to and presented to different stakeholders and  
4 gotten feedback in that way. So, there was a lot  
5 of input from external people, as well. And  
6 also, if you guys remember, this was reviewed by  
7 the Committee members, as well.

8           Next slide. So, again, the intended  
9 users of this are public, families, programmatic  
10 people, legislative providers. You know, we  
11 really tried to be comprehensive about this.

12           Next slide. And this is what I -- Cate,  
13 maybe you can chime in here, because I'm not as  
14 familiar with what you guys came up with the  
15 dissemination plan. It looks like they're going  
16 to, obviously, house on the Committee's website  
17 and the clearing house, and others will be able  
18 to link to it. There was discussion about a  
19 publishable manuscript or white paper. Also, the  
20 APHL webinar NewSTEPS listserv, and then probably  
21 linking with other professional societies and  
22 organizations. So, for instance, NSGC would be a

1 good one. If a genetic counselor was going to be  
2 creating educational material, they could use  
3 that, as well.

4 And, Cate, do you want to add anything  
5 into that?

6 MS. CATE WALSH VOCKLEY: Yeah, I think  
7 one of the things we want to do, particularly in  
8 terms of the publishable manuscript, is to  
9 provide a little bit more explication of what the  
10 different content areas are, what we were  
11 thinking, because as we had stakeholders review  
12 it, we did get a little bit of feedback that made  
13 it clear that there was a little bit of -- of  
14 difference in the way some people interpreted the  
15 content areas. So, I think that's one area where  
16 we do want to provide some clarification.

17 MS. CATHERINE A. L. WICKLUND: That makes  
18 sense. Thank you.

19 Next slide. Any questions at this point?  
20 Do you want me to take any questions about the  
21 actual education guide?

22 DR. JOSEPH A. BOCCHINI, JR.: Yeah, let's

1 see if there's any question or -- any questions  
2 or comments or feedback to what Cathy has just  
3 presented.

4 MS. CATHERINE A. L. WICKLUND: And I know  
5 -- oh, go ahead -- there's a final version that's  
6 in the briefing book; is that correct?

7 DR. JOSEPH A. BOCCHINI, JR.: Correct.

8 MS. CATHERINE A. L. WICKLUND: Okay.

9 DR. JOSEPH A. BOCCHINI, JR.: All right.  
10 Scott? Okay.

11 DR. SCOTT M. SHONE: Scott Shone. I  
12 think it would be great -- In the dissemination  
13 plan, when you talk about organizations, I think  
14 it would be great -- I mean, obviously, the  
15 organizations who are represented on this  
16 Committee should -- should make this a priority,  
17 as well, if the -- as we go through and the  
18 Committee decides this is forthcoming that -- I  
19 mean, that they, not just the NSGC but everybody  
20 in general that's sitting back behind us, as  
21 well. So, that would be my suggestion.

22 MS. CATHERINE A. L. WICKLUND: And --

1 Agree, and that was one of the things with the  
2 communication guide. We, kind of, made sure we  
3 listed out every single liaison that we have on  
4 this Committee, and one thing we talked about,  
5 too, was being cognizant of presenting both of  
6 these to the organizations at the same time to be  
7 able to talk about the communication guide and  
8 the education tool, so that we can think about  
9 the ways to disseminate those with each  
10 professional organization. And some might be  
11 more relevant for others. I think this -- the  
12 education guide is fairly relevant for all --  
13 almost all of the different professional  
14 societies, where the communication guide, maybe,  
15 is not, so.

16 DR. JOSEPH A. BOCCHINI, JR.: All right.  
17 Jeff?

18 DR. JEFFREY P. BROSCO: One other thing  
19 to -- to think about, in terms of dissemination,  
20 is how to build this into practice. So, for  
21 example, in -- in Florida, we recently -- our  
22 email, just -- and our newborn screening advisory

1 group said, you know, there's still some kids who  
2 are getting diagnosed with SCID who are getting  
3 vaccines, so we should really, you know, educate  
4 all the pediatricians. And what we ended up  
5 doing is connecting our newborn screening lab  
6 with our Florida SHOTS Registry for vaccines, so  
7 that as a child's record pops up, there's a  
8 banner that says, "This child has been diagnosed  
9 with SCID; please be cautious," and, you know,  
10 talk about the vaccines.

11 So, thinking about ways that can be built  
12 into the electronic medical record or something,  
13 so there's just-in-time information, may --

14 MS. CATHERINE A. L. WICKLUND: Yeah.

15 DR. JEFFREY P. BROSCO: -- be very  
16 helpful, as well.

17 MS. CATHERINE A. L. WICKLUND: That would  
18 be great. And I think, too, when we think about  
19 dissemination, this is our biggest challenge.  
20 And we know that a lot of the stuff that we  
21 create ends up sitting on some website that  
22 doesn't get utilized. And so, I do think having

1 a discussion with not just organizations that we  
2 might partner with but really thinking about how  
3 that's going to take it to the next step and --  
4 like clinical decision support, right. I mean,  
5 if -- if -- especially like this -- this  
6 communication guide could be, actually, somehow  
7 pop up with an abnormal newborn screen for that  
8 provider. That would be pretty amazing. I also  
9 know, working, myself, with our own EHR, and  
10 getting clinical decision support tools and  
11 practice are -- are pretty difficult, but that's  
12 something that we could think about. I think  
13 that's a good -- good suggestion.

14 DR. JOSEPH A. BOCCHINI, JR.: Other  
15 questions or comments from Committee,  
16 organizational representatives?

17 Yes, Jackie.

18 MS. JACLYN SEISMAN: Hi, Jackie Seisman,  
19 Genetic Alliance. Similar to the comment about  
20 building it into your practice, are there any  
21 plans or opportunities for the Committee to  
22 validate these tools or track it in any way?

1 MS. CATHERINE A. L. WICKLUND: We have  
2 not had that discussion, but I think that's a  
3 good one to have, and we should think about that  
4 today on our E&T Workgroup, which you'll be  
5 attending, won't you?

6 (Laughter)

7 MS. CATHERINE A. L. WICKLUND: Jackie can  
8 spearhead that initiative.

9 DR. JOSEPH A. BOCCHINI, JR.: Great.  
10 Joan? Oh. Cate.

11 MS. CATE WALSH VOCKLEY: We did, in the  
12 small workgroup, have some discussions about  
13 validation of the education tool. We first had  
14 the stakeholders all look at it and provide  
15 feedback in terms of whether or not they thought  
16 we had done a comprehensive job, but we looked  
17 at, you know, people utilizing the tool and  
18 coming back to us and saying how valuable or not  
19 or can it be modified to make it a better tool.  
20 Who that might be is a question because it could  
21 be all the stakeholders that could utilize it.

22 I know I've had some feedback. Aaron and

1 I were on a call -- Aaron Goldenberg and I were  
2 on a call where there was some interest from some  
3 of the states in providing some validation of the  
4 tool. So, I think we can probably generate  
5 interest to get feedback. Particularly if we're  
6 going to publish something, it might be nice to  
7 have some validation component for our  
8 publication.

9 MS. CATHERINE A. L. WICKLUND: And,  
10 actually, Aaron, I don't know if I can put you on  
11 the spot, but I believe that you had, perhaps, a  
12 graduate student who was, kind of, testing out  
13 this product, as well. Is that correct?

14 DR. AARON GOLDENBERG: Sure. Yes.

15 (Laughter)

16 (Off-mic speaking)

17 DR. AARON GOLDENBERG: So --

18 DR. CATHARINE RILEY: Sorry, this is  
19 Catharine, the DFO. If there's going to be a  
20 person in the -- Yeah, there we go. And if you  
21 can state your name, as well? Thanks, Aaron.

22 DR. AARON GOLDENBERG: Sure. Aaron

1 Goldenberg from Case Western Reserve University  
2 in Cleveland. Yeah, so I have a genetic  
3 counseling student who's about to graduate, who  
4 is completing a study where she used -- utilized  
5 the content that was publicly available that was  
6 presented to this Committee last year -- so, it  
7 was all things that are publicly available --  
8 used the content areas that we put into the -- at  
9 that time, it was called the matrix, and then did  
10 an analysis across all -- well, she did 52  
11 programs, because she included Puerto Rico, and  
12 she included District of Columbia, where she  
13 looked at the content areas, she looked at  
14 readability and literacy, and she looked at user  
15 friendliness. And the hope would be that we can  
16 try to have her present her findings at, maybe,  
17 one of the E&T Workgroup calls or something like  
18 that. But it -- so, it may not reflect the final  
19 version, but it reflected what was publicly  
20 available.

21           So, pretty far along our process in terms  
22 of content and found really interesting data

1 about how well states -- She basically analyzed  
2 all state education materials across all these  
3 programs that were publicly available,  
4 validating, using these questions. And so, it  
5 really is as up-to-date as -- as publicly  
6 possible. And so, we would -- we would -- I  
7 think she would love to be able to share that  
8 data with the Committee. I think it would be  
9 very interesting for the Committee to see it, so.

10 MS. CATHERINE A. L. WICKLUND: Yeah, I  
11 think I -- I can say that, definitely, there was  
12 a lot more conversation about validation,  
13 obviously, in your group, and I don't think we  
14 talked as much about it in the communication  
15 guide. I'm not sure how -- I've had to think a  
16 lot about how to validate that communication  
17 guide, and maybe somebody else has some more  
18 expertise in that area.

19 So, should we go ahead and talk about  
20 that piece?

21 DR. JOSEPH A. BOCCHINI, JR.: Right. We  
22 do understand Beth has made it to the webinar,

1 but before we move on, Carol?

2 DR. CAROL GREENE: Hi, Carol Greene,  
3 SIMD. I apologize if I got really lost or  
4 confused, but I -- I -- I'm trying to track the  
5 connection between the educational resource and  
6 the point that was made about SCID patients in  
7 Florida getting vaccines they shouldn't get. And  
8 I -- I'm not sure if we're then working on a tool  
9 that is involved, somehow, in medical practice  
10 after the screen. I just didn't see the  
11 connection, and it made me question whether I  
12 understood the whole discussion. Sorry.

13 DR. JEFFREY P. BROSCO: This is Jeff  
14 Brosco. So, thank you for the question. So,  
15 what I meant is the just-in-time information  
16 idea. So, say you're working in your electronic  
17 medical record, and you now are seeing a child  
18 with a cold, and this child has one of the  
19 newborn screening conditions. If your electronic  
20 health record were able to have a banner that  
21 says, "Here's more information; here's how you  
22 talk to the family," the information would be

1 available at that moment, when you needed it.  
2 You wouldn't have to rely on having seen  
3 something, heard something, in your previous  
4 education.

5           So, the point was about just-in-time  
6 education, and the point that I made about the  
7 SCID one was, they talked about what we should  
8 try to educate every pediatrician about not  
9 vaccinating children who have immune disorders.  
10 That's thousands of pediatricians in Florida,  
11 very few children with immune disorders. If you  
12 can get the information in a way that happens at  
13 the moment you're about to do something or about  
14 to vaccinate a child, here's information you  
15 need. So, that was the point. I hope that makes  
16 sense.

17           MS. CATHERINE A. L. WICKLUND: Yeah, and  
18 let me just see if I can clarify this. That  
19 isn't something -- the actual educational guide  
20 is not something that would be linked to just-in-  
21 time education, because that is actually the tool  
22 that's being utilized to create the education,

1 and I think that's where you're getting -- maybe  
2 getting -- Yes? Correct?

3 (No audible response)

4 MS. CATHERINE A. L. WICKLUND: I think  
5 you might be talking a little bit more about the  
6 communication guide? Yes. So, does that help  
7 with the clarification?

8 (Off-mic speaking)

9 MS. JOAN SCOTT: I -- Joan Scott. Maybe  
10 the clarification is that the intent of the tool  
11 that you just talked about is general education  
12 about newborn screening before it happens and,  
13 maybe, generally, what happens if you get an  
14 abnormal result. The intent was not to provide  
15 condition-specific information about the  
16 conditions that are being screened. Am -- am I  
17 correct about that?

18 MS. CATHERINE A. L. WICKLUND: Okay.  
19 There's -- Okay, so, remember, we have the  
20 educational tool, which is a tool that if you  
21 were going to create an educational brochure, a  
22 video, or whatever you want, you're utilizing

1 that to help you determine the content that's  
2 included in that tool. Okay? So, that's one  
3 type, and the dissemination of that is going to  
4 look differently. The -- the target audience  
5 would be individuals who are actually creating  
6 the educational content. Does that -- Okay?

7 MS. JOAN SCOTT: The communication --

8 MS. CATHERINE A. L. WICKLUND: Which  
9 could cover a wide range of topics, absolutely.  
10 And it -- it could -- all different areas of  
11 newborn screening. Okay?

12 The communication guide, which we have  
13 not talked about yet, is for providers who are in  
14 the trenches, who are talking to parents about an  
15 abnormal newborn screen, and that would be in --  
16 it -- and what you're saying, Joan, is right,  
17 about this. This is very -- it's supposed to be  
18 broad and not condition specific at all. Right?  
19 The -- the communication guide is. Does that  
20 help clarify? And, I think, Jeff, your talk to  
21 dissemination was about the communication guide,  
22 not so much about the educational tool.

1 DR. JEFFREY P. BROSCO: Correct.

2 MS. CATHERINE A. L. WICKLUND: Right.

3 Carol, does that help?

4 DR. CAROL GREENE: It -- it -- it does  
5 help, and -- Oh, Carol Greene, SIMD. It -- it -  
6 - it -- it -- it does help, and, also, the  
7 abnormal newborn screening communication guide is  
8 not condition specific, but it's an alert. But  
9 it wouldn't be an alert for a baby with a  
10 diagnosis; it's an alert about a baby with a  
11 positive screen. And once you have a diagnosis,  
12 then you're going to go back to depending on  
13 whatever is the outcome of the first, which would  
14 be education that would be out there about the  
15 diseases.

16 DR. BETH TARINI: This is Beth. Can you  
17 hear me in the -- Can you hear me in the  
18 webinar?

19 DR. CAROL GREENE: That -- or at least,  
20 that was my understanding.

21 DR. JOSEPH A. BOCCHINI, JR.: Yeah, we  
22 can --

1 MS. CATHERINE A. L. WICKLUND: Yeah.

2 DR. JOSEPH A. BOCCHINI, JR.: We can hear  
3 you, Beth.

4 DR. BETH TARINI: Is there some way in  
5 which -- I know we've been presenting these  
6 multiple times at these meetings. Is there a way  
7 in which we can clarify what's going on to help  
8 the Committee and the liaisons understand the  
9 goals of each of these?

10 For instance, would it help, Carol, if we  
11 called it a out-of-range newborn screening  
12 communication guide, therefore immediately  
13 identifying it as not a discussion about the  
14 positives? And would it help if we called the  
15 educational matrix a resource to building  
16 educational tools for newborn screening  
17 stakeholders and made it a very specific, long  
18 title that would guide the understanding?

19 DR. CAROL GREENE: So -- so, this is  
20 Carol Greene, SIMD, and I think I understood it  
21 with the current title, and I think you would  
22 have to ask Jeff --

1 MS. CATHERINE A. L. WICKLUND: Yes, let's  
2 -- You guys --

3 DR. CAROL GREENE: -- because I think --

4 MS. CATHERINE A. L. WICKLUND: -- I think  
5 we need to --

6 DR. CAROL GREENE: I'm sorry.

7 MS. CATHERINE A. L. WICKLUND: I'm going  
8 to stop this. I'm going to stop. I'm doing it,  
9 guys. I'm just doing it, okay, because I think  
10 that this is getting beyond the scope of -- and I  
11 think there's just miscommunication and confusion  
12 that is not helping us move forward. So, I'm  
13 going to stop, and we're going to move forward  
14 with the communication guide.

15 Beth, do you want me to continue on, or  
16 do you want to jump in and take --

17 DR. BETH TARINI: You -- I'm fine with --  
18 - I'm fine with either since it -- but since  
19 you're more familiar with it, it might better for  
20 you.

21 MS. CATHERINE A. L. WICKLUND: Okay, I'll  
22 just keep going. All right. Next slide. Let's

1 get through this, too. That might help. Okay,  
2 this was -- The goal of this project was to  
3 create a guide that would help clinicians who are  
4 in the trenches discuss the initial notification  
5 and discussion of out-of-range results with  
6 parents about newborn screening results. And if  
7 you guys remember, this was also going back to  
8 the work that Natasha and Carol -- right? -- I  
9 think you did with some focus groups with parents  
10 who expressed dissatisfaction about the initial  
11 notification discussion.

12           Next slide. So, we -- it was really  
13 about how to communicate, not exactly what to  
14 communicate. There is a little bit of "what" in  
15 there, but we tried to be brief. We built upon  
16 the family focus group work that it's already  
17 conducted. We were trying to utilize known and  
18 studied protocol for providing high-anxiety news.  
19 We also had it reviewed by a communication health  
20 expert, with their input, as well, and so there  
21 were several different -- And we -- yes, it was  
22 really important to be bulleted, to the point,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 fitting on one page, that, hopefully, a provider  
2 would actually utilize in their practice.

3           Next slide. So, remember, the goal of  
4 this is to help clinicians to fulfill the  
5 following objectives: discussing the results and  
6 relevant medical information, gathering  
7 information from the family regarding  
8 understanding, providing support to the family,  
9 and collaborating with the family in developing a  
10 follow-up plan.

11           Next slide. Oh, apparently, we had  
12 blood, sweat, and tears, too. Wow. All right.  
13 But there was -- maybe Amy can chime in there.  
14 So, there were a lot of revisions, and we got  
15 feedback from the committee, from the E&T  
16 committee. We also got feedback from the entire  
17 Advisory Committee on this, and we model it after  
18 the SPIKES protocol for delivering unfavorable  
19 information, and, also, genetic counseling core  
20 skills and tenets were utilized, as well.

21           Next slide. So, the intended users are  
22 pretty much anybody who is going to talk about

1 initial notification of out-of-range newborn  
2 screening results to families, and, also, the  
3 state newborn screening programs could also  
4 disseminate to their providers and/or if programs  
5 need to directly notify families themselves.

6           Next slide. So, this was just our  
7 initial, kind of, brainstorming, dissemination  
8 plan. We had a call, I think, within the last  
9 month to really think about all of the different  
10 organizations we could work with, and that was  
11 listing all of the liaisons here, present in the  
12 room, that we thought we could potentially work  
13 with. You can see, like, different people that  
14 we, kind of, came up with and ours really is to  
15 get it to the providers, and I think, again,  
16 Jeff, your comment about having just-in-time  
17 information is probably where this would fall  
18 into it as opposed to the other tool, just to,  
19 again, clarify.

20           Next slide. Oh, so that's it. So, any  
21 questions about the communication guide?

22           DR. JOSEPH A. BOCCHINI, JR.: Carol.

1 DR. CAROL GREENE: Carol Greene, SIMD.  
2 No questions, just, thank you. It's something  
3 that I know, Natasha and Beth, on the phone,  
4 we've been looking for something like this for a  
5 long time, and it's deeply appreciated. I hope  
6 it gets used.

7 DR. JOSEPH A. BOCCHINI, JR.: Yeah, I  
8 agree. It's been really fun watching these  
9 evolve to the products that you've created. So,  
10 I think that's great.

11 Mei --

12 DR. MEI WANG BAKER: I have a --

13 DR. JOSEPH A. BOCCHINI, JR.: -- and then  
14 Joan.

15 DR. MEI WANG BAKER: Mei Baker from  
16 Wisconsin. I have a -- Committee member. I have  
17 a quick question in terms of this communication  
18 guide. Is anything different than the ACT sheet?

19 MS. CATHERINE A. L. WICKLUND: Yeah,  
20 we've -- and we've talked about that. The ACT  
21 sheets are condition specific, and they don't  
22 have anything about how they actually

1 communicate. So, this is very different.

2 MS. JOAN SCOTT: So, I was going to ask a  
3 similar question. Will there be links to -- So,  
4 for providers who are thinking --

5 MS. CATHERINE A. L. WICKLUND: Yes.

6 MS. JOAN SCOTT: -- about how to report  
7 these results but then the specific condition  
8 information.

9 MS. CATHERINE A. L. WICKLUND: What we're  
10 going to try to do is work with ACMG to have a  
11 link -- Again, so the ACT sheet would have a  
12 link, then, to the communication guide, as well.

13 DR. JOSEPH A. BOCCHINI, JR.: All right,  
14 other questions, comments?

15 (No audible response)

16 DR. JOSEPH A. BOCCHINI, JR.: Beth, do  
17 you have anything to add?

18 DR. BETH TARINI: No.

19 DR. JOSEPH A. BOCCHINI, JR.: Okay, go  
20 right ahead.

21 MS. CATHERINE A. L. WICKLUND: All right.  
22 Good. I --

1 DR. JOSEPH A. BOCCHINI, JR.: So --

2 MS. CATHERINE A. L. WICKLUND: -- just  
3 want to thank all the people that worked on this,  
4 too. Cate and Amy and Jeremy, the ones who led  
5 the two groups, did an amazing job with this, so  
6 I want to just make sure they're recognized for  
7 all their hard work.

8 FEMALE SPEAKER: Is it their blood,  
9 sweat, and tears?

10 MS. CATHERINE A. L. WICKLUND: Yes, it's  
11 their blood, sweat, and tears, exactly. Exactly.

12 (Laughter)

13 DR. JOSEPH A. BOCCHINI, JR.: Now we've  
14 identified the blood, sweat, and tears group.  
15 So, from the Committee, do we have consensus to  
16 have these two products moved forward in the --  
17 in the ways that have been presented for  
18 dissemination and then do our best to try and get  
19 these in -- into the -- as many hands as possible  
20 to help spread the information about what's  
21 needed for education for newborn screening by  
22 different groups, as well as how to communicate a

1 out-of-range result? General agreement?

2 (No audible response)

3 DR. JOSEPH A. BOCCHINI, JR.: Okay.

4 Scott.

5 DR. SCOTT M. SHONE: Scott Shone. I  
6 would just like to feed back on the comment about  
7 validation. I think if we're going to say yes,  
8 we push this forward, we also agree that, at a  
9 certain point in the future, that we agree that  
10 we're going to come back to this and say, How did  
11 we do? Otherwise, like we said, it's in the --  
12 the pantheon of educational efforts that haven't  
13 -- haven't been given enough time, not because --  
14 The efforts are there, obviously, but that unless  
15 we commit to A) using them and B) evaluating, then  
16 -- then this was, again, a wasted effort, and it  
17 shouldn't be, because this is -- this was an  
18 unbelievable amount of effort that went into  
19 this, so.

20 DR. BETH TARINI: This is Beth Tarini. I  
21 -- I echo Scott's comment and feel that all of  
22 the projects from all of the Workgroups have an

1 educational component to them. And so, all -- we  
2 should be doing this across the board.

3 DR. JOSEPH A. BOCCHINI, JR.: Susan?

4 DR. SUSAN M. TANKSLEY: So, one of the  
5 elements they described in there is the MOC 4  
6 activity. One of the things we're trying to  
7 build into that is some evaluation -- I mean, the  
8 MOC 4 by itself, intrinsically, causes the  
9 practitioner to do a self-evaluation but allows  
10 us to gather information about how things have  
11 changed in their practice and outcomes compared  
12 to -- So, there -- there's an element that, by  
13 using it in that way, will help us have some  
14 initial information.

15 It's tied to our -- our Midwest Genetics  
16 Network. That's undergone a lot of quality  
17 assurance activities, as well. So, we're kind of  
18 putting those two activities together. So, we'll  
19 look forward to seeing how that turns out and  
20 sharing that with the group.

21 DR. JOSEPH A. BOCCHINI, JR.: Great.  
22 Mei?

1 DR. MEI WANG BAKER: I just have a --  
2 Mei Baker, Committee member. I have a general,  
3 quick comment regarding these two tools, because  
4 the Workgroup already had planned to do the peer  
5 review, the publication. I personally feel  
6 that's a very important step because that will be  
7 easier for other organizations adopt it and  
8 promote it because it has been fully reviewed. I  
9 think you do the validation, then the -- I hope  
10 we'll see the publication.

11 DR. JOSEPH A. BOCCHINI, JR.: Melissa?

12 DR. MELISSA PARISI: So, just a question  
13 about the MOC, so the maintenance of  
14 certification requirements that physicians need  
15 to retain. So, are you saying, Sue, that this is  
16 something that might be incorporated into  
17 national board-type-related MOC activities?  
18 Because that would be one really very important  
19 way to potentially disseminate this, at least to  
20 physician providers such as pediatricians.

21 DR. SUSAN A. BERRY: This is Sue Berry.  
22 The idea is, is that the project that we're

1 putting together for our initial MOC 4 is  
2 learning module about giving back newborn  
3 screening results. There'll be three modules,  
4 one about -- generally, about newborn screening,  
5 a second one about giving back positive results,  
6 either positive or borderline, and then making  
7 sure that the negative results are conveyed, also  
8 describing why. The communications guide is  
9 something we hope to incorporate into the  
10 learning module that goes with the giving back  
11 results, so that we can disseminate it that way.

12           And, yes, the -- the idea is that it's  
13 initially going to -- we're going to work first  
14 with the ABP, because that's, sort of, kind of,  
15 how you start with things. We're hoping to be  
16 able to have the overall module credentialed, so  
17 that it can be used more broadly by providers  
18 beyond pediatricians, as well.

19           DR. JOSEPH A. BOCCHINI, JR.: Deb?  
20 Debbie?

21           DR. DEBRA FREEDENBERG: Debbie  
22 Freedenberg, I guess American Academy of

1 Pediatrics. So, all physicians who are current  
2 are required to maintain their certification,  
3 and, with them, there are continuing education  
4 modules, and this would be one of an offering in  
5 which the pediatrician can choose, and even with  
6 the revisions to certification, there're still  
7 lifelong learning components to all of these and  
8 practice improvement components. So, all of  
9 those things also do include, automatically,  
10 every -- now every CME also includes on it, when  
11 you do that, saying, How is this changing your  
12 practice? What are you doing differently? What  
13 -- how has this impacted you?

14 So, there would be an automatic, built-in  
15 feedback that comes along with any CME-accredited  
16 activity or a maintenance of certification  
17 activity. And so, this would be one of a menu  
18 that the pediatrician can choose.

19 This -- however, under a maintenance  
20 certification module, this would not be open to  
21 the general professionals. It would be very  
22 specific to folks who are participating in their

1 maintenance of certification.

2 DR. SUSAN A. BERRY: So, the learning  
3 modules that we're creating will -- the -- the --  
4 you have to go through a specific maintenance of  
5 certification. There's certain activities that  
6 are associated with that. But the -- the  
7 training elements of it, which are designed to be  
8 separate videos, you know, that we're going to --  
9 going to create, or presentations we're going to  
10 create -- There's no reason why, intrinsically,  
11 that information can't be more widely available.  
12 And so, one of our -- one of the things we hoped  
13 to do was not only to use this as a way for  
14 people to obtain MOC 4, but also to make those  
15 available on our -- on our website as part of a -  
16 - what -- what we're trying to frame as a virtual  
17 learning collaborative, and we thought this was a  
18 great place to start.

19 MS. JOAN SCOTT: There is a effort out of  
20 NHGRI that compiles -- and I'm going to get the  
21 name of the site wrong -- that is a compilation  
22 of all education around genetics for health care

1 professional organizations, and it might be a  
2 good idea to make -- when this is --

3 FEMALE SPEAKER: Mm-hmm.

4 MS. JOAN SCOTT: -- done to make it  
5 available or -- through that group, so it can  
6 also be distributed that way.

7 DR. MELISSA PARISI: This is Melissa  
8 Parisi. Yeah, I think you're referring to the  
9 G2C2 site that is maintained by the NHGRI, the  
10 National Human Genome Research Institute at NIH,  
11 that tries to catalog as many educational  
12 resources around genetics and genomics as -- as  
13 possible.

14 DR. JOSEPH A. BOCCHINI, JR.: So, I have  
15 Debra and then Carol.

16 DR. DEBRA FREEDENBERG: Okay, Debbie  
17 Freedenberg, American Academy of Pediatrics, with  
18 a feedback loop. Okay, that sounds good. So,  
19 one of the really -- urge you, one of the really  
20 important aspects of it is the dissemination  
21 utilization, because we know that we have lots of  
22 resources that are out there, but they're not

1 being accessed and not being utilized. And to be  
2 quite honest, a -- a pediatrician or a family  
3 practitioner or any health care provider in the  
4 trenches, the first place they're going to go for  
5 resource, it's not a state or federal program.  
6 They're not going to be looking in those  
7 particular areas. So, it would be really  
8 important to dissemination and have it accessible  
9 and have people know where to look for it  
10 because, you know, as a state, we know we have  
11 tons of stuff up, and we know that's not where  
12 people go for their information originally.

13 DR. BETH TARINI: This is Beth. Can I --  
14 can I follow up on that? It's an --

15 DR. JOSEPH A. BOCCHINI, JR.: Yes.

16 DR. BETH TARINI: -- excellent point, and  
17 -- Beth Tarini, Committee member. It's an  
18 excellent point and one that plagues education of  
19 physicians and providers throughout. The one  
20 thought we had discussed was allowing the  
21 programs to incorporate, if they like this or  
22 another amended version of the guide, into the

1 information they fax out to the providers with  
2 the out of range -- or the newborn screening  
3 results, be they out of range or not. That way,  
4 the provider has everything in hand in that  
5 packet, and it is more likely to be used because  
6 it is in alongside the results, and it is at  
7 their fingertips. So, that's, I think, one  
8 important potential dissemination that may be  
9 more effective than others.

10 DR. JOSEPH A. BOCCHINI, JR.: Carol and  
11 then Bob.

12 DR. CAROL GREENE: Carol Greene, SIMD.  
13 I'm just looking at the dissemination plan, which  
14 is great, and it's really focused on providers,  
15 going directly to the providers through the  
16 provider organizations, and then I see the  
17 disease-specific advocacy organizations. I'm not  
18 seeing on there Genetic Alliance, and I'm sure  
19 Baby's First Test will be picking it up.

20 MS. CATHERINE A. L. WICKLUND: It meant  
21 to be, and I apologize, that Baby's First Test  
22 should have been changed to Genetic Alliance on--

1 DR. CAROL GREENE: Oh, sorry. I -- I do  
2 see -- Yeah.

3 MS. CATHERINE A. L. WICKLUND: Yeah. So.

4 DR. CAROL GREENE: But I'm also not  
5 seeing -- or, and I'm also not seeing SIMD, and  
6 speaking for SIMD, we're actually going to be  
7 very happy to be part of it. I'm also not seeing  
8 all of the public health. I'm not seeing APHL.  
9 I'm not seeing AMCHP. I'm not seeing the labs  
10 who would probably be interested to include it  
11 with some of their results. Some of the labs  
12 might be interested in including a link, and I'm  
13 not -- I know CDC is working on education for the  
14 laboratories in collaboration with SIMD, and I  
15 think that might be a route, too. So, I'm  
16 missing the public health, and I'm missing SIMD.

17 MS. CATHERINE A. L. WICKLUND: Yeah, no,  
18 I think that's great suggestions, Carol. This is  
19 our initial list, and we wanted feedback on it.  
20 And I think we would just put newborn screening  
21 programs to kind of capture a lot of the public  
22 health piece of it, but yeah, absolutely. Thank

1 you.

2 DR. ROBERT OSTRANDER: Bob Ostrander,  
3 American Academy of Family Physicians. I'd like  
4 to just throw a real out-of-the-box idea at you,  
5 see if there's any way to make it workable. I  
6 think that in our office, the just-in-time -- and  
7 in many primary care offices, the just-in-time  
8 resource that people use, if it's not Google or  
9 Wikipedia --

10 (Laughter)

11 DR. ROBERT OSTRANDER: -- is UpToDate.  
12 And I don't know if there would be a way to  
13 connect with the author -- the person who does  
14 the newborn screening article for UpToDate and  
15 maybe get it referenced in there, because, you  
16 know, again, obviously, in my world, and I think  
17 most primary care worlds, you know, there's a  
18 link right on the computer used for the EMR, and  
19 that's -- that's our quick-place-with-patients-  
20 in-the-room place to look. So, I would suggest  
21 we investigate that possibility. I have no idea  
22 how that works.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. CAROL GREENE: Carol Greene, SIMD.  
2 Great thought, and a lot of us end up in  
3 eMedicine because some of our universities and  
4 organizations have subscriptions. So, UpToDate,  
5 I think, is a great idea. Do eMedicine, too.

6 DR. ROBERT OSTRANDER: And Medscape is  
7 the other one.

8 DR. JOSEPH A. BOCCHINI, JR.: If there  
9 are no other comments or questions, I want to  
10 thank Cathy, Beth, the Workgroup. I think this  
11 has been an incredible effort, and I think it's  
12 come to fruition very nicely, and I think there's  
13 considerable blood, sweat, and tears going  
14 forward because this -- the implementation,  
15 contacting the organizations, making them aware -  
16 - there -- there's -- there needs to be a plan to  
17 make that happen, as well as the evaluation,  
18 which I think is incredibly important. So, I --  
19 I think we'll continue to move forward. Thank  
20 you.

21 All right, the next item on the agenda is  
22 CDC Quality Assurance and Harmonization

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Activities, and as background, at the last  
2 meeting, Dr. Cuthbert indicated that CDC has been  
3 working on a harmonization project as part of  
4 their quality assurance efforts.

5           With us today to present this work is Dr.  
6 Kostas Petritis, Chief of the Biochemical Mass  
7 Spectrometry Laboratory in the Newborn Screening  
8 and Molecular Biology Branch at the Centers for  
9 Disease Control and Prevention. The BMSL assists  
10 newborn screening laboratories through in-house  
11 development of first- and second-tier screening  
12 assays, hands-on mass spec training, development  
13 and characterization of quality assurance  
14 materials, as well as providing technical  
15 assistance.

16           So, thank you for being here today. We  
17 look forward to your presentation.

18           DR. KOSTAS PETRITIS: Thank you for the  
19 kind introduction. Good morning, everybody. So,  
20 today, I'm going to covering two different  
21 topics. One is normalization of tandem mass  
22 spectrometry, results and cutoffs, by using the

1 newborn screening quality control materials from  
2 CDC, and the second topic will be the development  
3 of a new generation of proficiency testing  
4 materials.

5           So, I have a couple of introductory  
6 slides about the newborn screening quality  
7 assurance program, which is the only  
8 comprehensive newborn screening quality assurance  
9 program using dried blood spots. We produce and  
10 disseminate proficiency testing and quality  
11 control materials. We do filter paper evaluation  
12 of new batches. We provide training at CDC for  
13 molecular and mass spectrometry techniques, as  
14 well as consultation, and we perform newborn  
15 screening translational research, method  
16 development, et cetera, and we do everything  
17 inhouse, from the preparation of cold blood pools  
18 to spotting, certification of blood spots,  
19 packaging and -- and -- to participating labs.  
20 By the numbers, we make about 1 million blood  
21 spots per year, using about 100 liters of blood.

22           In 2017, we had about 660 participants

                          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 from 84 different countries. We distributed our  
2 materials each quarter, and we have been doing  
3 that for about 40 years. So, we have about 16  
4 proficiency testing and 13 quality control  
5 programs, and that covers about 64 biochemical  
6 analytes. That excludes, of course,  
7 hemoglobinopathy phenotypes and cystic fibrosis  
8 phenotypes. That's not biochemical analytes.

9           So, now I'm going to transition into the  
10 normalization of tandem mass spectrometry  
11 results, and -- Oh, I apologize for the slides.  
12 I -- they work really well in my computer.

13           (Laughter)

14           DR. KOSTAS PETRITIS: So, more -- why  
15 mass spectrometry? More than 70% of the RUSP  
16 blood spots disorders can be screened by tandem  
17 mass spectrometry. And so, as you know, the  
18 tandem mass spectrometry biomarker measurements  
19 and cutoff can vary significantly among different  
20 labs. The reason is the methods used -- there's  
21 -- it's different extraction methodologies.

22           Some labs decide to derivatize their

1 analytes, while others, they don't. A few labs  
2 actually take into account analyte recovery.  
3 Most labs do not. There are also some difference  
4 with people using -- with labs using different  
5 analytes per disorder or using second-tier  
6 screening. So, if you use second-tier screening,  
7 you can use a little bit more conservative  
8 cutoffs, and then you can eliminate the increase  
9 of false positive by using second-tier screening.  
10 Other factors include the population tested,  
11 instrumentation, different standards of  
12 calibration techniques.

13           So, I would like us to familiarize  
14 ourselves a little bit with this slide, because  
15 I'm going to showing those -- a few of those  
16 figures in a bit. So, what I'm showing here is  
17 method-specific variability in glutaryl carnitine  
18 or C5-DC cutoffs in United States newborn  
19 screening laboratories. Different dots represent  
20 different U.S. lab cutoffs for C5-DC. So, in the  
21 Y axis, you have the values of the cutoffs, and  
22 on the X axis, you can see the different tandem

1 mass spectrometry methods. And you can see,  
2 already -- So, the solid line in the middle is  
3 the mean cutoff, and then the dot slides enter  
4 standard deviation, and we also calculated the  
5 coefficient of variation, which assessed  
6 statistical measure of variability.

7           So, you can see right away that there is  
8 methodology variability: non-derivatized  
9 techniques, which is the blue and purple -- the  
10 cutoffs are above the mean -- and then  
11 derivatized techniques, which is the red and  
12 gray. You can see that the cutoffs are below the  
13 mean.

14           So, how can we normalize those? And I  
15 will attempt to explain how normalization works  
16 by making a simple analogy, mostly for the  
17 general public, and I will talk a little bit  
18 about normalization of thermometer results that,  
19 probably, everybody can relate to.

20           As you may know, there are liquid and  
21 glass thermometers and platinum resistance  
22 thermometers, and let's say those represent

1 different technologies. But, also, different  
2 thermometers can have different -- express the --  
3 the temperature in different units. If you're in  
4 Canada, you're going to have it in Celsius. If  
5 you're in the United States, you're going to have  
6 it in Fahrenheits. But this part of the normal  
7 of differences -- we know that the cutoff for  
8 fever is 38 degrees Celsius, or 100.4 degrees  
9 Fahrenheit, and we can do that because we  
10 understand their relation. Okay?

11           But what if we didn't know their  
12 relation, and we wanted to normalize those  
13 results? What we could do is take those two  
14 thermometers and take different temperature, a  
15 different -- different measurements or different  
16 temperatures. So, for example, we could put  
17 those two thermometers in the freezer and take  
18 some measurements, put them in the refrigerator,  
19 at room temperature, and at the oven, and then  
20 plot those results, where you're putting the X  
21 axis, the liquid and glass thermometer at Celsius  
22 and the platinum resistance thermometer at

1 Fahrenheits. And then, you can do a simple  
2 linear regression and come up with an equation  
3 that, hopefully, looks of what I have on the  
4 bottom right, and then this equation can actually  
5 normalize the results from Fahrenheits to Celsius  
6 and Celsius to Fahrenheit. So, that's how we  
7 attempt to normalize.

8           So, it's the same idea, actually, with  
9 tandem mass spectrometry. Instead of  
10 thermometers, we have mass spectrometers.  
11 Instead of four different temperatures, we have  
12 four different concentration of Fitz (phonetic)  
13 biomarker in our quality control samples that we  
14 distribute to the labs.

15           So, a little bit about our quality  
16 control mass spectrometry materials: They contain  
17 29 amino acid and acylcarnitines at four  
18 concentration levels. We ask the labs to run  
19 five duplicate tandem mass spectrometry interday  
20 runs of each level and report results back to  
21 CDC, and at CDC, we run those same specimens the  
22 same way.

                          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           Now, I have to mention that newborn  
2 screening laboratories have been using already  
3 those quality control materials to answer the  
4 following questions: What is the variability of  
5 each instrument within the same day, what's the  
6 variability of each instrument between days, and  
7 how similar are the results between instruments?

8           So, we're going to try to address, by  
9 using this method, succinylacetone lab  
10 variability. So, the same way that I described  
11 before, we took -- we have four concentration.  
12 We took measurements at CDC. The state lab took  
13 measurements at -- at -- at their labs, and then  
14 we plot them, where the X axis has the CDC  
15 results and Y axis is what the -- the state labs  
16 got for those specimens, and we can come up with  
17 equations that you can see on the upper left  
18 side. So, we can now normalize those by using  
19 the QC results.

20           Now we want to see if the normalization  
21 worked, and in order to do that, we need to use  
22 another specimen that was not QC's, and we do

1 have a specimen like that. It's our proficiency  
2 testing materials. So, we can validate the  
3 normalization work. So, the expectation is,  
4 since the labs get the quality control materials  
5 and the proficiency test materials the same day,  
6 the results from these proficiency test specimens  
7 should be the same.

8           So, just as a reminder, the method here  
9 is flow injection analysis by tandem mass  
10 spectrometry. These specimens are analyzed only  
11 once -- just keep that in mind -- and the results  
12 I'm going to show today is from the third quarter  
13 of 2016 event.

14           So, just to demonstrate how the  
15 normalization work here, I took just these three  
16 labs and CDC, and you can see, on the top table  
17 is the proficiency testing normalization. On the  
18 left column, you have the row value. On the  
19 right column, you have the normalized value.

20           And you can see, for example, for the Lab  
21 A and C, we had about six times difference in  
22 those values before normalization. After

1 normalization, only 1.12, which is about 12%.  
2 Same for the cutoffs. Again, the row value was  
3 5.5 times difference. After the normalization,  
4 we only have about 20% -- 28% difference. And  
5 when we looked at coefficient of variation, we go  
6 at about 62- to 64%, to 7- to 15% after  
7 normalization.

8           So, that's -- I think this demonstrates  
9 that, you know, if you don't normalize the  
10 results, you cannot make an assessment that, you  
11 know, this laboratory has a high cutoff or this  
12 laboratory has a low cutoff for the same analyte.  
13 And you can see from the row values for the  
14 cutoffs, maybe you would think that State Lab C  
15 has the lowest, the most conservative cutoff, but  
16 after normalization, actually, it's the State Lab  
17 B that has the most conservative cutoffs. But  
18 those are very similar results.

19           So, decreasing the coefficient of  
20 variation doesn't mean, automatically, that you  
21 eliminate the bias. So, hopefully -- going back  
22 to the C5-DC, this time we're showing proficiency

1 testing results, and on the left, you have the  
2 C5-DC proficiency testing results before  
3 normalization, where you can see the methodology  
4 bias. On the right, you have, after  
5 normalization, where you can see this bias  
6 mitigated or eliminated. And, again, the CVs  
7 goes from 32% to about half, at 15%.

8           Let's look at another analyte,  
9 citrulline, which it's already pretty -- it has a  
10 low coefficient of variation to begin with,  
11 before normalization, but you can still see bias.  
12 So, the blue method, all the points are  
13 distributed above the cutoff. The red method,  
14 you can see below, the -- the mean cutoff. After  
15 normalization, the -- the bias has been  
16 eliminated, and actually, the CVs for that is  
17 about 6.6%.

18           Finally, I have another example for C3-  
19 DC, and I saw that because if -- you probably  
20 know that you can only analyze this marker by  
21 using derivatization approaches. That's why you  
22 only see two methods here, and we, a lot of

1 times, say that you -- you -- you have  
2 differences between derivatized and non-  
3 derivatized approaches, but I just want to show  
4 that even within methodologies that this  
5 derivatization, only derivatized, you still get  
6 variability. And the only difference between  
7 those is, one is an FDA-approved kit; the other  
8 is -- is a laboratory-developed test. Okay. And  
9 you can see a lot of variability before  
10 normalization. This has been -- the -- the bias  
11 has been eliminated after normalization.

12           So, we have done that not only for the  
13 U.S. labs but also for international labs. So,  
14 what I show here is, on the left, all the  
15 phenylalanine results reported to us from  
16 different U.S. and international labs. U.S. labs  
17 representing as a cross here, international labs  
18 as a dot.

19           And there are about 15 different methods  
20 that the laboratories report back to us  
21 phenylalanine results, and you can see that,  
22 actually, normalization works, also, for methods

1 that are not tandem mass spectrometry. If you  
2 see on the left, for the green and red methods,  
3 those are non-tandem mass spectrometry. They  
4 have a positive bias, and that bias has been  
5 eliminated, as you can see on the right side, for  
6 the same green and blue methods. So -- and the  
7 coefficient of variation from about 21% down to  
8 10%.

9           That's a busy slide. I'm not going to go  
10 through it. I just -- So, the improvement after  
11 normalization for U.S. labs and U.S. and  
12 international labs, and just to show that after  
13 normalization, the coefficient of variation  
14 always improve.

15           So, let's go back to cutoffs. So, how  
16 can we use this information? One of the ways to  
17 do that is to actually normalize the cutoffs. As  
18 I said before, you cannot really do that without  
19 normalizing the results, but after normalizing  
20 the results, we can provide feedback to the labs,  
21 newborn screening labs, with deidentified data  
22 showing their cutoff in comparison to their

1 peers. And if, for example, you are the dot that  
2 I highlighted, you may want to reevaluate your  
3 cutoffs, as you are higher than other  
4 laboratories.

5           So, I'm going to transition now to the  
6 development of new-generation proficiency testing  
7 materials, and I'm not going to get into  
8 technical details, but in the last few months, we  
9 had a breakthrough, where we were able, actually,  
10 to come up with a new method that allow us to  
11 enrich multiple analytes at the same time with  
12 very high accuracy. So, we are able to achieve,  
13 now, enrichments within 5% of the desired  
14 concentration for multiple analytes.

15           So, this, in addition -- with our ability  
16 to normalize tandem mass spectrometry data and  
17 the willingness of newborn screening labs to  
18 provide us with tandem mass spectrometry data  
19 from confirmed cases, with quarter and year info,  
20 we are able to actually, more or less, come up  
21 with proficiency testing materials that are  
22 biochemical carbon copies of babies that were

1 diagnosed with a disorder. So, we have already  
2 created those, and we'll make them available  
3 right away. So, when those will become available  
4 next July for the next PT event.

5           What exactly it is, is proficiency  
6 testing materials that are biochemical carbon  
7 copies of babies that diagnosed with a disorder  
8 for the analytes and the rest have  
9 (unintelligible due to accent). Which disorders  
10 are we looking: amino acid, fatty acids -- fatty  
11 acid oxidation and organic acid disorders, and,  
12 again, those are like -- from tandem mass  
13 spectrometry data that the states communicated to  
14 us. You're going to report as usual. We're  
15 working, actually, right now, to update our NSQAP  
16 website.

17           In terms of interpretive algorithms --  
18 So, those materials will work with any workflow  
19 and any algorithm, including if you are actually  
20 -- it reflects the biochemical second-tier  
21 screening. So, those specimens include the  
22 second-tier screening analytes like MSUD, MMA,

1 PROP, or homocystinuria. Those include,  
2 actually, second-tier screening analytes. So,  
3 we're looking forward for the feedback from the  
4 newborn screening labs for that.

5           And just some future direction: So, we  
6 will continue to improve the normalization and  
7 visualization of the results. Those are, like,  
8 preliminary results, and we plan, also, to expand  
9 the number of analytes in our QC materials,  
10 because if the analyte is not there, we cannot  
11 normalize.

12           We have our new approach, where we can do  
13 high-accuracy, multi-analyte blood spot  
14 enrichment. We'll allow, actually, the creation  
15 of borderline materials, as well, which we will  
16 distribute for educational purposes.

17           Furthermore, we are planning to create  
18 some kind of tandem mass spectrometry kits, where  
19 we're going to provide the states with different  
20 analytic materials or these next-generation  
21 proficiency testing materials to verify or  
22 validate their methods in the case of

1 instrumentation change, method, or kit lots. So,  
2 one of the challenges when you try to validate a  
3 method or verify a method is availability of  
4 confirmed cases. So, this should resolve this  
5 problem. And we're also redesigning the data  
6 reporting website to improve quality control and  
7 proficiency testing data submission and to  
8 accommodate, actually, our expanded programs.

9           So, in conclusion, again, I want to  
10 emphasize that those are preliminary results, but  
11 it looks that it is possible to normalize tandem  
12 mass spectrometry analyte results by using the  
13 CDC QC materials, and so the coefficient of  
14 variation for the PT analytes improved after  
15 normalization. CDC will be reporting  
16 deidentified normalized cutoffs to newborn  
17 screening laboratories to help them compare their  
18 cutoffs to their peers. We have started the  
19 development of new proficiency testing and  
20 borderline materials that closely mimic the  
21 pattern concentration of biochemical analytes,  
22 and then we are going to be creating a repository

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 of these artificial blood spots to provide these  
2 kits for verification or validation efforts for  
3 program evaluation. So, this will be, actually,  
4 specimens that will be distributed upon request.

5           And finally, some acknowledgements: my  
6 colleagues at CDC, and then the U.S. newborn  
7 screening labs that work with us in the  
8 normalization, especially Mary Seetherland  
9 (phonetic), who actually had the original idea of  
10 using the CDC quality control materials for  
11 normalization, and many thanks to all the newborn  
12 screening laboratories that submitted  
13 deidentified confirmed cases data to CDC.

14           And with that, I thank you for your  
15 attention, and I will take any questions you may  
16 have.

17           DR. JOSEPH A. BOCCHINI, JR.: Thank you  
18 very much. Dr. Petritis. That was a great  
19 presentation and a tremendous amount of work  
20 that's going on there. So, thank you.

21           DR. KOSTAS PETRITIS: Thank you.

22           DR. JOSEPH A. BOCCHINI, JR.: Let's open

1 this for questions/comments from the Committee  
2 first.

3 Yes.

4 DR. CYNTHIA M. POWELL: Thank you very  
5 much. I appreciate your analogy. Hi, Cynthia  
6 Powell.

7 Realistically speaking, how often would a  
8 state newborn screening lab need to go through  
9 this? You mentioned, like, if there was a new  
10 batch of kits that came in and things like that.  
11 Any estimate as to how often, ideally, a lab  
12 would -- would go through the validation?

13 DR. KOSTAS PETRITIS: So, validation,  
14 full validation will be if they completely change  
15 the method. I think a lot of labs are looking at  
16 their cutoffs at the 6-month interval, and we  
17 actually provide quality control materials every  
18 6 months, and we could provide, in the future,  
19 feedback every 6 months.

20 Yes.

21 MS. JOAN SCOTT: Joan, HRSA. How often  
22 does CLIA require laboratories to do proficiency

1 testing? Does any -- You -- you said they --  
2 they're looking at doing it every 6 months. Is  
3 that what --

4 DR. KOSTAS PETRITIS: So, this is -- The  
5 every 6 month, I don't think -- I mean,  
6 proficiency testing is yearly, at least. I think  
7 looking at your cutoffs, it's a CAP requirement  
8 every 6 months, and I think the new document that  
9 will come out for a cutoff, the CAP cutoffs will  
10 have a suggestion there to do that every 6  
11 months.

12 DR. MEI WANG BAKER: Mei Baker, Committee  
13 member, and I just want to have a follow-up  
14 comments. And in terms -- Cindy was talking  
15 about a lab change. By the CLIA, by the CAP,  
16 every single time laboratory change a lot, you  
17 have to do the verification.

18 DR. KOSTAS PETRITIS: Yeah.

19 DR. MEI WANG BAKER: And so, be sure your  
20 lot perform in the same manner, if anything need  
21 to be changed.

22 And in terms of cutoff, actually, it's a

1 relatively new concept for the CAP inspection.  
2 Talk about -- called a cutoff of (unintelligible  
3 due to accent) quality study, and the cutoffs are  
4 set correctly. And according to CAP inspection,  
5 it require you to do the every 6 months to assess  
6 your cutoff.

7           And I -- I cannot speak for other  
8 laboratory. We even do, like, on the monthly  
9 basis, just be sure, and -- and we utilize --  
10 because especially when a lot change stuff that  
11 you really want something normalized to compare,  
12 and we are starting to adopt -- Like, for  
13 example, the monthly cutoff of monitor, and we  
14 use a multiple of the median. So, that's a way  
15 you can have a objectively aware far from your  
16 median to utilize instead of using absolutely the  
17 numbers.

18           DR. JOSEPH A. BOCCHINI, JR.: Dieter?

19           DR. DIETRICH MATERN: Dieter Matern. I  
20 think that's really exciting that you've found a  
21 way to create actual metabolite profiles in the  
22 blood spots that are more like real cases that we

1 see out there and, therefore, promote the need to  
2 do interpretation of metabolic profiles where  
3 they're just looking at cutoffs. So, I hope that  
4 you will make sure that there are always  
5 borderline cases included.

6           Also, you -- you said that it's going to  
7 be reported as usual. When you say that, that  
8 means that the labs provide you a report, assay  
9 work report, a abnormal case for follow-up, or is  
10 it just the way that you provide feedback back to  
11 the labs that is as usual? I think it might be  
12 interesting for the Committee, or if we could  
13 look at harmonizing the way that abnormal results  
14 are reported and ensure more conformity across  
15 the country, so that physicians who may train in  
16 one state and then go to the next one don't have  
17 to relearn how to follow up a case just because  
18 the information provided by the program is so  
19 different.

20           DR. KOSTAS PETRITIS: Carla has a  
21 question. Oh. I see. You -- you want to  
22 address that?

1 DR. CARLA CUTHBERT: I -- I want to  
2 address what, yeah, Dieter said.

3 DR. KOSTAS PETRITIS: Okay.

4 DR. CARLA CUTHBERT: That -- that's  
5 really --

6 DR. CATHARINE RILEY: Who are you?

7 DR. CARLA CUTHBERT: I am Carla Cuthbert  
8 from CDC.

9 (Laughter)

10 DR. CARLA CUTHBERT: Thanks, Catharine.  
11 Dieter, that -- that's a fantastic point. That -  
12 - the idea of harmonizing how we do reporting is  
13 something that, in the branch, we've been  
14 considering a lot, and we've been -- Kostas  
15 mentioned that we are revamping our entire  
16 website, and that's giving us an opportunity to  
17 consider how we do business in terms of how we  
18 administer the PT program.

19 And we're -- we're -- we're looking at  
20 trying to modify how we have -- how -- how -- how  
21 we receive reporting -- the reporting of results.  
22 So, instead of just saying you have an elevation

1 of this particular marker, we want to be able to  
2 understand how the states are actually putting  
3 out their -- their reports and to be able to get  
4 a sense of what that looks like, and then,  
5 perhaps, have the Quality Assurance/Quality  
6 Control Subcommittee take a look at maybe  
7 addressing harmonization across the -- across the  
8 country.

9 DR. KOSTAS PETRITIS: So, I do have a  
10 comment, as well, if I may. So, we're still  
11 discussing how we're going to do it right now,  
12 but one of the ideas is, instead of saying that  
13 this analyte is above the cutoffs or inside or  
14 outside the limits, just report the specimen is -  
15 - presented positive for this and that disorder.  
16 So, it's either normal, or the biochemical  
17 profile is -- presented positive for this  
18 disease. So, you're going to maybe providing  
19 what is the disease instead of doing one analyte  
20 per disorder, which actually mimics more of what  
21 the newborn screening laboratories are currently  
22 doing.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. SCOTT M. SHONE: So -- Scott Shone.  
2 Just a follow-up on that point, Kostas, but I --  
3 I want to come back to a different question, as  
4 well. If -- if you end up going down a path of  
5 talking about either abnormal for a disorder or,  
6 perhaps, given the recent discussions, talking  
7 about risk of a disorder, which is, I think,  
8 where, as a -- as a system, we need to probably  
9 be headed, and I think that's the feedback from  
10 the newborn screening community is, you need to  
11 talk about that, and a cutoff discussion will  
12 probably lead to that, coming up.

13 I -- I think it's going to be crystal  
14 clear to -- you're going to have to make crystal  
15 clear what the definition is of the disorder that  
16 you're talking about. And so, if we're going to  
17 agree that, okay, the CDC are going to use the  
18 NewSTEPS definition -- case definitions that are  
19 out there or what, because that's the only way  
20 you're going to be able to -- to take  
21 normalization or harmonization of a biomarker and  
22 then transition it to a disease. And so, before

1 we jump to that, I think we -- we need to agree  
2 on that. That's a much broader issue than just  
3 this. So, I -- I am cognizant of that.

4           But to go back -- to go back to my -- my  
5 -- Well, my original question was, you -- in  
6 your presentation, you talk about -- and I echo  
7 the sentiments that Dieter said, about the -- the  
8 new -- the new breakthrough. I think that's  
9 fantastic. But you talked about PT, and you --  
10 you sort of threw in there QC. And so, I don't  
11 want to conflate the two, especially for the  
12 group here, to think about, oh, how often do you  
13 run PT, because, okay, PT is -- it's done  
14 quarterly. That's well beyond what we need to do  
15 from a regulatory standpoint, but QC is run much  
16 more frequently. And so, if you're talking about  
17 harmonization/normalization, is it going to be  
18 around the PT, the QC, or is it a -- Do -- what  
19 do you envision in terms of these new materials  
20 and the ability of the programs to use them?

21           DR. KOSTAS PETRITIS: Yes, so we  
22 distribute quality control materials every 6

1 months, and we have proficiency testing materials  
2 3 times per year, and we have, also, our UDOT,  
3 which, probably, the UDOT will probably become  
4 more borderline materials for educational  
5 purposes, and the other 3 -- 3 times per year  
6 proficiency testing materials and 2 times per  
7 year quality control materials. And since --  
8 since we need the -- the quality control  
9 materials to normalize, that we will be providing  
10 feedback every 2 years -- once every -- sorry,  
11 twice every year, every 6 months.

12 DR. SUSAN A. BERRY: Sue Berry, two  
13 comments. The idea of specifying a given  
14 disorder almost ends up suggesting you need a  
15 series of second-tier tests to be more specific  
16 about what the disorder is. So, if you've been  
17 reporting C3, and it could be 5 things, how are  
18 you going to name a disorder, as -- I mean -- I  
19 mean, it could be maternal B12 deficiency. So, I  
20 -- I -- we'll -- we'll -- that'll require some  
21 conversation, I suspect, unless I'm being overly  
22 naive.

1           The second question I had that I'd like  
2 to really highlight is the understandability and  
3 comprehensibility of the reports. They're  
4 certainly, I'm -- I'm guessing, because I'm naive  
5 to this, there must be expectations in terms of  
6 how the report is prepared for credibility in the  
7 laboratory community, but the pediatrician or  
8 family doctor or family that's reading the report  
9 often cannot understand the report; it has to be  
10 translated. And -- if there is a -- a strategy  
11 by which the reports could be more comprehensible  
12 to a less laboratory-oriented person, I'd  
13 certainly urge it. Thanks.

14           DR. DIETRICH MATERN: Yeah, Dieter  
15 Matern. Just a quick comment. I think you can  
16 name the conditions that are part of a  
17 differential diagnosis. For C5 hydroxy, you  
18 should mention 8 different conditions, including  
19 the possibility that the mother may be the  
20 patient.

21           Sorry, one -- one more comment. Just  
22 looking at the ACMG ACT sheets, they do have a

1 condition description that you could just  
2 copy/paste into your report, but I think it would  
3 be nice if -- again, if there was harmonization  
4 about how results are reported, and maybe that is  
5 something where ACMG could help in crafting some  
6 of those, as well.

7 DR. JOSEPH A. BOCCHINI, JR.: I have  
8 Carol, then -- then Debra.

9 DR. CAROL GREENE: And just to follow up  
10 that last, maybe APHL -- Carol Greene, SIMD.  
11 Maybe APHL could have a role in if there are  
12 going to be harmonization.

13 One small comment about language that we  
14 tend to -- I -- we tend to say conservative  
15 cutoff, and I don't know -- and I -- I'm -- I'm  
16 hoping we can get away from using that language,  
17 because I don't know if a conservative cutoff is  
18 one that minimizes false positive or one that  
19 minimizes false negatives. You can be  
20 conservative either way, and different people  
21 will be conservative depending on their -- we'll  
22 call it conservative depending on their point of

1 view. So, I think it's, maybe, a word we should  
2 try to get away from in describing and just say,  
3 is it a -- you know, a low or a high. Are we  
4 minimizing false negatives or false positives?

5           And my question may be beyond the scope  
6 of the discussion, maybe, coming up later, but I  
7 know that there's a whole question of, should we  
8 be using cutoffs? Should we be using risk? I  
9 think that was a beautiful description, very much  
10 appreciated, of the normalization and the -- the  
11 different reasons that people might have  
12 different levels, and I'm wondering how that  
13 relates to some of the tools that we -- I know  
14 our labs are now using to look towards the big  
15 databases of, if your level is X, it has this  
16 much of a positive predictive value. And are  
17 those levels -- are those -- are those -- I don't  
18 want to get into anything parochial, but are  
19 those databases constructed using normalized  
20 data, and when you put your level in, does your  
21 level get normalized? And maybe that's going to  
22 be a discussion coming up later.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 DR. JOSEPH A. BOCCHINI, JR.: Debbie?

2 DR. DEBRA FREEDENBERG: Debbie

3 Freedenberg, AAP. So, one of the things, though,  
4 that kind of didn't hear in that proposal is that  
5 for the level about a range, there's maybe a  
6 graded response from both the program as well as  
7 from the health care provider. So, just saying  
8 out of range may not give sufficient information.  
9 So, if you have a child who has a level that's  
10 250 times your upper-limit cutoff and you have a  
11 critical condition, your action's going to be  
12 very different than if you have a child who's  
13 just sort of a little bit borderline.

14 And so, they're both going to be out-of-  
15 range tests, but you're -- you're grading your --  
16 there's a gradation to your response to what the  
17 actual levels are and what your clinical actions  
18 are going to be for follow-up. And so, I'm just  
19 a little concerned about the just saying  
20 normal/not normal or out of range/not out -- not  
21 out of range.

22 DR. KOSTAS PETRITIS: Yeah. I mean, for

1 proficiency testing point of view, you know, us  
2 making materials that are, like, completely on  
3 the critical level is not going to be helpful, I  
4 think, for the newborn screening labs. So, I  
5 think what we're looking at proficiency testing,  
6 to have them been clearly abnormal but not at a  
7 critical level, and then for when we distribute  
8 our UDOTs, probably, we'll have a, you know, 20-  
9 something borderline, maybe, materials that it  
10 will be for educational purposes.

11 Yes.

12 DR. DIETRICH MATERN: Dieter Matern. So,  
13 I'm going out here on a very thin limb from an  
14 ethical perspective. I totally agreed with your  
15 thought that you don't want to be too wishy-washy  
16 about what goes into a report, and there -- there  
17 is a little tool out there that actually provides  
18 your risk score as to where your patient sits  
19 towards a specific diagnosis.

20 DR. SUSAN A. BERRY: So, this has been  
21 focused around the MS/MS results, but it seems  
22 like there's ample opportunity to bring this up

1 in other contexts. I understand why it wouldn't  
2 necessarily be the first focus, but there are  
3 other disorders where this kind of application  
4 would certainly be valuable. So, hoping it can  
5 be extended more broadly.

6 DR. CARLA CUTHBERT: Go ahead.

7 DR. KOSTAS PETRITIS: Please.

8 DR. CARLA CUTHBERT: Your point is well  
9 taken. My name is Carla Cuthbert, again, CDC.  
10 Thank you, Sue. We're starting off, right now  
11 with this. We are looking at the possibility of  
12 -- of enzyme activities and that sort of thing.  
13 That requires tweaking at a different level, and  
14 we are looking at ways that we could get the  
15 level of enzyme activity to the place that we  
16 want it in our blood spots, and it requires us  
17 looking at a couple of different options for  
18 actually getting it to where it needs to be. So,  
19 I -- I have people who are working on this right  
20 now, but it's really, just, brand new. Thank  
21 you.

22 DR. JOSEPH A. BOCCHINI, JR.: Other

1 questions or comments?

2 (No audible response)

3 DR. JOSEPH A. BOCCHINI, JR.: Any on the  
4 telephone from board reps?

5 (No audible response)

6 DR. JOSEPH A. BOCCHINI, JR.: All right.  
7 Hearing none -- again, thank you for a great  
8 presentation.

9 DR. KOSTAS PETRITIS: Thank you.

10 DR. JOSEPH A. BOCCHINI, JR.: Thank you  
11 for the work. I think this is very -- going to  
12 be very helpful, as it evolves, for the states.  
13 Thank you.

14 So, I'd like to now open up a discussion  
15 by the Committee and -- and org reps concerning  
16 cutoffs and risk assessment in newborn screening.  
17 We've certainly had a number of presentations  
18 over the last few months related to this topic,  
19 and -- and so, I think it's really important that  
20 we now, as a Committee, make some decisions about  
21 whether there are other things that we can do to  
22 go forward, going forward, to help states.

1           So, the catalyst for discussion of this  
2 certainly came, in part, from Committee  
3 discussions, newborn screening advocates, newborn  
4 screening in the news about patients that were  
5 ultimately diagnosed with a screened -- a  
6 condition screened for but not identified by  
7 screening, and state newborn screening programs  
8 expressed a need for working in this area.

9           Next slide. So, again, the issues that  
10 were raised by stakeholders included cases that  
11 were missed, borderline results, how we deal with  
12 them, what's the communication from the newborn  
13 screening program to providers, and then address  
14 the lack of uniformity, sometimes with laboratory  
15 methods, sometimes with condition screen, and the  
16 -- the role of the out-of-range results and --  
17 and proficiency testing in -- in -- in minimizing  
18 the number of false positives while minimizing  
19 the number of false negatives.

20           Next slide. So, clearly, based on the  
21 presentations that we have had, a number of  
22 challenges were identified. The complexity of

1 newborn screening was clear based on the variety  
2 of methods, multiple factors that impact  
3 screening results. These cutoffs and algorithms  
4 that can't -- the outcomes cannot be directly  
5 compared to one another, and there was no  
6 consensus from the presentations, that we heard,  
7 about the definition of a borderline result or  
8 how to process borderline results. And then, we  
9 do have a -- an incomplete data set for false  
10 negative test results, in that the -- the cases  
11 that ultimately are diagnosed with a condition  
12 screened for but not found on screening really  
13 depend on report back to the state to understand  
14 that that was a missed case.

15           Next slide. So, in addition, lack of  
16 resources to implement QA/QC activities -- we  
17 heard some, clearly, today, opportunities for  
18 improvement with that -- and then access to  
19 evaluate the states' data, with individual states  
20 potentially not having staff with expertise to  
21 analyze and interpret complex data, and that can  
22 inform the evaluation of cutoff values and

1 screening algorithms.

2           Next slide. So, as you know, to address  
3 the challenge, the APHL is working on a document  
4 on risk assessment in newborn screening to serve  
5 as a resource. We have seen that document. Our  
6 Laboratory Workgroup has played a -- a -- a role  
7 in -- in -- in hearing where the -- where the --  
8 the document was, providing feedback, and going  
9 back and forth in -- in a couple of our -- our  
10 meetings through the Committee with some  
11 recommendations for that document, and that  
12 document is designed to serve as a resource for  
13 states on the available approaches. It can be  
14 used to assess risk tools available to assist in  
15 those efforts. And we understand it's in near-  
16 final draft and that it is expected that it might  
17 be completed and posted on -- on the APHL website  
18 in -- in June. The Committee did look at a draft  
19 last meeting and felt it was really too early to  
20 weigh in on -- on whether we should endorse that  
21 document.

22           Next slide. So, in addition, to address

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 these challenges, the CDC's Newborn Screening  
2 Quality Assurance program, harmonization  
3 activities you just heard about as -- as another  
4 resource that's being developed and that -- that  
5 will be available. It's already been available  
6 to some states. And then, the Newborn Screening  
7 Technical Assistance Center and Data Repository  
8 that exists within the NewSTEPS program, which  
9 does support state program evaluations that can  
10 help individual states in -- in this area. So,  
11 there are resources available and additional  
12 resources becoming available for states in this  
13 area.

14           Next slide. So, the -- the question for  
15 the Committee is, is there a role for the  
16 Committee to play in this arena to help states  
17 address these issues. And so, the -- a fair  
18 amount of guidance and resources are now becoming  
19 available. Should the Committee or can the  
20 Committee help by weighing in, by providing  
21 guidance on developing a systematic approach in -  
22 - to evaluation of cutoffs and screening

1 algorithms? Should the Committee work to support  
2 efforts to improve access to data, laboratorians,  
3 epidemiologists, biostatisticians, as needed, who  
4 can conduct complex analyses on data available at  
5 the state level and/or provide a rationale for  
6 the development of resources at the state level  
7 to implement needed activities in -- in this  
8 arena?

9           And I just want to start the conversation  
10 with those three areas but not limit the  
11 conversation to those three areas and just get  
12 feedback and considerations from the Committee on  
13 whether you see us having an additional role in  
14 this arena and what that may be.

15           Next slide. So, just that brief review,  
16 just to give us an opportunity to open a  
17 discussion in this area.

18           (Off-mic speaking)

19           DR. JOSEPH A. BOCCHINI, JR.: Flip back.  
20 Okay. All right.

21           Scott?

22           DR. SCOTT M. SHONE: This is Scott Shone.

1 So, correct me if I'm wrong. The Lab --  
2 Laboratory Standards Workgroup is working on  
3 this, as well, and this -- the purpose of this  
4 discussion is to help guide the efforts of the  
5 Workgroup, or is this some -- a separate endeavor  
6 from what --

7 DR. JOSEPH A. BOCCHINI, JR.: So, the --  
8 the Workgroup has played a significant role in  
9 interacting with APHL and providing feedback for  
10 the development of their resource -- resource  
11 document, bringing back to the Committee some  
12 concepts, ideas, and then have -- the Committee  
13 has brought back to APHL. So, this may end up as  
14 being continuing work for the Workgroup, or it  
15 could be something that we bring out from the  
16 Workgroup to the full Committee, with an ad hoc  
17 group, to address this issue further. So, that's  
18 open for discussion.

19 DR. SCOTT M. SHONE: Right. So, I -- I --  
20 - I think that this is a -- a great lesson. I  
21 have comments on them, but I would like to, while  
22 we're -- while we have this up, add a bullet,

1 which would also involve the Education Workgroup,  
2 around, what are -- what is the risk assessment  
3 that state labs do, to explain not only to  
4 providers, which is a discussion that just came  
5 up during Kostas' presentation, but also -- and -  
6 - and Natasha has spoken about this, trying to  
7 explain to parents about, what does this mean.  
8 Not only what does a result mean, but what -- it  
9 goes back to the risk assessment in general and -  
10 - and the -- the potential for both false  
11 positives and -- and false negatives.

12           And the fact that -- that these endeavors  
13 are going to be -- are -- are intended to bring  
14 uniformity to the programs, but that the reality  
15 is that by nature, screening is going to identify  
16 kids. It's going to identify kids who -- who  
17 have the disorders of interest, identify kids who  
18 don't -- false positives -- and, inevitably,  
19 still, unfortunately, we're -- we're not going to  
20 have a perfect system where we're going to catch  
21 everybody. And I think that's a critical part of  
22 this discussion, that this is all around making

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 sure that we are all -- it's, sort of, around  
2 best practices.

3 I'm reminded of the succinylacetone  
4 discussion from a few years ago, where -- and,  
5 Dieter, correct me if I'm wrong; I wasn't on the  
6 Committee at the time, but part of the discussion  
7 was that -- to help support states to transition  
8 to what would be the best -- the best process.  
9 And I think that's what this is about is a  
10 process discussion.

11 And so, I think that there are some  
12 efforts for both Workgroups to do around --  
13 around these areas and -- and help -- and I  
14 didn't reread the APHL document before -- before  
15 this meeting; I probably should have -- but that  
16 a lot of that is around process and reinforcing  
17 if they should follow those processes towards --  
18 towards the goal of harmonization.

19 DR. JOSEPH A. BOCCHINI, JR.: All right,  
20 thank you. And we did ask the Education  
21 Workgroup to consider the issue that -- that the  
22 provider needs to understand what the results are

1 on a screening -- what a screening test is as --  
2 as a result of this and the importance of  
3 understanding that it is a screening test, not a  
4 diagnostic test, and to understand false  
5 positive/false negative. And -- and that is  
6 something that came out of this, and -- but  
7 you're asking a little bit more for the Education  
8 and Training group, and I think that's very  
9 appropriate. Okay.

10 Dieter?

11 DR. DIETRICH MATERN: Yeah, I -- I think  
12 that the Committee should look into this and --  
13 and find ways to -- to help the states. I -- I  
14 totally agree that we will not pick up every  
15 case. That's why it's important to define what a  
16 case is, but also, there's biology involved, and  
17 so that -- that just needs to be stated.

18 I do declare a conflict of interest in  
19 the following because I am a taxpayer, and I  
20 don't like my taxpayer money to be wasted. This  
21 -- HRSA funded, years ago, this R4S thing, which  
22 is now CLIR, where you can perceive a conflict,

1 but that already allows you to enter a lot of  
2 data and look at the data. So, if -- if you want  
3 to encourage the states to participate, they --  
4 they can look at their data, they can compare  
5 them in -- in deidentified ways so they don't  
6 know who the other state is, and can basically  
7 get to a point where they -- where it's more  
8 harmonized across the country as to how you look  
9 at the data and how you interpret them.

10 I -- I basically don't want -- that's  
11 where the taxpayer, I think, comes in. When I  
12 read this, it sounds like we're basically going  
13 to do this all over again on a state level, when  
14 you already have very good tools to do exactly  
15 what you want to do.

16 DR. JOSEPH A. BOCCHINI, JR.: So, it's  
17 not a question of creating new tools but  
18 providing advice on, potentially, how to use them  
19 best in -- in some fashion, but.

20 Mei?

21 DR. MEI WANG BAKER: Yeah, Mei Baker.  
22 Actually, I have a question for Dieter, yeah,

1 because most challenging, I feel, with the state  
2 laboratory, is address false negative, because  
3 usually, you have a assay then you -- you cannot  
4 get some true cases of samples, and you have  
5 population data. You set the threshold. And  
6 Carol just said, "Let's not use a conservative  
7 concept," but in general, for screening, it's why  
8 people tolerate false positive. The intention is  
9 to chew on hard, not miss case. So, this is the  
10 general principle practice.

11           The question for Dieter is -- I -- you --  
12 you just mentioned the CLIR tool. Is -- does  
13 CLIR tool also address a false negative  
14 situation?

15           DR. DIETRICH MATERN: In a way, yes,  
16 because what -- what is entered there, as well,  
17 or can be entered, the data from any case that  
18 you know was deemed false negative. So, if you  
19 hear about -- I mean, our problem is, often, that  
20 we don't know that there was a false negative,  
21 but when you know about one, you should include  
22 that as a false negative case and see whether it

1 could have been picked up in a new way.

2 DR. MEI WANG BAKER: Yeah, I -- I think  
3 the -- I just want to emphasize that you measure  
4 already because of some biologic reasons. That's  
5 my big concern. Each time when have a false  
6 negative become aware and the state trying very  
7 hard to understand why missed. And my concern,  
8 the big concern, is, a lot of situation, changing  
9 cutoff doesn't necessarily will be helpful,  
10 because this is a very isolated situation,  
11 because for certain, special biologic reason,  
12 even environmental reason, that kit, at the time,  
13 didn't. So, I think we need to keep this in  
14 mind, and I just emphasize what Dieter just said.  
15 Yeah.

16 DR. CYNTHIA M. POWELL: Cynthia Powell.  
17 On a broad level, I think this is extremely  
18 important. You know, I think every newborn  
19 screening lab in every state wants to do the best  
20 they can, but they're so limited by funding. And  
21 I think if we, as a Committee, you know, or -- or  
22 the working groups can, you know, say that these

1 are the best practices that all labs should be  
2 doing and, you know, something that the lab  
3 directors can then take to the legislators and  
4 say, "Hey, you know, we need more money in order  
5 to meet these best practices," that that, you  
6 know, may be helpful.

7 I'm reminded of, you know, cases where,  
8 you know, there's lawsuits that occurred because,  
9 despite a lab director wanting to, you know,  
10 modify cutoffs and things and -- you know, there  
11 were missed cases because there was not the IT  
12 support to make those changes. So, I -- I do  
13 think this is critically important.

14 DR. SUSAN A. BERRY: Sue Berry. I would  
15 also speak on behalf of the clinician providers  
16 that are the downstream recipients of results,  
17 which is that anything we can do to reduce -- to  
18 make each test more meaningful is -- is critical.  
19 The more false negative -- the more false  
20 positives you have that are just silly false  
21 positives, the more it burdens the system,  
22 certainly from the point of view of the

1 providers.

2           And the other thing it does is reduce the  
3 credibility of the system. If you have tons and  
4 tons of false positives, people start thinking --  
5 it's -- it's crying wolf over and over. So, I  
6 can't speak strongly enough about the necessity  
7 for tightening the utility of each result to --  
8 to -- to reduce the number of -- of false  
9 positives, even though we know the risk exists to  
10 have false negatives. That's always going to be  
11 there.

12           DR. DIETRICH MATERN: Yeah, Dieter  
13 Matern. I totally agree. When it comes to  
14 asking for more money, I -- I -- again, I do not  
15 agree, because I think if you -- what I think the  
16 newborn screening laboratories have to figure out  
17 -- and, again, it's something where maybe we can  
18 help -- is not -- I mean, they know they can ask  
19 for more money, but the chances are, they don't  
20 get it. But if they can actually -- actually  
21 educate that by reducing the false positive  
22 number, you have an overall reduction in health

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 care costs, which, however, of course, is not  
2 coming back, then, to the screening laboratory,  
3 but just to make that point that the citizens of  
4 that state will not have to pay for unnecessary  
5 testing, then maybe the politicians can be swayed  
6 to say, "Well, we're saving here by giving you  
7 some money, so let's do that."

8 DR. JOSEPH A. BOCCHINI, JR.: Other  
9 questions/comments?

10 DR. MEI WANG BAKER: Mei Baker again.

11 DR. JOSEPH A. BOCCHINI, JR.: Mei.

12 DR. MEI WANG BAKER: Make a quick  
13 comments, because you asked this, you know, the  
14 current activity going on and should we, you  
15 know, independent do something. And I'm  
16 thinking, my head, I feel maybe it's more  
17 efficient to -- and effective -- just continue  
18 working with the current established. And I -- I  
19 think maybe more work to do going forward,  
20 because this started with even assess what  
21 practice are there.

22 Then, afterwards, I -- I do think it's

1 necessary, and I think other my newborn screening  
2 call, because I recognize and thinking perhaps we  
3 need some normalized data do some comparison.  
4 Then, you also compare with, well, we kind of  
5 have a general science in terms of disease  
6 incident rate, and if you have, you know, not  
7 detect enough disorders, or you have too many  
8 false positives after the data normalized, and  
9 you compare with your cutoff or maybe indeed  
10 informatively, you know you need to make  
11 adjustment.

12           And I -- I just feel -- I'm turning my  
13 head and work like you have another independent  
14 group, because this group has talk to each other,  
15 and this one has be adopt -- the data has to come  
16 from the state lab, and at this point, I don't  
17 feel another independent group seems to me it's -  
18 - I -- my personally, I feel should continue work  
19 with that and set a certain goals. If a certain  
20 thing we haven't achieve or we evolve something,  
21 we address so we can continue give the guidance.  
22 That's what I think.

1 DR. JOSEPH A. BOCCHINI, JR.: Carla.

2 DR. CARLA CUTHBERT: Carla Cuthbert, CDC.  
3 I'm not sure how much I can speak about this, but  
4 we do have a funding opportunity that is out  
5 there for state programs, and it's, in part, an  
6 implementation opportunity, but we included in  
7 the language harmonization approaches. And so,  
8 essentially, it was meant to challenge those who  
9 are applying to consider ways that we could work  
10 together to harmonize our work. So, again, we  
11 left it very open. So, I think what's going to  
12 happen, I hope, is that those who are applying  
13 for this funding would try to come up with novel  
14 ways to work together.

15 So, you know, I'd be happy to -- and  
16 again, this is me committing something that  
17 hasn't happened yet, but I'd be happy, once this  
18 gets rolling in a year or two, to be able to  
19 report back, maybe, to the -- the Workgroup, the  
20 Laboratory Standards Workgroup about any progress  
21 that is being made or even about the nature of  
22 some of the projects that are -- that -- that

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 we're going to be initiating.

2 DR. SCOTT M. SHONE: So, I do think -- I  
3 mean, I think the topics of harmonization and  
4 this risk assessment review cutoff analysis,  
5 whatever we're calling it, are -- are -- are  
6 connected but not completely linked, because I  
7 think that even if the harmonization efforts are  
8 effective in terms of trying to bring some more  
9 normalization across cutoffs, so that, state to  
10 state, we have this idea of -- of who -- what  
11 babies are at higher risk, it doesn't negate the  
12 fact that what we're talking about here is a  
13 routine process by which programs review the --  
14 the quality of their performance and, as Sue  
15 said, you know, looking at that balance of making  
16 sure that we're responding appropriately if we're  
17 having too many calls that are not turning out to  
18 be true or, as Mei said, identifying if a -- if a  
19 baby with a -- a target disorder -- to be defined  
20 clearly for both sides, follow-up, clinicians --  
21 or for all sides, clinicians, follow-up, and --  
22 and -- and laboratory -- is not identified, why

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 not?

2           And so, I think it -- I still think it  
3 comes back to -- and I won't say this is the case  
4 for every state, but I think that programs still  
5 need some guidance in terms of how to look at the  
6 data. It's not always straightforward. And  
7 especially when you talk about profiles, while  
8 there might be tools out there, they're not  
9 readily -- they're not always accessible because  
10 of data-sharing concerns, which have grown  
11 recently in terms of all sorts of data.

12           And I think that the APHL document that  
13 we talked about in February is -- identifies a  
14 variety of tools that are available to them.  
15 Perhaps NewSTEPS can lead an effort to -- to --  
16 to help states, and whether it's regionally or --  
17 or on a case-by-case basis, to learn how to help  
18 process through that or, you know -- and -- and  
19 that constant review, and -- and what -- what is  
20 that -- what goes into that. I mean, I think  
21 that funding is one thing, but there's an  
22 expertise.

1           You mentioned IT, Cindy, but, you know,  
2 Dr. Bocchini, you have a peer (inaudible). So,  
3 that's epidemiologists. Sometimes it takes that;  
4 sometimes it's just a matter of having somebody  
5 who can put data on a scatter plot and look at,  
6 Oh, look at all the cases that are here, and our  
7 cutoff's way down here. I mean, that's a way  
8 oversimplification, but I think this is just  
9 about -- I think, initially, we need to attack  
10 the process and help guide and identify what's  
11 needed around -- around that routine process,  
12 besides all the other efforts are about how to  
13 make it harmonize, just-

14           DR. SUSAN A. BERRY: So, I'm probably  
15 naive to this because I'm not in a state lab, but  
16 it seems like each lab sets its own strategy for  
17 setting cutoffs. I mean, they -- they sit down  
18 in the -- the room and do different things and  
19 reanalyze the cutoffs. And I know that's partly  
20 based on methodology and so on, but -- but I -- I  
21 don't quite understand how you can get into a  
22 position where you have 50 bazillion false

1 positives because you're trying not to miss a  
2 case and that, somehow, that can be okay that --  
3 any lab could get in that situation. There's --  
4 isn't there a standard process by which you can  
5 say, Oh, my god, we've got too many false  
6 positives? Isn't there any sort of algorithm  
7 that labs follow to do that?

8 DR. MEI WANG BAKER: And, Sue, what you  
9 described, actually, is quite accurate and how we  
10 do, but I think, right now, we are talking about  
11 harmonization and do the comparison is why data  
12 need to be normalized, and constants are  
13 measuring the one method here in terms of,  
14 everybody uses -- the QC sample has become the  
15 one source, and you give the different numbers  
16 and you calculate a factor that you bring in is  
17 normalize. But have other normalization method.

18 And I'm going to say, I don't want -- I  
19 think Stan will do some presentation, maybe get  
20 more detail. Again, but -- but this is the  
21 experience coming from prenatal screening,  
22 because each individual levels of numbers so

1 different, so the society, together, get if Pete  
2 say, 'Well, let's use the multiple of the  
3 median.' So, everybody in the median, then, your  
4 cutoff is whole -- far away from median. Then,  
5 you normalize data. You -- you -- with this,  
6 then you can compare with your neighbors, with  
7 others, because if my amount is at 1.1, you're  
8 .02, our cutoff different. So, it doesn't matter  
9 which method you use, you know. This is one  
10 thing.

11 Another thing is, people have a lot  
12 discussion, talk about a positive predictive  
13 value. Indeed, the disease incident can somewhat  
14 -- could misleading, but if you compare with some  
15 disorders, across the method, that's valid  
16 comparison because well kid is well kid, you  
17 know, to some instance, if you use method 1,  
18 method 2. So, then you can tell why I have more  
19 false positive rate than others than you can draw  
20 details. I think if you compare different lab  
21 among the lab, the data has to be normalized, and  
22 we talk about, to normalize this, you don't need

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 to do extra. Every testing you have median, and  
2 you can do the ratio. Then, you have that. So,  
3 I think we, personally, need to think about this  
4 line a little bit more.

5 DR. SCOTT M. SHONE: Scott Shone. But  
6 even -- Mei, even by your suggestion, I mean, it  
7 still requires this routine evaluation of your  
8 statistics and/or everything's following. So, it  
9 still comes back to, there's still this -- there  
10 is a need -- there is a -- a requirement and an  
11 understanding of this -- these -- this is one of,  
12 again, many things that have to happen routinely  
13 in the program, looking at every analyte, to make  
14 sure that we can -- we do that, right?

15 DR. MEI WANG BAKER: Agree 100%.

16 DR. SCOTT M. SHONE: Okay.

17 DR. MEI WANG BAKER: Yeah.

18 DR. SCOTT M. SHONE: I think, to Sue's  
19 point, I just want to comment. I mean, I know it  
20 was exaggeration, but I -- I mean, in defense of  
21 the newborn screening programs, I don't think  
22 anybody who has a bazillion false positives is

1 not responding to that, and if it is, I think  
2 that's a completely separate issue.

3           But, I mean, I -- you know, in defense,  
4 there's a lot of talk around missed cases, and I  
5 -- and I go back to my first statement, which is,  
6 I think it's crucial that, at some level, whether  
7 it's through the Education Workgroup or whatever,  
8 that we understand, as Dieter said, it's biology.  
9 No matter -- no matter what type of screening --

10           I mean, it gets a lot of attention  
11 because it's a newborn who ends up suffering, but  
12 across the -- the health system, you know, people  
13 with -- people can have routine colonoscopies and  
14 still get colon cancer. They can have routine  
15 normal blood pressure and have strokes. They can  
16 have normal cholesterol and have heart attacks.  
17 You can have normal newborn screen and still have  
18 a newborn screening disorder. And the fact is,  
19 as long as the processes and procedures are in  
20 place to make sure we're doing the highest  
21 quality work, which everybody wants to do and  
22 just needs, perhaps, some additional guidance and

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 resources on, you know, that's how we -- how we  
2 address the issue that we're talking about.

3 DR. JOSEPH A. BOCCHINI, JR.: Sue?

4 DR. SUSAN A. BERRY: This is Sue Berry.  
5 Some of the discrepancy that -- that comes out in  
6 the publicity from this, though, has to do not so  
7 much with missed cases, per se, but -- but the  
8 idea that one state is different from another.  
9 It's the lack of uniformity. And that's one of  
10 the things I think, that we are really coming to  
11 grips with here, which is that by hook or by  
12 crook, we have to find ways of unifying some of  
13 the procedures that labs do, so that if a missed  
14 case is a missed case in one place it's a missed  
15 case in another for the biologic reason, not  
16 because of -- of differences in performance. I  
17 think that's the key. And there are -- you know  
18 that there are states that have much higher false  
19 positive values, and who -- for that reason and  
20 economic burdens and all of the other things,  
21 stress the system in ways that are just not  
22 appropriate.

1 DR. JOSEPH A. BOCCHINI, JR.: Carol?

2 DR. CAROL GREENE: Carol Greene, SIMD.

3 I'm coming back to the -- in what ways can the  
4 Committee participate in this process, and I come  
5 back to the idea of the education. To build on  
6 what was just being discussed is -- I mean,  
7 obviously, we want to decrease false positives  
8 but not to miss babies, and I think that was a  
9 very rich and wonderful discussion.

10 I think one of the issues about public  
11 understanding is this notion that my baby's level  
12 was 2.3, and it was called normal in Nebraska,  
13 and if my baby had been born in Wyoming, that 2.3  
14 would have been abnormal, without understanding  
15 that in Wyoming, they use a different method, and  
16 it would have been 1.2, and it would have still  
17 been called normal. And I think that's where we  
18 get into trouble with the public understanding  
19 that it's a cutoff and a number and not  
20 understanding that there are different methods  
21 and that laboratories are individually doing due  
22 diligence, and we've got all this beautiful, you

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 know, different methods and -- and normalizing.

2           So, I think there is a role for the  
3 Committee in education to try to help the states  
4 not be exposed to this kind of inappropriate  
5 criticism, when the states are actually doing --  
6 I mean, I'm not saying anybody's perfect, and  
7 there's always room for improvement, but I think  
8 the states are being inappropriately criticized,  
9 and the Committee may be able to help with  
10 education for that. Otherwise, yes, I think that  
11 -- that -- that the states could appreciate help  
12 in all of these ways, as long as we always  
13 appreciate -- I mean, I -- I -- certainly, in the  
14 states I've been, people are reaching out to the  
15 providers. They're trying to minimize the false  
16 positives. They're trying to do their best, and  
17 I'm sure they would appreciate help.

18           MS. JACLYN SEISMAN: Just to touch on the  
19 other points that have been made around  
20 education. I think that's a valid effort -- or  
21 valid point about the efforts and investments  
22 being made to communicate to the public on trying

1 to understand this topic, but also, to your point  
2 -- I'm sorry, this is Jackie Seisman from Genetic  
3 Alliance -- also, to your point about not just  
4 understanding the topic but also why states are  
5 screening for different conditions -- I think  
6 that's a question that Natasha and I receive  
7 often from parents through Baby's First Test.  
8 And so, with that communication about cutoffs,  
9 but also about the communication of why states  
10 are screening, which I know that conversation's  
11 happening later.

12 DR. SUSAN M. TANKSLEY: Susan Tanksley,  
13 Association of Public Health Laboratories. So, I  
14 really appreciate all of the discussion that  
15 we've had so far today. We've talked a lot about  
16 screening and the need for education on what that  
17 means, the fact that there are biological  
18 differences in these babies that can be explained  
19 by other things. When there are false -- false  
20 negatives, when there are missed cases, it's  
21 incredibly important to be able to, first of all,  
22 know that there was a missed case.

1           So, if there's something that the  
2 Committee can do to support efforts to be able to  
3 -- for states to collect that information -- and  
4 I know that we request that information, and --  
5 and we have really good relationships with some  
6 of our subspecialty providers, and they will  
7 provide that information, but we also have some  
8 conditions that are probably being cared for by  
9 PCPs, and we may never receive the information  
10 that -- that the babies actually have a disorder  
11 that should have been picked up by newborn  
12 screening. The lack of information hurts us, in  
13 the lab, in that we can't -- we can't go back and  
14 review the case if we don't know that it's  
15 actually a disorder.

16           The other -- other important thing to  
17 consider is the definition of what we're  
18 screening for, and that is different, probably,  
19 in every state. And it's important, as a state,  
20 to know exactly what we're screening for and to  
21 make sure, perhaps, to communicate that to our  
22 specific population, to our public, to say, This

1 is what we're screening for.

2           Sometimes we get questions about missed  
3 cases, and, you know, something that we should  
4 have picked up, and perhaps it's not something  
5 that's a target of our screening program. So, in  
6 our eyes, that's not a missed case. That's not a  
7 thing that we were screening for, but there's a  
8 perception out there that we should have picked  
9 that condition up. So, therefore, the black eye  
10 is sometimes not really warranted because it's --  
11 it's not a target of screening; it's not  
12 something we're looking for.

13           And even in the publicity -- you know,  
14 the media stories about the missed cases, when I  
15 reviewed those, read those, I was like, Well,  
16 that's -- that's not something we would be  
17 screening for in newborn screening, yet it's out  
18 there in the public. It still makes newborn  
19 screening programs look bad, even though it's not  
20 something that we're actually looking for.

21           So, I think there's -- we talk about  
22 definitions a lot in this Committee, and I -- I

1 think this is the critical area where maybe we go  
2 back and -- and review those definitions, and --  
3 and perhaps states should go and look at the  
4 NewSTEPS definitions or try to relate to the  
5 NewSTEPS definitions and what are they actually  
6 screening for in the states. Perhaps that's  
7 information that could be gathered at this level  
8 as to what is actually being screened for when  
9 you consider each condition, because it's -- just  
10 because you say it's hypothyroidism doesn't mean  
11 it's going to be all the different variants of  
12 hypothyroidism. Just because you say you're  
13 screening for CAH doesn't mean that each state  
14 intends to pick up simple virilizers as well as  
15 salt-wasters. So, I think these are important  
16 considerations as we continue this discussion.

17 DR. SUSAN A. BERRY: This is Sue Berry.  
18 So, I want to reinforce what Susan just said  
19 about the target that you are screening for. I  
20 don't think, when everybody thought about how we  
21 were going to work to pick up infantile Pompe  
22 that people really thought very hard about what

1 we were going to do about late onset. Those are  
2 both disorders that are identified by the  
3 screening process that we -- we've undertaken,  
4 and now we're struggling and will struggle for  
5 many years with what we do with late-onset cases.  
6 We have no mechanism by which to pick up what  
7 then I would call the missed cases for late-onset  
8 disorders. They weren't our target in the first  
9 place. Are we responsible for keeping track of  
10 that? I -- I really am -- and -- and who's going  
11 to go back and find the 20-year-old blood spot  
12 that got burned 10 days after it was done anyway?

13           So, I -- I -- the -- I can't emphasize  
14 enough how important it is, when we make these  
15 decisions for the Committee, that we, perhaps, be  
16 more precise about that target when we say we're  
17 approving this or that. So, Kellie and I were  
18 just kind of looking at each other and saying,  
19 Well, SMA, we only really approved the ones that  
20 have a specific mutation. And I don't think we  
21 make that clear enough when we -- when we make  
22 our decisions and make it overt enough for

1 families to understand when we talk about what we  
2 -- what are missed cases. We're -- those are  
3 missed cases in the sense that they -- kids have  
4 SMA, but they're not missed cases based on what  
5 we decided to screen for. Precision and language  
6 is going to be key to all of this and the  
7 potential for longer-term follow-up, which we  
8 really don't have in place.

9 DR. DIETRICH MATERN: Dieter Matern. So,  
10 I mean, I -- I agree with pretty much everything  
11 that was said, but I think you can -- or should,  
12 every newborn screening program should post on  
13 their website what they are screening for.  
14 However, I also believe that when new information  
15 becomes available about conditions that are  
16 picked up through screening for those conditions  
17 that you want to screen for, every program has  
18 the responsibility to be up to date, to a  
19 significant degree, with the current literature  
20 and then address that on their website.

21 Again, if -- because, yes, there are  
22 things that we learn. We -- last week, we

1 figured out that maybe there is a way to pick up  
2 mucopolipidosis type II by screening for lysosomal  
3 disorders. So, once that in the literature, I  
4 think you have to consider putting that on your  
5 website, whether you're screening for it or not.

6 MS. CATHERINE A. L. WICKLUND: Cathy  
7 Wicklund. So, in listening to this conversation,  
8 I -- I think it's important for us to also, like,  
9 separate out the things that we really think that  
10 labs could improve on that we aren't doing well  
11 versus things that are just inherent in a  
12 screening process. And we talk about precision  
13 in our language, we talk about providing  
14 education, and I think what's hard, too, is  
15 understanding, when it's relevant to an  
16 individual, they will be receptive to education  
17 on a particular topic.

18 And we can educate all we want, but we  
19 have to be realistic about the perception of what  
20 screening is, how people are going to take in  
21 this information. And I think we just have to  
22 give ourselves some -- I don't know,

1 acknowledgement that we want to be able to  
2 produce materials and have the education there,  
3 but it will not be relevant and there's a lot of  
4 nuance to these conversations.

5           And speaking from somebody who, every  
6 day, talks to people about abnormal screening  
7 results and what that means, it's -- it's -- it's  
8 difficult for people to understand the  
9 complexities around this. And if it's not  
10 relevant to them at that time, it's not going to  
11 really be, you know, received in a way that we  
12 necessarily want it.

13           So, I -- I just think it's hard. When  
14 something really horrible happens like this, you  
15 know, that's when people want the information and  
16 care about the information, and I just think we  
17 have to be realistic about that for provider  
18 perspective, public perspective, and everybody  
19 that we're trying to educate about this. And  
20 this is, of course -- screening concepts in  
21 general apply across all aspects of medicine.

22           DR. JOSEPH A. BOCCHINI, JR.: Debbie.

1 DR. DEBRA FREEDENBERG: I was just going  
2 to comment a bit on the false negatives. I think  
3 that the -- the Committee can do something to  
4 help, kind of, relieve the onus. A lot of states  
5 consider reporting false negatives as a liability  
6 to the program and to the state, and if there's  
7 something that can be done, you know, across the  
8 whole screening program, that would be great.

9 I mean, I happen to be in a state that  
10 requires us to be notified of a missed case on  
11 newborn screening, but as Susan alluded to, we  
12 know there are some areas that we're very tightly  
13 linked and we will hear about each and every  
14 case, whether it's true or not, and we do  
15 investigate that, but we also know that there are  
16 some other areas where they are, you know, kind  
17 of more common, like the hypothyroidism, where  
18 people don't know if it's acquired, if it's  
19 congenital, and we will not necessarily hear  
20 about something that may actually have been  
21 congenital hypothyroidism.

22 But -- so, we're fortunate in that in our

1 state, we actually do get that information back  
2 for a small -- a large percentage of the time,  
3 but I do know that states do consider it a  
4 liability to report false negatives and put that  
5 out there in the public, and if there's some way  
6 that the Committee can think about lessening that  
7 burden on states to help improve the system, I  
8 think that would be really useful.

9 DR. JOSEPH A. BOCCHINI, JR.: All right.  
10 Anyone on the phone wish to make a comment or ask  
11 a question?

12 (No audible response)

13 DR. JOSEPH A. BOCCHINI, JR.: If not,  
14 Kellie, do you want to weigh in from the  
15 Workgroup perspective at all?

16 DR. KELLIE B. KELM: You know, we --  
17 This is Kellie Kelm. We have not had a chance to  
18 go back and, for example, look at the -- I know  
19 the -- one of the things was to go back and look  
20 at the APHL document, but I mean, if it's --  
21 depends on what the Committee wants to do, if  
22 they want us to consider some of these bullets

1 and -- and think of -- come up with a list to  
2 bring back to the Committee based on the  
3 discussion today and -- and our discussion --  
4 You know, I guess it's up to the Committee and  
5 the charge and what -- whether or not the  
6 Committee wants to direct us or whether or not  
7 you want the Workgroup to come back with their  
8 ideas.

9 DR. JOSEPH A. BOCCHINI, JR.: I -- I  
10 think, from the discussion, it makes sense to  
11 continue the process of looking at this in -- in  
12 the Workgroup, continue the interaction with APHL  
13 related to their document, as well as with other  
14 -- the NewSTEPS program and -- and the CDC at  
15 this point, and -- and -- so that we can kind of  
16 follow this through and see if there are  
17 opportunities, based on what has been discussed  
18 here, to bring back something to the Committee  
19 for an action.

20 In addition, it sounds like we need to go  
21 back to the Education and Training Workgroup,  
22 perhaps, with some specific questions around the

1 issues that have come up today. Maybe we can  
2 frame that in -- in a way to -- to give a task to  
3 the -- to the Education Training Workgroup. That  
4 be a general feeling from the Committee?

5 (No audible response)

6 DR. JOSEPH A. BOCCHINI, JR.: All right.

7 DR. KELLIE B. KELM: We have -- we have  
8 time reserved to talk about that today, so --

9 DR. JOSEPH A. BOCCHINI, JR.: Perfect.

10 DR. KELLIE B. KELM: -- we can start that  
11 discussion about some ideas.

12 DR. JOSEPH A. BOCCHINI, JR.: Okay.  
13 Great. Okay, thank you all very much for  
14 excellent discussion.

15 All right, so that concludes our business  
16 for this morning. I just want to note that Dr.  
17 Dolan, Kus, and Rink were online on the webcast  
18 but could not communicate with us earlier. And  
19 so, Catharine, do you want to give some guidance  
20 for lunch?

21 DR. CATHARINE RILEY: Sure, thank you.

22 This is Catharine Riley. So, again, I mentioned

1 this, this morning, but do need to reiterate the  
2 -- the visitor policy. So, you do have access to  
3 this room and the cafeteria and restrooms, but  
4 the rest of the facility is restricted, so if you  
5 do need to exit or you do need to go somewhere  
6 else, if you could let one of the HRSA staff  
7 know, that would be great.

8           We are breaking a little early, so we  
9 will ask everyone to come back, maybe, a few  
10 minutes early, so we can get started with the  
11 afternoon session promptly at 1:15 p.m. For  
12 those who are viewing via webcast, we will start  
13 at 1:15. So, thank you.

14           DR. JOSEPH A. BOCCHINI, JR.: All right,  
15 that'll conclude this morning's session. We'll  
16 see you back promptly at 1:15. Thank you.

17           (Whereupon, the above-entitled matter  
18 went off the record and then came back on.

19           DR. JOSEPH A. BOCCHINI, JR.: All right,  
20 let's go ahead and call the afternoon session to  
21 order. We'll start with a roll call.

22           So, Mei Baker?

1 DR. MEI WANG BAKER: Here.

2 DR. JOSEPH A. BOCCHINI, JR.: Susan  
3 Berry?

4 DR. SUSAN A. BERRY: Present.

5 DR. JOSEPH A. BOCCHINI, JR.: I'm here.  
6 Jeff Brosco?

7 DR. JEFFREY P. BROSCO: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: Carla  
9 Cuthbert?

10 (No audible response)

11 DR. JOSEPH A. BOCCHINI, JR.: Not back  
12 yet.

13 Kellie Kelm?

14 DR. KELLIE B. KELM: Here.

15 DR. JOSEPH A. BOCCHINI, JR.: Joan Scott?

16 MS. JOAN SCOTT: Here.

17 DR. JOSEPH A. BOCCHINI, JR.: Dieter  
18 Matern?

19 DR. DIETRICH MATERN: Here.

20 DR. JOSEPH A. BOCCHINI, JR.: Cindy  
21 Powell?

22 DR. CYNTHIA M. POWELL: Here.

1 DR. JOSEPH A. BOCCHINI, JR.: Melissa  
2 Parisi?

3 DR. MELISSA PARISI: Here.

4 DR. JOSEPH A. BOCCHINI, JR.: Annamarie  
5 Saarinen?

6 MS. ANNAMARIE SAARINEN: Here.

7 DR. JOSEPH A. BOCCHINI, JR.: Scott  
8 Shone?

9 DR. SCOTT M. SHONE: Here.

10 DR. JOSEPH A. BOCCHINI, JR.: Beth Tarini  
11 by webcast?

12 DR. BETH TARINI: Here.

13 DR. JOSEPH A. BOCCHINI, JR.: Cathy  
14 Wicklund?

15 MS. CATHERINE A. L. WICKLUND: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: And  
17 Catharine Riley, DFO?

18 DR. CATHARINE RILEY: Here.

19 DR. JOSEPH A. BOCCHINI, JR.: Robert  
20 Ostrander?

21 DR. ROBERT OSTRANDER: Here.

22 DR. JOSEPH A. BOCCHINI, JR.: Debbie

1 Freedenberg?

2 DR. DEBRA FREEDENBERG: Here.

3 DR. JOSEPH A. BOCCHINI, JR.: Michael

4 Watson?

5 DR. MICHAEL S. WATSON: Here.

6 DR. JOSEPH A. BOCCHINI, JR.: Britton

7 Rink by webcast?

8 DR. BRITTON RINK: Here.

9 DR. JOSEPH A. BOCCHINI, JR.: Jed Miller?

10 DR. JED MILLER: Here.

11 DR. JOSEPH A. BOCCHINI, JR.: Susan

12 Tanksley?

13 DR. SUSAN M. TANKSLEY: Here.

14 DR. JOSEPH A. BOCCHINI, JR.: Chris Kus?

15 DR. CHRISTOPHER KUS: Here.

16 DR. JOSEPH A. BOCCHINI, JR.: Adam Kanis?

17 COL ADAM B. KANIS: Here.

18 DR. JOSEPH A. BOCCHINI, JR.: Jackie

19 Seisman?

20 MS. JACLYN SEISMAN: Here.

21 DR. JOSEPH A. BOCCHINI, JR.: Siobhan

22 Dolan?

1 DR. SIOBHAN DOLAN: Here.

2 DR. JOSEPH A. BOCCHINI, JR.: Cate Walsh  
3 Vockley?

4 MS. CATE WALSH VOCKLEY: Here.

5 DR. JOSEPH A. BOCCHINI, JR.: And Carol  
6 Greene?

7 DR. CAROL GREENE: Here.

8 DR. JOSEPH A. BOCCHINI, JR.: All right,  
9 thank you, all.

10 So, we're going to start the afternoon  
11 with three presentations from additional states  
12 to talk about timing -- timeliness and lessons  
13 learned as each of the states approached  
14 timeliness issues. I'm going to introduce all  
15 three presenters. They're all presenting by  
16 phone. They'll go one after each other, and then  
17 we'll save the questions for after the three have  
18 presented and after we've learned what each state  
19 has accomplished.

20 So, the first presenter will be Tonya  
21 McCallister. Tonya McCallister has worked in the  
22 newborn screening lab of the Oklahoma State

1 Department of Public Health Laboratory since  
2 2001. Her current role in the laboratory  
3 includes supervising staff and newborn screening  
4 specimen accessioning and testing, overseeing  
5 QA/QI processes, and managing newborn screening  
6 LIMS activities.

7           The second presenter is Sondi Aponte.  
8 Sondi is the education and outreach manager in  
9 the Office of Newborn Screening at the Arizona  
10 Department of Public Health. She also oversees  
11 outreach campaigns and social media, provides  
12 training, coordinates partnership and project  
13 developmental -- or development activities.

14           And the third is Stan Berberich. Stan is  
15 the program manager in newborn screening at the  
16 State Hygienic Laboratory at the University of  
17 Iowa, a position that he has held for the past 18  
18 years.

19           So, let's start with Tonya's  
20 presentation. The -- Tonya, your slides are up  
21 on the screen, so we're ready to go when you are.

22           MS. TONYA MCCALLISTER: Okay, good

1 afternoon. Thank you for the opportunity to  
2 speak with you today about our timeliness efforts  
3 in Oklahoma.

4           Next slide. The Oklahoma Newborn  
5 Screening program partnered with the Oklahoma  
6 Hospital Association to create a quality  
7 improvement program to address delays in newborn  
8 screening. The aim of the Every Baby Counts  
9 program was to improve transit time efficiencies  
10 by collaborating with our state-contracted  
11 courier and birthing hospitals. Our initial  
12 focus was to provide quarterly transit time  
13 reports and improve courier service. Upon  
14 receiving NewSTEPS 360 funding in September of  
15 2015, we were able to expand our efforts to  
16 include monthly hospital reports, courier  
17 expansion, a newborn screening resource guide,  
18 site visits, including a workflow analysis, and  
19 newborn screening lab process changes.

20           Next slide. The format of the quarterly  
21 transit time report was not user friendly, so we  
22 developed a new monthly report that includes

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 graphs that rank the hospitals from the best  
2 transit time compliance to worst. We also  
3 developed a monthly unsatisfactory specimen  
4 ranking report. Both of these reports are  
5 transparent, shared with all hospitals, and are  
6 available on our health department website.

7           In addition to these reports, we  
8 developed individualized hospital reports. These  
9 reports contain more detailed information  
10 specific to each hospital. The individualized  
11 hospital reports are not transparent and are only  
12 shared with the hospital for which the report is  
13 generated. All reports are emailed to specific  
14 members of the hospital mother-baby unit, NICU,  
15 and laboratory unit as requested by managers of  
16 each section.

17           Next slide. This is an example of the  
18 transit time report. While the Advisory  
19 Committee's recommendation is that specimens  
20 should arrive at the lab as soon as possible,  
21 ideally within 24 hours of collection, Oklahoma  
22 law states that specimens should be received to

1 the state health department public health  
2 laboratory within 48 hours after specimen  
3 collection. Therefore, we have set a transit  
4 time goal of 95% compliance, meaning that 95% of  
5 all specimens should be received to the public  
6 health laboratory within 48 hours from the time  
7 of specimen collection. The format of the report  
8 allows hospitals to see how they are performing  
9 at a glance relative to other hospitals receiving  
10 the same courier service.

11           Next slide. This slide shows an example  
12 of the graphs from an unsatisfactory specimen  
13 report. Hospitals are grouped according to the  
14 number of specimens submitted, by low, medium,  
15 and high volume. If a hospital has zero unsats  
16 in a month, they get a green star. Our goal is  
17 to see as many green stars as possible, since  
18 every unsatisfactory specimen means that another  
19 specimen must be collected before testing can  
20 occur. This causes delays in testing and  
21 reporting, which could be detrimental to a baby  
22 with a disorder, especially if it is a time-

1 critical disorder.

2           Next slide. These are examples of the  
3 individualized hospital report. There is a  
4 summary table at the top of the report that lists  
5 the total number of specimens received, how many  
6 were unsatisfactory for testing, and how many  
7 were received with key pieces of demographic  
8 information missing. The pie charts provide the  
9 reasons why specimens were unsatisfactory for  
10 testing and which key pieces of information were  
11 missing from the demographic portion of the  
12 newborn screening form.

13           The table at the bottom shows birth-to-  
14 collection times for initial specimens. To  
15 comply with Advisory Committee recommendations,  
16 newborn screening specimens should be collected  
17 in the appropriate time frame for the newborn's  
18 condition but no more than 48 hours after birth.  
19 Our goal is for at least 95% of specimen  
20 collections to occur within 24 hours and 1 minute  
21 of age to 48 hours. For hospitals that do not  
22 have a NICU or special care unit, there should be

1 very few, if any, collected outside the 24-hours-  
2 and-1-minute-of-age-to-48-hour window.

3           Next slide. The next three slides are  
4 graphical representations of our state-contracted  
5 courier expansion. In January 2015, we expanded  
6 7-day courier service, indicated by green  
7 triangles on the map, to include 18 hospitals.  
8 This accounted for approximately 58% of initial  
9 specimens received.

10           Next slide. In December 2015, 7-day-a-  
11 week courier service was again expanded to  
12 include an additional 20 hospitals, accounting  
13 for approximately 93% of initial specimens.

14           Next slide. In March 2017, we added 2  
15 more hospitals to the 7-day-a-week courier  
16 service, bringing the total to 40. This now  
17 accounts for approximately 94% of initial  
18 specimens.

19           Next slide. We initially focused on the  
20 preanalytical aspects of timeliness: getting  
21 quality specimens collected and transported to  
22 the public health laboratory as quickly as

1 possible. Collaborating with the Oklahoma  
2 Hospital Association and our hospital partners,  
3 we created a comprehensive newborn screening  
4 resource guide. Included in the guide was a  
5 model policy hospitals could tailor to meet their  
6 needs and a hospital self-evaluation form that  
7 could be used on an annual basis to ensure  
8 policies and procedures are in place and are  
9 available to staff. We also provided an example  
10 collection log to report key information related  
11 to newborn screening.

12           We developed an extensive train-the-  
13 trainer resource, which includes all aspects of  
14 filling out the newborn screening demographic  
15 information, as well as guidance regarding  
16 specimen collection and transport, information  
17 about newborn screening disorders, and staff  
18 competency resources.

19           We purchased CLSI resources, including an  
20 instructional video on how to collect the newborn  
21 screen. All resources were provided on DVDs to  
22 each hospital unit involved in newborn screening.

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 We also obtained permission from CLSI for each  
2 hospital to upload the instructional video to  
3 their intranet, making it easier for all  
4 employees to utilize the resources.

5           Next slide. During the site visit, we  
6 ask for the hospital to gather key individuals  
7 from each unit that collects or submit newborn  
8 screening specimens to participate in a  
9 walkthrough of each department, starting from  
10 newborn screening filter paper storage through  
11 courier pickup of the specimen. Notes from the  
12 walkthrough were used to create a workflow  
13 analysis depicting processes within and between  
14 hospital units. This process provided a valuable  
15 opportunity for staff in all departments to learn  
16 and identify barriers and solutions together. In  
17 the next few slides, we will show improvements  
18 made for these collective efforts.

19           Next slide. This graph shows the transit  
20 time compliance percentage for each month of  
21 2018. It is important to note that when we  
22 initiated the Every Baby Counts program, transit

1 time compliance was 35.87%. In March 2018, we  
2 achieved 86.53% compliance. We have yet to meet  
3 our goal of 95% compliance, but we have made  
4 great strides in getting to where we are now. We  
5 continue to work with our hospital partners and  
6 our state-contracted courier to identify barriers  
7 and ways to overcome them.

8           Next slide. This graph shows the percent  
9 unsatisfactory specimens received each month in  
10 2018. For every unsatisfactory specimen we  
11 receive, it means a delay in testing and  
12 reporting of results for that baby, while we wait  
13 to receive a satisfactory specimen. This graph  
14 can be a little misleading without knowing that  
15 we typically see an increase in unsatisfactory  
16 percentage in December and January every year.  
17 What I would like to emphasize with the graph is  
18 that March, for the first time, we met our goal  
19 of less than 2% with an unsat percentage of  
20 1.97%.

21           While we're proud of the accomplishment,  
22 we are still working to improve specimen

1 collection. We currently scan all unsatisfactory  
2 specimens and then email them back to the  
3 submitting hospital, allowing managers to use the  
4 images for education and training. We also  
5 provide additional data to hospitals whose  
6 unsatisfactory rates are consistently greater  
7 than the 2% goal.

8           Next slide. Our next step was to review  
9 lab processes to identify changes that would  
10 shorten the time between when a specimen is  
11 received into the public health lab and when  
12 results are reported. The three areas identified  
13 for improvement were specimen processing, testing  
14 and reporting, and demographic entry proofing and  
15 release.

16           One of the changes was made to batch  
17 closeouts. Typically, the courier delivers the  
18 majority of specimens prior to 6:00 a.m., when  
19 laboratory staff arrive and began processing.  
20 Once all specimens have been punched, batches are  
21 closed and testing begins. Specimens accessioned  
22 after batches are closed are not punched and



1 entry process. Prior to changes, demographic  
2 entry began once batches were closed and were  
3 then proofed and released the next day. We  
4 changed this process so that on Fridays, all  
5 demographics are entered, proofed, and released.  
6 Therefore, nothing is held over the weekend. In  
7 the next slides, we'll examine the impact of  
8 these changes.

9           Next slide. To evaluate improvement, we  
10 compared the date differences for specimens  
11 reporting pre- and post-lab changes. The date  
12 difference is the date the specimen was reported  
13 minus the date the specimen was received. Prior  
14 to changes, no specimens reported on the same day  
15 they were received, which is lab day zero. After  
16 changes were made, 28.6% of specimens were  
17 reported on the same day.

18           Lab day 2 is also significant, because it  
19 potentially represents reporting of specimens by  
20 day 5 of life, which is a measure for any time-  
21 critical result. Prior to changes, 61.5% of  
22 specimens were reported by this day. This

1 improved to 72.7% after changes were implemented.  
2 Due to the success of these changes, we have  
3 expanded same-day demographic entry, proofing,  
4 and release to additional days of the week.

5           Since our ultimate goal for timeliness is  
6 to provide timely care for infants with newborn  
7 screening conditions, I will now review data for  
8 reporting of time-critical conditions, as well as  
9 data for all initial newborn screening results  
10 for 2018.

11           Next slide. For time-critical  
12 conditions, the goal is at least 95% of specimens  
13 reported within 5 days of life. This graph shows  
14 that zero percent of time-critical specimens were  
15 reported within 5 days of life for January and  
16 February, and 100% in March. Of the four total  
17 specimens in January and February, two belonged  
18 to infants who had an initial unsatisfactory  
19 newborn screen, resulting in a delay from birth  
20 to reporting results. The other two specimens  
21 were reported on day 6 of life. It took 3 days  
22 for the specimens to reach the public health

1 laboratory. They were received on a Saturday,  
2 and results were reported on Monday.

3           Next slide. For all infants, our goal is  
4 to report out all initial specimen results within  
5 7 days of life. In February, courier service was  
6 unable to pick up specimens for a couple of days  
7 due to icy road conditions. State offices were  
8 also closed during this time. This resulted in  
9 87.92% of specimens reported within 7 days of  
10 life for February.

11           While we have made noticeable  
12 improvements in multiple areas, we still have  
13 some barriers to overcome with transit time,  
14 specimen collection, and testing. However, we  
15 continue to work with our partners to review  
16 processes for and to look for barriers and  
17 possible solutions to meet timeliness goals.

18           Next slide. This is our contact  
19 information for both our follow-up program and  
20 our newborn screening laboratory. Thank you,  
21 again, for the opportunity to speak with you  
22 today.

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. JOSEPH A. BOCCHINI, JR.: Tonya,  
2 thank you very much. That was a very nice  
3 presentation of a very comprehensive plan that  
4 has already reaped some significant achievements.

5 So, next, we have Dr. Aponte. Ms.  
6 Aponte, let's put up slides. Sondi, your slides  
7 are up, and you're ready to go.

8 MS. SONDI APONTE: All right, thank you  
9 so much, Dr. Bocchini, and it's not Dr. Aponte,  
10 but thank you, again. Good afternoon, everyone.  
11 My name is Sondi Aponte, and on behalf of the  
12 Office of Newborn Screening, I want to thank the  
13 Committee for inviting our state to participate  
14 on this panel discussion.

15 To begin, I'll be focusing on transit  
16 time, a crucial aspect of overall timeliness and  
17 one we've learned a lot about in our state over  
18 the last 5 years. You'll notice that I've  
19 inserted some of the goals for timeliness  
20 throughout my talk for reference, and I'll be  
21 going -- referencing them several times.

22 Next slide, please. So, let me tell you

1 a little bit about the state of Arizona. We're  
2 the sixth-largest state, and you can see that  
3 it's pretty well -- or pretty widely dispersed.  
4 There are frontier and rural counties, there are  
5 Indian territories, and, really, if you look at  
6 the pink spots, we're only talking about Phoenix  
7 and Tucson that are urban areas. So, when we  
8 talk about transit time, we really have to think  
9 about, many of the counties and communities that  
10 we serve are very rural.

11           Next slide, please. So, this is how we  
12 got started with timeliness. We just really  
13 didn't realize the magnitude of the problem. Up  
14 to this point, we hadn't really focused on how  
15 long it was taking for samples to be received.  
16 Of course, this Journal Sentinel article and  
17 subsequent ones have challenged us to recognize  
18 and solve problems to avoid potential delays to  
19 treatment for newborns. At the time, and I think  
20 that was in 2013, only about 67% of samples  
21 arrived within 3 days, and many took 5 or 6 days  
22 to be received. And that was -- at that point,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 we had a 5-day-per-week courier service. So,  
2 there's nothing like being called out. I -- I  
3 think, of the 26 states that submitted data, we  
4 were right near the bottom, so this was our call  
5 to action.

6           Next slide, please. So, we established a  
7 plan. The director set an agency priority. We  
8 started collaborating within interagencies to  
9 send letters, to start talking with people to  
10 really understand what some of the barriers were.  
11 A statewide goal was announced, and there was a  
12 taskforce that was developed, and I was able to  
13 serve on that taskforce as the subject matter  
14 expert, and it was assigned executive  
15 sponsorship.

16           And I think that was really important for  
17 this transit time taskforce to get started,  
18 because we needed access to resources  
19 immediately. And so, there was no way we were  
20 getting anywhere toward having samples delivered  
21 within 24 hours. We were less than 10% that were  
22 arriving at that time point. So, we had a lot of

1 work to do, and a plan was established.

2 I wanted to call out this photo. This is  
3 Owen. This is an actual Arizona baby, and he's  
4 one of our success stories in Arizona and  
5 certainly the reason we come to work every day.

6 Next slide, please. So, we identified  
7 the problems, and in 2014, we started with the  
8 low-hanging fruit. We knew that since 99% of  
9 babies in Arizona are born in a hospital, that  
10 was a clear point to start. It became clear,  
11 pretty quickly, that Item 3, Courier Limitations,  
12 was really hindering our ability to receive  
13 samples quickly. There were a couple of reasons  
14 for that, but 1) the service was limited to 5  
15 days per week, and 2), as you can see here, many  
16 hospitals weren't using the service efficiently.  
17 Some didn't even realize we were paying for it,  
18 and it was free of charge. So, there was a lot  
19 of batching going on and other limitations that  
20 you can see. We didn't solve all these by the  
21 way, but we did start with courier limitations  
22 and -- and move from there.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1           Next slide, please. So, we aimed high.  
2   Within 6 months -- and that was in early '14 --  
3   we set a goal of having 95% of samples -- initial  
4   samples, first-screen samples, received to the  
5   lab within 3 days, and that -- as you can  
6   imagine, that took -- that was fairly ambitious  
7   and took quite a lot of work. We applied the  
8   continuous quality improvement methods to the  
9   project, we asked a lot of questions, we visited  
10   a lot of hospitals, we met with courier vendors,  
11   and we transparently started posting hospital  
12   transit time data to the website. And at that  
13   time, I think that was pretty innovative. I  
14   don't know of many other states that were doing  
15   that. But we knew that what got measured would  
16   get changed, and the director supported this  
17   transparency in collaboration with hospitals in a  
18   way that we think really drove significant  
19   change.

20           So, the good news is, the goal was  
21   reached in 5 months, not 6. We actually issued  
22   monthly hospital certificates. We had a party

1 for stakeholders and hospital leadership when the  
2 goal was achieved. We -- we drove to outlying  
3 hospitals. We shook a lot of hands. We posted a  
4 lot of pictures on social media. And  
5 consequently, Arizona was awarded the first-ever  
6 Newborn Screening Award for timeliness.

7           And I have to say here, March of Dimes  
8 and other partners have really been important in  
9 helping us achieve and sustain the timeliness  
10 goals. We worked with the Hospital and  
11 Healthcare Association. We brought everyone in  
12 who could help support this initiative. And we  
13 knew that everybody was out for the same thing.  
14 We all want health and wellness for the babies  
15 and families in Arizona. So, we aimed high,  
16 applied the methods, and reached the goal.

17           Next slide, please. So, as you know, you  
18 can't rest on your laurels. We were focusing,  
19 throughout '15 and into '16, on maintaining that  
20 original goal and set a new one. So, in August  
21 2016, we stretched that goal from 95% within 3  
22 days to 98% of samples to be received within 3

1 days. And, you know, it took a lot of  
2 retraining, refresher, hospital site visits.  
3 People tend to get a little lax as you go  
4 through. So, what was easy to maintain at 95%  
5 wasn't always easy at 98%. Although we have a  
6 courier service 6 days a week and our receiving  
7 department accepts samples 6 days a week, our lab  
8 only processes samples 5 days a week.

9           So, the -- we knew there were some  
10 barriers that were going to limit our ability to  
11 reach this 7-day-of-life goal. So, stretching to  
12 98% meant we had to reach back out. We had to  
13 stretch those goals. We had to refine pick-up  
14 times, delivery times. And so, it's been an  
15 ongoing process.

16           We had some recognition back in '15 and  
17 '16. We published an article in Lab Matters. We  
18 did some APHL posters and presentations. We  
19 started participating in the CoIIN trainings, and  
20 applied for and received the NewSTEPS 360 grant,  
21 which I'll talk about in just a minute.

22           But, really, what we knew was that

1 transit time was only one important factor in  
2 achieving the recommended goal and reporting out  
3 all -- of reporting out all results in 7 days.  
4 So, we had to move on to the next problem.

5           Next slide, please. So, on we go to the  
6 NewSTEPS 360 grant. What is posted here is part  
7 of the statement of work for year 3, but I want  
8 to talk a little bit about year 1 and year 2, as  
9 well. We embarked on this grant-funded project  
10 with APHL, NewSTEPS 360, and the Colorado School  
11 of Public Health, and the first 2 years, we  
12 primarily focused on modifying internal  
13 workflows, and those are going to be highlighted  
14 on the next slide.

15           For this year, though, 2018, we're  
16 focused on our biggest challenge yet. For  
17 Arizona, that's demographic data entry delays,  
18 and those are illustrated a little bit in this  
19 statement of work. So, you can see here, the  
20 time from specimen receipt to reporting out of a  
21 result, at the time of this year 3 grant, 60% of  
22 normal and out-of-range results took 7 days or

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 greater to be reported out. And when we broke  
2 that down and measured at what point was it  
3 getting stopped, it was inevitably falling in the  
4 demographic data entry, which is a very manual,  
5 time-intensive, person-dependent process. So,  
6 that's been the focus for our 2018 year 3 grant,  
7 and I'd like to go ahead and move on to the next  
8 slide.

9           Okay, so this slide is a poster that was  
10 presented at the annual NewSTEPS 360 meeting a  
11 few weeks ago, and it highlights some of our  
12 achievements, as well as some of our ongoing  
13 challenges. And you can look at some of those  
14 challenges. Those aren't all fixed. We've  
15 tackled a few successfully, but we continue to  
16 have barriers and challenges within the Office of  
17 Newborn Screening.

18           But let me talk a little bit about some  
19 of these positive results. The first chart under  
20 Results illustrates a lab workflow change that  
21 improved turnaround time for hemoglobin testing,  
22 from a baseline of about 12% of samples being

1 processed within 48 hours up to 91%. And that  
2 was pretty amazing with some retraining, some  
3 simple workflow changes, and some forms and  
4 structures being realigned. So, pretty  
5 significant for an internal workflow change.

6           And then, the second one is about that  
7 demographic data entry and verification delay.  
8 The second chart illustrates the reduction in  
9 demographic data entry delays from an average of  
10 7 days down to zero through a series of workflow  
11 changes. I'll talk about that a little bit more,  
12 but it -- it was very dependent on the number of  
13 staff we had, the qualifications of each of the  
14 staff in the demographic team, and the process  
15 through which we entered and then came back and  
16 verified demographic -- critical information in  
17 the demographic fields, and I'll talk more about  
18 that on the next slide.

19           Next slide, please. Oh, you know what?  
20 I want to -- you can go ahead and move to the  
21 next slide, but I don't want to forget to talk  
22 about optical character recognition on this

1 slide. I only briefly touched on it because it's  
2 a year 3 project, but optical character  
3 recognition has been something that's been on  
4 Arizona's plate over 2 years, and I am happy to  
5 report that it is finally in the testing phase.  
6 We believe that optical character recognition,  
7 whereby the card's demographic information will  
8 be scanned and sent to a provider, who will  
9 upload the demographic information into our  
10 database within 24 hours, as really being a  
11 system-sustainable change so that we can get rid  
12 of the demographic data entry delays. I don't  
13 have a lot to speak on it yet; it's still in the  
14 testing phase, but I definitely wanted to point  
15 it out to the group that we know manual data  
16 entry has been a problem for us, ongoing, and we  
17 recognize that a system is going to have to be in  
18 place to mitigate those delays that we continue  
19 to see.

20 So, on to the next slide. I'm assuming  
21 we're on slide 10 now for reporting out time-  
22 critical results. So, this slide you may

1 recognize. I think Josh from Colorado School of  
2 Public Health may have discussed a few states  
3 when we looked at reporting out time-critical  
4 results, and Arizona certainly has some important  
5 lessons that this slide illustrates. We knew  
6 that achieving these timeliness goals were --  
7 were directly tied to these resource limitations,  
8 and we were going to have to get very innovative  
9 and creative, living within the resource  
10 personnel limits that we had, for example, and  
11 taking this reporting out -- out of a person-  
12 dependent process, i.e. the demographic data  
13 entry team.

14           So, this slide highlights the impact of  
15 that entry and verification process on  
16 timeliness, and you can see, in this last  
17 quarter, from quarter 4 '17 to quarter 1 2018,  
18 that a significant increase to 70% of time-  
19 critical results reported out within 5 days of  
20 birth and 90% within 2 days of specimen receipt  
21 was realized just by making a change to the way  
22 the card is verified inhouse.

                          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           Next slide, please. Okay, now I want to  
2 talk a little bit about overall lessons learned.  
3 You know, we have to keep babies at the  
4 forefront. That's our overall goal. We have to  
5 talk to families. We have to think about long-  
6 term and short-term impacts. We have to involve  
7 subject matter experts, both right here in the  
8 building, where we're co-located, and other  
9 experts outside that would help us to really look  
10 at timeliness in a transparent way. We had to  
11 look for a lot of internal opportunities, and I  
12 hope that I've highlighted that to really  
13 demonstrate that we could be creative, we could  
14 be innovative, we could think of new ways to  
15 approach timeliness that were achievable that --  
16 that applied these smart methods to achieving  
17 some realistic goals.

18           We had to find some quick wins to keep  
19 motivated through the course of these 5 years,  
20 because some of them have been slower than  
21 others. Some have been fairly quick. The  
22 hemoglobin project was a fairly quick win for us.

1 But they're so important, because we were able to  
2 shave a day or two off with that one internal  
3 process improvement.

4           And then, finally, I really want to talk  
5 about utilizing partner resources: Genetic  
6 Alliance, Baby's First Test, NewSTEPS 360, the  
7 Colorado School of Public Health. We would not  
8 have come this far, in Arizona, had it not been  
9 for those partnerships. And -- and I think what  
10 I wanted to really say is that the newborn  
11 screening system depends on internal  
12 collaboration within the newborn screening  
13 program working at peak performance, being  
14 transparent about finding and fixing problems  
15 when they exist, and most importantly, as I  
16 mentioned, learning from other states and  
17 organizations established to improve newborn  
18 screening systems.

19           Next slide, please. And finally, what --  
20 you get what you inspect, not what you expect.  
21 This Committee has set the expectations for  
22 newborn screening public health programs to

1 achieve the best outcomes for baby -- for babies,  
2 and achieving these goals requires us to take a  
3 hard look at where we're at and set a standard  
4 for continuous quality improvement.

5           And next slide, please, and I think I'd  
6 like to just close in saying thank you to the  
7 Committee and thank you, Catharine, for  
8 coordinating the discussions, for keeping me on  
9 track and on time, and I believe we're holding  
10 questions 'til the end. So, thank you, again,  
11 for your time.

12           DR. JOSEPH A. BOCCHINI, JR.: Sondi,  
13 thank you for another great presentation and,  
14 again, another great state-based success story.  
15 Thank you.

16           Next, Stan Berberich is up next. Stan,  
17 your slides are up, and you're ready to go.

18           DR. STANTON L. BERBERICH: Great, thank  
19 you, Dr. Bocchini, and I want to thank the  
20 Committee for giving me the opportunity to  
21 present our Iowa perspective on timeliness in  
22 newborn screening. The Iowa perspective is based

1 on some very simple concepts.

2           So, first slide, please. The facts:  
3 Babies are born every day, and any baby can be  
4 born on any day with a disorder not recognized at  
5 birth. Some of these disorders will be time --  
6 time critical. That is a condition that puts the  
7 baby at risk of a catastrophic event, which can  
8 result in sudden disability and even death. As  
9 soon as a baby is born and separated from its  
10 mother, these time-critical conditions put the  
11 baby at risk for one of these events. These  
12 facts also lead to certain realities.

13           Next slide, please. Babies are born  
14 every day, and on any given day, a baby may be  
15 born with a time-critical condition. Therefore,  
16 unless appropriate structures and processes are  
17 in place to treat every day the same, disparities  
18 will result based on the day of the week a baby  
19 happens to be born on. The day of the week a  
20 child is born on should not determine whether  
21 they will benefit from newborn screening or not.

22           Next slide, please. It is these simple

1 facts and understood realities that led to the  
2 Iowa response. Since babies are born every day,  
3 these functions take place every day in Iowa.  
4 Specimens are collected every day. Every day,  
5 specimens are picked up across Iowa and delivered  
6 to the newborn screening laboratory that same  
7 day. Specimens are received by the laboratory  
8 and tested every day, which also includes the  
9 data entry. Results are reported every day to  
10 short-term follow-up staff, and every day, short-  
11 term follow-up staff contact the health care  
12 provider with recommendations to enable  
13 appropriate interventions to minimize harm.

14           Next slide, please. So, how are these  
15 functions performed? Our same-day courier  
16 provides service 7 days a week, 365 days a year,  
17 including holidays. Specimens are picked up  
18 across Iowa every day. Specimens are delivered  
19 to the newborn screening laboratory in the  
20 evening, around 9:30 p.m., that same day they are  
21 picked up. The newborn screening laboratory is  
22 operational 20 days -- 20 hours a day, 360 days a

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 year, including holidays. Our night shift begin  
2 testing the same day the specimens are delivered  
3 and continue through the night. Our day shift  
4 finishes the testing, and any abnormal results  
5 are communicated that day to short-term follow-up  
6 staff. The short-term follow-up staff are  
7 scheduled so that recommendations for abnormal  
8 results on time-critical conditions can be  
9 communicated to the baby's health care provider  
10 every day.

11           Next slide. But we've found the "how" is  
12 not enough. We also need to communicate the  
13 "why" and have it understood by all the partners  
14 in the newborn screening system, because unless  
15 all the participants in the newborn screening  
16 system know about the available resources and  
17 understand why their role is critical to  
18 protecting newborns, the full benefit of newborn  
19 screening will not be realized. It is not enough  
20 to tell them what to do. They must understand  
21 why what they do is so critical to the outcome.

22           Next slide. So, the result is, each baby

1 receives the same opportunity for benefit,  
2 regardless of the day of the week they happen to  
3 be born on.

4           Next slide. This chart is simply to show  
5 the distribution of birth by day of the week in  
6 Iowa. You can see that the percentage of -- of  
7 births on Saturday and Sunday are less than on  
8 weekdays. There's about 50% more births on a  
9 weekday than on a Saturday or Sunday. Until I  
10 looked at the data, I assumed births were random  
11 and every day should have the same number of  
12 births. I had not taken into account, scheduled  
13 deliveries would favor the normal workdays. It  
14 may be difficult to see, but the chart has two  
15 lines, one representing pre-365-day structures in  
16 red and one representing post-365-day structures  
17 in blue. As you can see, there's basically no  
18 difference in the distribution of births before  
19 or after we implemented our 365-day-a-year  
20 structures.

21           Next slide. This chart looks at the  
22 median number of hours between birth and when an

1 actionable result is available. This is the  
2 metric that is most important to the baby. This  
3 is the at-risk time and compares pre- and post-  
4 365-day structures by day of the week. A couple  
5 of things stand out.

6           The red line is the pre-365-day  
7 structures. There is a significant difference in  
8 the time from birth to results based on the day  
9 of the week a baby is born. You will also notice  
10 that the days with the greatest delays are the  
11 days with the most births. Remember that  
12 weekdays had about 50% more births than the  
13 weekend days. Those days with the fewest births  
14 had the shortest times, and those days with the  
15 most births had the longest delays.

16           The blue line is the post-365-day  
17 structures. You will see that regardless of what  
18 day of the week a baby is born, each baby  
19 receives the same timeliness results. So, what  
20 does this mean in terms of risk?

21           Next slide, please. So, this chart is an  
22 attempt to illustrate the impact on risk

1 reduction to the babies we screen. The hours  
2 between birth and when an actionable result is  
3 available can be understood to be exposure risk.  
4 So, this chart sums up the total exposure risk by  
5 day of the week for our 40,000 births, comparing  
6 pre- and post-365-day structures. For each day,  
7 I summed up the total hours from birth to  
8 actionable result for all babies born on each day  
9 of the week to come up with a total exposed risk  
10 in hours.

11           So, the area under the curve is the  
12 overall population risk. It is during this time  
13 when an event for a time-critical condition could  
14 occur. You can see that there is a significant  
15 reduction in exposed risk after the 365-day  
16 structures were implemented. However, you will  
17 also notice, if you look at the blue line, there  
18 still appears to be a difference by day of the  
19 week, even after the 365-day structures were put  
20 in place.

21           So, next slide -- slide, please. But  
22 notice how the risk curve post-365-day structures

1 mirrors the curve of percent of births by day of  
2 the week. The difference in exposed risk post-  
3 365-day structures is only due to the difference  
4 in the number of births by that day of the week,  
5 not due to differences in timeliness. So, how  
6 does this impact the ability to meet the  
7 timeliness recommendations made by this  
8 Committee?

9           Next slide. These charts look at the  
10 primary goals set by this Committee. Time-  
11 critical conditions should be reported out within  
12 5 days of life. These two charts display the  
13 number of specimens by the hours between birth  
14 and actionable result. The specimens are also  
15 color-coded based on the day of the week the baby  
16 was born.

17           The top chart presents the data pre-365-  
18 day structures. You will notice a broad  
19 distribution, with several peaks at 24-hour  
20 intervals. The recommendation that all time-  
21 critical results should be reported within 5 days  
22 of life is indicated on the chart.

1           The bottom chart presents the data post-  
2 365-day-a-year structures, and you will notice,  
3 everything has compressed down to fairly tight  
4 distribution, and each day overlaps the others.  
5 The majority of the results are available within  
6 the third day of life, and more than 95% are  
7 available before the baby is 4 days old.

8           Next slide. This also shows the --  
9 another major recommendation by the Committee  
10 that all results -- all results -- should be  
11 available within 7 days of life. These two  
12 charts also display the number of specimens by  
13 the hours between birth and actionable results.  
14 The specimens are also color coded based on the  
15 day of the week the baby was born.

16           The top chart presents the data pre-365-  
17 day structures, and you will notice the broad  
18 distribution, with several peaks at 24-hour  
19 intervals. The recommendation that all results  
20 should be reported within 7 days of life is  
21 indicated on the chart.

22           And the bottom chart presents the data

1 post-365-day structures. You will notice,  
2 everything has compressed down to fairly tight  
3 distribution. The majority of the results are  
4 available within the fifth day of life, and more  
5 than 95% are available before the baby is 6 days  
6 old. Now, how does this performance compare with  
7 other newborn screening programs participating in  
8 NewSTEPS 360?

9           Next slide. This is a run chart taken  
10 from the NewSTEPS 360 repository and represents  
11 the 4 quarters of last year, 2017. What is shown  
12 in this chart is the percentage of specimens  
13 where results for time-critical conditions were  
14 reported out within 5 days after birth, which is  
15 one of the Committee's recommendations. This  
16 represents the efforts of those programs involved  
17 in NewSTEPS 360 to improve -- to improve  
18 timeliness over the last 3- to 4 years.

19           Although there has been significant and,  
20 in some cases, remarkable improvement in  
21 timeliness among states participating in NewSTEPS  
22 360, you can see, only 2 states have been able to

1 consistently meet the 95% recommendation for time  
2 -- time-critical conditions. There are two lines  
3 on the chart that run along the top between 95-  
4 and 100%. Iowa is one of those lines. I've also  
5 obtained permission to reveal the identity of the  
6 other state, and it is North Dakota. Iowa  
7 provides the laboratory and short-term follow-up  
8 support for North Dakota, and so they benefit  
9 from the 365-day structures. So, this leads me  
10 to suggest that the 365-day-per-year structures  
11 may be necessary to reliably meet the timeliness  
12 recommendations made by the Committee.

13           Next slide, please. I just want to  
14 acknowledge that these accomplishments are  
15 certainly due to some very dedicated, passionate  
16 people in newborn screening in Iowa. Kimberly  
17 Piper is Executive Director of the Center for  
18 Congenital and Inherited Disorders at the Iowa  
19 Department of Public Health that -- that  
20 administrates this program. Ron Hardy, who is  
21 one of the most dedicated people to newborn  
22 screening that I know, who -- who owns and

1 operates the -- the Central Delivery Service of  
2 Iowa which provides the same-day courier service  
3 for our program, and Mike Ramirez, who is  
4 supervisor of the newborn screening laboratory,  
5 and his great staff are dedicated to make this  
6 happen, and, of course, Carol Johnson, Supervisor  
7 of Short-Term Follow-Up Staff at the University  
8 of -- University of Iowa Hospitals and Clinics,  
9 and her staff that ensure that this information  
10 gets passed quickly on to the babies' health care  
11 providers, and, of course, the -- the -- the  
12 dedicated work of those people out in the  
13 hospitals and the physicians that cares for these  
14 kids -- I want just to acknowledge, all of these  
15 are the people who make this -- this system work.

16           Next slide, please. Just want to thank,  
17 again, the Committee for their attention and  
18 allowing me to present this information.

19           DR. JOSEPH A. BOCCHINI, JR.: Stan, thank  
20 you, again, for a great presentation and another  
21 example of a very effective intervention --  
22 series of interventions that -- that changed

1 things dramatically.

2           So, these three presentations are open  
3 for question, comment, discussion, and we have  
4 all three of the lines open of our presenters.  
5 So, from the Committee first.

6           Cindy.

7           DR. CYNTHIA M. POWELL: Cynthia Powell,  
8 member of the Committee. Thank you, all, for  
9 your presentations, and congratulations on all  
10 the success that you've had. I had a couple of  
11 questions.

12           One is, was there additional cost  
13 involved in, you know, achieving this and, if so,  
14 who, you know, is -- has picked up that cost?

15           And then, secondly, I know that -- at  
16 least, speaking from some experience in my own  
17 state -- that the birthing centers can sometimes  
18 be a challenge to get them to improve, and  
19 sometimes they're the outliers, despite a small  
20 percentage of births, relatively, but I'm  
21 wondering if any of you, you know, had dealings  
22 along those lines, and, if so, how you worked

1 with the birthing centers.

2 DR. STANTON L. BERBERICH: This is Stan.  
3 I'd -- I'd be willing to offer a little bit of  
4 our experience into this. We -- we also have  
5 found that as -- as challenging and that there  
6 were outliers. I think what we discovered in --  
7 in our efforts, was, is that if we could, in  
8 fact, share with those at the hospitals what  
9 their role is and how it impacts the overall  
10 outcomes of -- of -- of these babies, and so they  
11 understand why what we're asking them to do is  
12 critical to these outcomes -- We -- we've seen  
13 real -- real swings from those that, you know, we  
14 kept telling them what we want them to do, and  
15 they just seem not to do it, to actually, once  
16 they understood what their impact was, we ended  
17 up having a number of them pushing us to improve  
18 our systems.

19 So, I -- I -- I think, at least in that  
20 realm, where you -- it -- it takes additional  
21 time, obviously, to interact with the hospitals  
22 in a way to communicate an understanding of why

1 we need them to do what they do, but it has made,  
2 really, all the difference in -- in their  
3 performance.

4 MS. TONYA MCCALLISTER: Tonya from  
5 Oklahoma.

6 DR. JOSEPH A. BOCCHINI, JR.: Tonya or  
7 Sondi?

8 MS. TONYA MCCALLISTER: Tonya.

9 MS. SONDI APONTE: Hi, this is Sondi.  
10 I'll speak to your question, Cynthia. Thank you,  
11 again, for the time.

12 So, two issues, one about cost. For our  
13 program to initiate this transit-time project, we  
14 did have to find a new state vendor that -- so,  
15 we took it out of that, you know, UPS/FedEx realm  
16 that was next-day by 10:30 and found a local  
17 courier who could really provide a customized  
18 service for us. I think it costs around \$150,000  
19 a year for us to get that service, but we now  
20 have 5 drop-offs throughout the day at the lab,  
21 which really improves and streamlines processes.  
22 And then, we took what -- what we were using, a

1 FedEx account, and transferred that over to  
2 birthing centers, midwives, pediatricians, so  
3 that we still had a better mechanism than the one  
4 they were often using, which was the mail, to at  
5 least get samples for those, you know, less than  
6 1% of births and pediatrician second-screen  
7 collections to us 5 days a week, next business  
8 day.

9           But for us, it was a good investment  
10 because for the courier, not only do we have  
11 multiple deliveries every day, we have 6-day-a-  
12 week courier, and about 80% of all the hospitals  
13 are picked up and delivered same-day now. That  
14 was not possible under the current contracts --  
15 or the prior contracts we had. So, for us, it  
16 was a good investment and has really streamlined  
17 processes.

18           Your second question, about birthing  
19 centers -- yes, it has been a challenge for us.  
20 About 99% of our babies are born in a hospital,  
21 but for those 1% that are born to a licensed  
22 midwife attending, we do have challenges with

1 that, from everything from cost to timeliness to  
2 transit. And so, we've been doing some targeted  
3 interventions over the last 3- to 5 years and are  
4 making some improvements, but we still only have  
5 about 50% documented blood spot hearing or CCHD  
6 screening results for kids that are born to a  
7 licensed midwife.

8 MS. TONYA MCCALLISTER: This is Tonya.  
9 We also have -- have incurred some additional  
10 cost for expansion of our courier service. We  
11 tried to get as many of our more outlying  
12 birthing hospitals as possible in the 7-day-a-  
13 week courier service route. We still have some,  
14 about 10, that are only receiving 5-day-a-week  
15 courier service, and they represent a pretty  
16 small percentage of births. And then, for the  
17 hospital birthing centers, we still struggle, and  
18 we still have communication trying to improve  
19 that process, and the third year of our NewSTEPS  
20 360 funding is focused on our midwives.

21 DR. JOSEPH A. BOCCHINI, JR.: Thank you.

22 Joan?

1           MS. JOAN SCOTT: Joan Scott, HRSA. So,  
2 given the comment about how helpful it is that  
3 everybody in the process understands the  
4 importance of -- of -- of having the timely  
5 processes, how often have your -- do you -- have  
6 you found that you need to, like, retouch or  
7 reeducate or redo the message given staff, over  
8 time -- or staff change in hospital systems, et  
9 cetera?

10           DR. STANTON L. BERBERICH: This is Stan,  
11 again, and -- and I guess my response to that is,  
12 we -- we've really had to change our -- our --  
13 our own understanding of what it was that we  
14 needed to accomplish. I -- I -- I think, years  
15 ago, when things were simpler and less complex,  
16 and we had a wider window of time in which to  
17 carry out all of these things and get them  
18 accomplished, our -- our interaction at -- at the  
19 hospitals that were collecting specimens and --  
20 and -- and transporting and so forth just needed  
21 to be reminded occasionally of something.

22           What we've found now is -- is that that

1 interaction has to be ongoing and it -- it -- it  
2 -- which also includes feedback to the hospitals,  
3 so -- so -- so -- so they can assess how -- how -  
4 - how they're doing and to assess that in the  
5 context of how other hospitals within our state  
6 are doing, as well. So, we actually have an  
7 individual who one of their primary  
8 responsibilities is to maintain monthly contact  
9 with -- with all the birthing facilities. And --  
10 and -- and -- and so, that -- that education and  
11 involvement and the awareness of the "why" is --  
12 is actually a constant thing now. It's not just  
13 once every other year, we go out and do  
14 education.

15 MS. SONDI APONTE: This is SonDi. Thanks  
16 for your question, Joan, about  
17 training/retraining processes, collaboration.  
18 You're right, and I think I -- I touched on that  
19 a little bit in talking about transit time. We  
20 had hit that 95% goal within 3 days, and we  
21 haven't been off of it since. However, things  
22 have changed. Now we're working on getting them

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 here in 24 hours, 48 hours. Seventy-two hours no  
2 longer is good enough. And so, we keep  
3 stretching those goals and moving them, refining  
4 the processes.

5 But for us, hospitals had turned over  
6 what they thought were best practices were not --  
7 were not always being followed. So, we've done  
8 many, sort of, small CQI projects. We've gone to  
9 hospitals and done some demo walks, where we  
10 actually walk through the process and see -- You  
11 know, inevitably, you'll find samples are being  
12 left on a drying rack for a day or two here or  
13 there, or the send-out person for the -- that  
14 handles the envelopes and the blood spot cards  
15 doesn't work on Saturday, so the driver's there,  
16 but there's no specimens to pick up.

17 So, we think it's an ongoing, constant  
18 process for touching those hospitals routinely.  
19 We tried the quarterly e-newsletters, et cetera,  
20 but oftentimes, it's just monitoring the data  
21 much more actively, reaching out quickly if we  
22 see a pattern or a problem, and then trying to

1 resolve, quickly, before we get down that road  
2 of, you know, really having a -- a problem.

3 MS. TONYA MCCALLISTER: I would basically  
4 mimic that. It seems like the reports that we  
5 send out monthly generate a lot more  
6 communication with, at least, a good portion of  
7 our hospitals. They have questions about why  
8 they performed the way they did on their report,  
9 and they want to know more detailed information,  
10 down to the number of specimens that did not meet  
11 their transit-time compliance, for example. So,  
12 we've developed some easy ways to run some  
13 programs and give them that information, because  
14 they are now interested in doing their own  
15 follow-up with that to see what the hold-up was.

16 So, we have really good communication  
17 with some. Some, we have to reach out to them  
18 more instead of them reaching out to us, but it  
19 has become a constant communication. And it --  
20 it does take up a lot of time, but it seems to be  
21 helping the process.

22 DR. SCOTT M. SHONE: Scott Shone. Stan,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 you talked about pre- and post-365. When did --  
2 when did Iowa begin screening -- begin 365-day-a-  
3 year operations? How long ago was that?

4 DR. STANTON L. BERBERICH: We -- we took  
5 this on back when we were adding tandem mass spec  
6 testing to -- to our -- our program. We  
7 recognized, at that time, that there was a number  
8 of conditions that were going to be included in  
9 this that were time-critical that changed the  
10 equation that we had been operating on.

11 So, back in 2006, we actually approached  
12 our advisory committee and presented the case  
13 that the structures that we were currently using  
14 and had been successful in -- in accomplishing  
15 our purposes in the past were -- were not  
16 adequate for addressing if we're going to add  
17 these time -- time-critical conditions to our --  
18 our panel. And so, we presented to them a  
19 proposal that included a same-day courier, as  
20 well as an -- an additional staff at night, and  
21 our cost associated with that was -- was similar  
22 to what the cost would be for -- for adding a new

1 condition, like the tandem mass spec conditions.

2           And -- and so, that was back in 2006 that  
3 we put those structures in place. With 360, one  
4 of the things that we discovered is that although  
5 the structures were in place, they weren't being  
6 used as effectively as they could be used. So,  
7 we -- we've actually seen some additional  
8 improvement in -- in the whole process over the  
9 course of the last 3- to 4 years.

10           DR. SCOTT M. SHONE: And just one -- one  
11 follow-up: How long did it take for you to  
12 transition from, I think -- I -- I'm assuming it  
13 was a 5-day-a-week operation when you made that -  
14 - that proposal to when you fully went live  
15 running every day of the year? What -- what --  
16 just trying to think -- you know, Dr. Bocchini  
17 started the meeting today talking about looking  
18 at implementation of disorders, but I'm also  
19 thinking about implementation of timeliness, and  
20 we've heard a lot about these initiatives. And  
21 so, if -- if -- if the 365 is the -- the farthest  
22 -- is -- is the -- I don't know what to call it,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 but is -- is -- there you go, whatever Kellie  
2 just mimed to me. How long would -- or what  
3 would it take to get there, I guess, is my  
4 question. What did it take you to get there?

5 DR. STANTON L. BERBERICH: Yeah. Well,  
6 it -- I mean, this -- this is a bit interesting  
7 because the proposal was actually presented in  
8 2005, and it was approved. What -- what happened  
9 very shortly after that was, Katrina hit  
10 Louisiana, and then we took on the back-up  
11 support for the Louisiana laboratory. So, we  
12 were quite busy with that, and what we thought  
13 was going to be maybe a few weeks to a couple of  
14 months ended up being a 3-year thing. But it was  
15 in the midst of that that we brought on the  
16 courier and -- and -- and the night shift.

17 So, although we had the approval to do  
18 that, it -- it was delayed a bit until we got a  
19 handle on -- on Louisiana. But we were able to  
20 hire a staff for the night shift, so we weren't  
21 disrupting or impacting the -- the staff we  
22 already had on -- on the day shift. And the

1 courier, once we found an individual who was,  
2 actually, very eager to put the structures in  
3 place to -- to do that -- I think it was within  
4 just a -- a -- a few months, once we had an --  
5 you know, that that's what we wanted to do that  
6 we were actually able to bring on the same-day  
7 courier, and -- and the night shift, bringing it  
8 on -- I don't recall, exactly, the timetable of  
9 that, but that -- that took at -- at least a -- a  
10 few months to recruit and to get people hired and  
11 get them trained before we could actually have  
12 them work independently at night.

13           And the funding for this -- and I didn't  
14 mention that, but, again, the -- the -- the  
15 funding for this, we -- we -- we approached our  
16 Advisory Committee with it. It was approved --  
17 It -- it was shared with the health department,  
18 and they strongly supported this, as well, and --  
19 and allowed us to increase our -- our fee, I  
20 believe it was, by \$15 to -- to then support  
21 these additional structures for 365-day newborn  
22 screening.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 DR. SUSAN A. BERRY: This is Sue Berry.  
2 Thank you, all, for your presentations, and I  
3 guess one of the unifying features I found in the  
4 presentation is that there was, essentially, a  
5 champion educator, sort of, person whose  
6 responsibility it was to say, "This is a critical  
7 thing that we're going to take care of, and I'm  
8 going to visit with the hospitals, and I'm going  
9 to help them with quality assurance." I -- I  
10 think to implement something like this, you need  
11 to have somebody whose job it is to make it  
12 happen. Is that a fair conclusion from each of  
13 you?

14 DR. STANTON L. BERBERICH: Well, this is  
15 Stan, and -- and -- and I would certainly say  
16 yes, that -- that -- that's true on our part. It  
17 -- it -- it requires an individual to -- to -- to  
18 -- to champion it, to put forth the case and  
19 basically to allow other people to recognize and  
20 understand the importance of it and that it needs  
21 support. And -- and at -- at least we've found  
22 that once people understood the necessity for it,

1 we began to -- to identify where those funds  
2 would come from. If people aren't convinced of  
3 it, you -- you -- you can use the funding as a  
4 reason why you can't do it, whereas if you're  
5 convinced it's something that needs to be done,  
6 you're challenged, then, to find the funds to  
7 make it happen.

8 DR. SUSAN A. BERRY: But, Stan, you had  
9 to have somebody go to each of the hospitals and  
10 tell them why it was important is what I'm --  
11 You need an educator. Is that something that you  
12 had available to you when you did this? I meant  
13 staff.

14 DR. STANTON L. BERBERICH: I -- I --

15 DR. SUSAN A. BERRY: Staff time to do it.

16 DR. STANTON L. BERBERICH: Yes, I mean,  
17 it -- it -- it -- it -- it -- it took involvement  
18 -- I -- I -- I think the -- that the hospitals  
19 were -- were onboard because we picked up the  
20 cost of the courier. So, from their perspective,  
21 I think that was an -- a benefit to them.

22 MS. SONDI APONTE: Hi, Dr. Berry, it's

1 SonDi from Arizona. It's good to talk to you  
2 again, and -- and I think you're right. You --  
3 you came for our site visit here in Arizona. And  
4 it not only takes decision-making authority  
5 support, but then it does take somebody who's  
6 going to see it through and the multiple  
7 trainings and the multiple site visits and the  
8 multiple phone calls and the data analysis and  
9 interpretation. It is ongoing. You -- I took it  
10 on as a mission, but it was because I had  
11 leadership support to do so, and literally, I  
12 delegated off 30% of my other work and just  
13 focused my mission on improving transit times.

14           So, I think, to your point, it takes  
15 leadership approval and involvement at the  
16 resource, time, and -- and -- and financial  
17 level, and it takes a champion or two or three, I  
18 think, both from the program and then finding  
19 your champions at each one of the hospitals that  
20 will see this through from the very end. And --  
21 and we really found that to be really important  
22 at the hospital, finding a champion who became

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that timeliness subject matter expert, so that a  
2 nurse or a phlebotomist or a send-out person  
3 could go to that person and say, "What's it going  
4 to take to get this done? Are we doing this  
5 right?" So, I think, from Arizona perspective,  
6 that's been key.

7 FEMALE SPEAKER: I agree, it does take a  
8 champion, or it at least takes someone who's  
9 willing to take on those extra tasks, but I think  
10 it's more than that, too, because I -- I felt  
11 like this is a great thing, and it was a  
12 worthwhile project, and we definitely should do  
13 it. And I participated and went out to all the  
14 hospitals as part of our education, but without  
15 having the staff behind me who also thought it  
16 was important and who was also willing to take on  
17 those delegated tasks, we still would not have  
18 been able to do it. So, it really took a lot of  
19 people to make it work.

20 DR. MEI WANG BAKER: Mei Baker, member of  
21 the Committee. This question is for Stan, and  
22 thank you for those wonderful presentations, all

1 of them.

2           The specific question is, looked at your  
3 graph. The action bullet, screening positive  
4 case indeed available earlier. My question is  
5 more, how impact to the physicians? And because  
6 given the structure change, I assume your summary  
7 result, you were counting in, like -- in the  
8 morning of the data because in general, newborn  
9 screen result more likely have result afternoon.  
10 And I often, especially medical doctors, "If I  
11 get a call for newborn screening --" I -- I just  
12 wonder, you have some additional benefit, and  
13 have you look into that or you had some feedback  
14 from clinical parts in terms for this structure?

15           DR. STANTON L. BERBERICH: I -- I -- I'm  
16 -- I'm not -- I didn't catch everything, so I'm  
17 not really exactly sure what you're asking. I --  
18 I think you were asking about what impact it has  
19 if -- if we're, like, making calls on the weekend  
20 or off hours and that sort of thing.

21           And one of the things I know that our  
22 short-term follow-up staff do is that it's the

1 time-critical conditions that we are primarily  
2 focused on. So, for some of the conditions that  
3 are not time -- time critical, those -- those  
4 contacts may not take place until the -- the next  
5 business day. But for those that are time  
6 critical, those contacts will be made as soon as  
7 they get those results, whether it's on a  
8 Saturday, Sunday, holiday, or what. Did that  
9 answer your question?

10 Dr. MEI WANG BAKER: Yes. I also think,  
11 because you have this two shift, certain critical  
12 result may be even come to the better time of day  
13 -- for example, in the morning. Is that true?

14 DR. STANTON L. BERBERICH: Yes, so they -  
15 - you know, we -- we try to get those results out  
16 as early as -- as we can during the day, and I --  
17 I -- I think many of the -- of -- of the tandem  
18 mass spec conditions can actually -- that  
19 information can go out, you know, by -- by 8:00,  
20 9:00, 10:00 in the morning, which provides the  
21 short-term follow-up staff a real significant  
22 opportunity to actually get that baby seen that

1 day if -- if necessary.

2 DR. JOSEPH A. BOCCHINI, JR.: So, I have  
3 Dieter and then Joan.

4 DR. DIETRICH MATERN: Dieter Matern. So,  
5 my blood pressure has been ebbing and flowing and  
6 whatever.

7 (Laughter)

8 DR. DIETRICH MATERN: I -- first of all,  
9 I want to thank those states for presenting and  
10 having made that progress. I also like that Stan  
11 told us what the facts are, which is, babies are  
12 born every day, and I appreciate what Sue  
13 recognizes, that it apparently needs a champion,  
14 locally, to get things moving.

15 But where my blood pressure goes up is,  
16 when -- when you are working in newborn  
17 screening, your mission is -- are these babies,  
18 and you should -- everyone should be a champion  
19 for them. I don't think we need this -- it  
20 shouldn't need this Committee to tell you why  
21 there's timeliness importance. Everyone in  
22 newborn screening should understand that. And if

1 you don't have a mission statement for your own  
2 screening laboratory that incorporates that as  
3 the mission statement for this Committee does, I  
4 suggest all of those programs should look back at  
5 what they have and update it, and no one in a  
6 screening laboratory should be afraid to speak up  
7 for babies in front of their supervisors and  
8 superiors, governors, commissioners, whatever.

9 DR. JOSEPH A. BOCCHINI, JR.: Thank you.

10 Joan, we're going to give you the last --

11 MS. JOAN SCOTT: Oh, the last --

12 DR. JOSEPH A. BOCCHINI, JR.: --

13 question/comment.

14 MS. JOAN SCOTT: Well, I -- this is a  
15 follow-up to what -- Joan Scott, HRSA -- what Sue  
16 was asking, and not just having a champion to get  
17 it rolling, necessarily -- I agree with you,  
18 Dieter, this should automatically be part of it -  
19 - but ongoing resources. So, how much FTE time  
20 does or should a program need to have someone  
21 who's assuring that this is -- that this is  
22 occurring on a regular -- Just like a laboratory

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 has a QC/QA person looking at their laboratory  
2 procedures ongoing, what kind of FT is needed --  
3 support is needed for ongoing to continue to  
4 assess the processes? That's a question for the  
5 states that have -- that have invested so much.  
6 Do you have a fulltime FTE?

7 MS. TONYA MCCALLISTER: This is Tonya in  
8 Oklahoma. We do not have a dedicated person as  
9 of yet. I'm not sure if that will ever be  
10 something that -- that we're able to accomplish,  
11 but we have two to three people who spend --  
12 probably encompasses at least a week out of a  
13 month on follow-up and providing reports and  
14 making phone calls, and we could certainly do  
15 more if we had more time to do that end. The sad  
16 part of that is, we don't have a fulltime person,  
17 so we're unable to provide as much follow-up as  
18 we would -- we would prefer.

19 DR. STANTON L. BERBERICH: Yeah, and --  
20 and this is Stan at -- at Iowa, and we do have an  
21 individual who's identified for this specific --  
22 this specific purpose, to address those -- those

1 issues of timeliness in Iowa, and about a -- I --  
2 I -- I would estimate about a third of her time  
3 is -- is -- is devoted to those activities, but  
4 there's other folks, both in the laboratory and  
5 short-term follow-up and at the state who also  
6 participate in these activities of -- of  
7 educating and equipping. I don't have a real  
8 good way of estimating what that translates into  
9 full FTEs, but -- but we do have that one  
10 individual, Ashley Comer, who's -- who's  
11 identified as the person who's taking the lead on  
12 improvements within our newborn screening  
13 program.

14 MS. SONDI APONTE: Hi, Joan, this is  
15 Sondi, just as a final on FTE support. Newborn  
16 screening timeliness and, specifically, transit  
17 time is definitely a team approach, and that's  
18 how we've addressed it in Arizona. I think, in  
19 my slides, I talked about the Transit Time  
20 Taskforce, and even though the taskforce has sort  
21 of gone away, myself as an educator, the office  
22 chief, the lab director -- everyone up and down

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 the chain is maintaining an interest, sort of  
2 keeping a very high interest and close watch on  
3 timeliness and, specifically, transit time, and I  
4 think it does take that. I'm -- I'm one FTE, and  
5 it could take a fulltime person just for  
6 timeliness.

7           So, I think that does have to be  
8 considered. If you want to maintain and continue  
9 to stretch goals and start talking about samples  
10 being received within 24 hours, realistically, it  
11 does require, you know, a fulltime response just  
12 to maintain the contracts, do the training, you  
13 know, monitor outcomes.

14           So, I think that's a -- a really good  
15 consideration, Joan, is that it -- it really does  
16 require -- I mean, we deliver 85,000 births a  
17 year. So, I think it's to scale, but for us, I  
18 would say, a fulltime equivalent person to manage  
19 timeliness is -- is reasonable.

20           DR. JOSEPH A. BOCCHINI, JR.: All right.  
21 Again, I want to thank the three presenters and  
22 congratulate you on the successes of the efforts

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that you've made to improve the timeliness part  
2 of your newborn screening program. And I'll  
3 close by echoing Dieter's comment, which, I  
4 think, is the -- is the bottom line for  
5 everything we do, that the goals that we set as a  
6 Committee were really based on providing the best  
7 outcome for babies, and so efforts that you're  
8 all making certainly go to those goals and -- and  
9 represent how you approach public health efforts.  
10 So, thank you, all.

11 MS. SONDI APONTE: Thank you, Dr.  
12 Bocchini and group. This is Sondi.

13 DR. JOSEPH A. BOCCHINI, JR.: All right,  
14 you're welcome. Annamarie?

15 MS. ANNAMARIE SAARINEN: Thank you, Dr.  
16 Bocchini. Thanks to the presenters even though  
17 we're moving on. I was wondering if we could  
18 remind the Committee if there are explicit goals  
19 or if there is a specific document coming out of  
20 this Committee that provides some level of  
21 standard or uniformity? And I think the  
22 remarkable achievements of the three states in

1 making their progress -- I -- I -- I can't  
2 remember. From what I've seen in the past, if  
3 those are all meeting those, sort of, benchmarks  
4 that we looked at, nor do I remember if those  
5 benchmarks were really, like, these are  
6 officially Committee-endorsed, you know,  
7 standards that we're looking for across the  
8 states.

9           And -- and one last comment on -- on  
10 funding, because the advocacy piece is -- is  
11 really important, having that champion, but  
12 champions can do little in the face of no  
13 resources. So, this becomes, in my mind, very  
14 much a -- a public-health issue and one that if  
15 not having some sort of parameters around it and  
16 some sort of guidance that state programs can  
17 look to and then tell their funding bodies, be  
18 that legislative or otherwise, that "This is the  
19 standard that we're expected to meet so that  
20 babies in our state receive equitable care at  
21 birth as they do in the state next to us."

22           DR. JOSEPH A. BOCCHINI, JR.: So, theses

1 are formal recommendations from the Committee,  
2 and they are available on our website. And in  
3 addition to establishing these, we did ask that  
4 all states -- that 95% of the goals are achieved  
5 by all states and that -- that the results of  
6 their activities be transparent and published so  
7 that people knew that their children were getting  
8 the -- the value that was needed and met the  
9 standards, so.

10 All right, now we're getting ready to go  
11 into the Workgroup meetings, but before we go, we  
12 have asked each Workgroup to spend a little bit  
13 of -- or a portion of their Workgroup time  
14 discussing the public health system impact  
15 surveys that we now have available and are being  
16 used in the evaluation of -- of the -- of a  
17 condition to -- that is being considered for  
18 addition to the RUSP. I just want to go through  
19 a couple of the -- of the slides just to bring  
20 everybody up to speed on this.

21 Remember that we were asked, in the last  
22 iteration of the Newborn Screening Saves Lives

1 Act, to include an assessment of the impact of  
2 public -- on public health and, therefore, we  
3 needed to integrate an evaluation into the  
4 evidence-based review of all conditions nominated  
5 for the RUSP. And the goal of this, the purpose  
6 of this, was to evaluate the states' ability to  
7 implement the screening of a new condition and  
8 the cost implications of implementing population-  
9 based screening for that particular condition,  
10 and that would include resources needed, the  
11 impact cost and -- and so on that -- that were  
12 involved for -- with that condition.

13           So, what we decided to do was put  
14 together, through the Evidence Review Workgroup,  
15 a survey that each state would utilize to help us  
16 in -- in looking at some key factors, which are  
17 listed on this slide: the organization of the  
18 newborn screening program, what sort of  
19 authorization is needed to bring on a new  
20 condition, what screening methods would be used  
21 and what that meant for the laboratory to  
22 introduce this condition, what short-term and

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 long-term follow-up implications were related to  
2 this condition, anticipated resources that might  
3 be needed, cost, and what the projected timeline  
4 was for adoption. And based on the results of  
5 the survey, we could then determine the resources  
6 needed, what impacts, costs, opportunity costs,  
7 that would exist and -- and -- and affect the  
8 states' ability to implement that screening, and  
9 then, also, to estimate, through that, how long  
10 it might take for a state newborn screening  
11 program to add that condition to their screening  
12 panel.

13           And since we've included this, we've  
14 looked at four conditions. So, we now have  
15 experience with public health impact assessments  
16 for four specific conditions that have been  
17 reviewed. Three have been implemented; one is  
18 before the -- the -- the Secretary in terms of a  
19 -- of a decision, but we have impact assessments  
20 on all four of those.

21           We have two survey tools that are used.  
22 The first is an initial survey that's

1 administered to all state and territory newborn  
2 screening programs, and then there's a follow-up  
3 survey, which is administered to a few states and  
4 territories, that is used to supplement the  
5 information gathered through the initial survey.  
6 Hit it one more time. Yeah, it'll come up.

7           So, these survey tools require approval  
8 by OMB, and the current approval that we have for  
9 these two surveys expires this year. So, a  
10 continuation application needs to be submitted  
11 this year to OMB. So, this is a really good  
12 opportunity for us to evaluate the surveys that  
13 we're using and to understand whether there are  
14 things that we have not included in the survey  
15 that need to be added, things that are being  
16 asked on the survey that are giving us  
17 information that's not, you know, very effective  
18 in helping us make a decision.

19           So, what I'm asking each Workgroup to do  
20 is to spend some time this afternoon reviewing  
21 the surveys and then considering what sort of  
22 feedback you would like to give to the Committee

1 tomorrow, when you give your Workgroup reports,  
2 that might influence what sort of major changes  
3 or other things that we might consider to add to  
4 the survey so we could get a better idea of -- of  
5 -- of -- of what we're looking for to help us  
6 make a better-informed decision. So, the  
7 guidance is to look at, sort of, high-level  
8 revisions for the next iteration. Are there gaps  
9 in information collected which could be addressed  
10 by the survey, and are there recommendations for  
11 adding or removing questions, modifying the  
12 questions, to get better answers? And then,  
13 we'll discuss this tomorrow.

14 I also want to tell the audience that you  
15 can be involved in this, as well, that HRSA will  
16 be sending an announcement out to the Federal --  
17 through the Federal Register very soon about  
18 revising the surveys, and we certainly welcome  
19 everybody else's feedback, as well, as we come to  
20 a final decision on how to modify them before we  
21 go before OMB with the -- with the new survey.

22 So, with that, we are ready to adjourn,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 take a short break, and begin the three Workgroup  
2 meetings, and Catharine will give us some  
3 guidance as to where each of the three Workgroups  
4 will meet. So, Catharine?

5 DR. CATHARINE RILEY: Great, thank you,  
6 Dr. Bocchini. This is Catharine Riley. So, we  
7 will take a short break. We're going to try to  
8 get the Workgroups started right at 3:00. We  
9 know that you -- all the Workgroups have a lot of  
10 material to get through. There will be HRSA  
11 staff just outside the doors here to escort you  
12 to the three rooms. They're listed here, your  
13 room numbers for the different Workgroups. The  
14 Workgroups will be from 3:00 to 5:00 p.m., and  
15 again, just wanted to remind you that you do have  
16 to have a HRSA escort, so kind of follow the --  
17 the groups that are going out to the Workgroups.  
18 If you're not staying, of course, you can --  
19 there's the -- the main exit through the -- the  
20 entrance that you came in. And just to remind  
21 everyone, we'll start again tomorrow morning at  
22 9:30 a.m. and, Cathy, you have a question?

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 FEMALE SPEAKER: Just real quick --  
2 Alaina (phonetic) sent out a correction for that  
3 room; is that correct, that we're in a different  
4 room?

5 (Off-mic speaking)

6 DR. CATHARINE RILEY: Oh, yes.

7 (Off-mic speaking)

8 DR. CATHARINE RILEY: Sorry, so Education  
9 and Training Workgroup is Room 5S --

10 (Off-mic speaking)

11 DR. CATHARINE RILEY: -- 5 West -- with a  
12 "W" -- 5 West 11, this way. Okay. Follow Alaina  
13 right there if you want to get to that room.  
14 Okay. Well, great, thank you all so much, and  
15 we'll see you tomorrow morning, 9:30 a.m. Thank  
16 you.

17 (Whereupon, the above-entitled matter was  
18 concluded at 2:47 p.m.)+