

1           ADVISORY COMMITTEE ON HERITABLE DISORDERS IN  
2                                   NEWBORNS AND CHILDREN

3

4                                   DAY 2

5

6                                   HRSA Headquarters

7                                   5600 Fishers Lane

8                                   Rockville, MD 20852

9

10

11                                  May 10, 2018

12

13                                  9:30 a.m. - 1:00 p.m.

14

15

16

17

18

19

20

21

22

                                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1                   A P P E A R A N C E S

2   MEI WANG BAKER, M.D., Professor of Pediatrics,  
3   University of Wisconsin School of Medicine and  
4   Public Health, Co-Director, Newborn Screening  
5   Laboratory Wisconsin State Laboratory of Hygiene.  
6

7   SUSAN A. BERRY, M.D., Professor and Director,  
8   Division of Genetics and Metabolism Departments  
9   of Pediatrics and Genetics, Cell Biology &  
10   Development, University of Minnesota  
11

12   DIANA W. BIANCHI, M.D. Director, Eunice Kennedy  
13   Shriver National Institute of Child Health and  
14   Human Development.  
15

16   JOSEPH A. BOCCHINI, JR., M.D. (Chairperson),  
17   Professor and Chairman, Department of Pediatrics  
18   Louisiana State University.  
19

20   JEFFREY P. BROSCO, M.D., Ph.D., Public Health  
21   Department, Professor of Clinical Pediatrics  
22   University of Miami School of Medicine,

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Department of Pediatrics, Associate Professor and  
2 Division Director General Pediatrics & Adolescent  
3 Medicine University of Iowa Hospitals & Clinics

4

5 CARLA CUTHBERT, Public Health Department, Deputy  
6 Secretary, Children's Medical Services Florida  
7 State Department of Health

8

9 LAURA KAVANAGH, MPP, Acting Associate  
10 Administrator Maternal and Child Health Bureau,  
11 National Institutes of Health.

12

13 KELLIE B. KELM, Public Health Department, Chief,  
14 cardio-Renal Diagnostic Devices Branch Office of  
15 In Vitro Diagnostic Devices Evaluation & Safety  
16 Health Resources and Services Administration.

17

18 DIETER MATERN, MD, Ph.D, .Professor of Laboratory  
19 Medicine, Medical Genetics and Pediatrics Mayo  
20 Clinic

21

22 CYNTHIA M. POWELL, M.D., Professor of Pediatrics

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 and Genetics, Director, Medical Genetics  
2 Residency Program Pediatric Genetics and  
3 Metabolism, The University of North Carolina at  
4 Chapel Hill.

5

6 ANNAMARIE SAARINEN Co- founder, CEO Newborn  
7 Foundation, Chief, Newborn Screening and  
8 Molecular Biology Branch, National Center for  
9 Environmental Health, Food and Drug  
10 Administration

11

12 SCOTT M. SHONE, Public Health Department, Senior  
13 Research Public Health Analyst, 1- RTI  
14 International

15

16 BETH TARINI, MD, MS, FAAP

17

18 CATHERINE A. L WICKLUND, MS, CGC, Northwestern  
19 University Feinberg School of Medicine Center for  
20 Genetic Medicine, Agency for Healthcare Research  
21 and Quality.

22

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 ALSO PRESENT:  
2 DR. ROBERT OSTRANDER  
3 DR. DEBORA FREEDENBERG  
4 DEAN SHUR  
5 KIM TUMINELLO  
6 MICHAEL WATSON  
7 BRITTON RINK  
8 JED MILLER  
9 MELISSA PARISI  
10 SUSAN TANKSLEY  
11 CHRIS KUS  
12 ADAM KANIS  
13 JACKIE SEISMAN  
14 SHEVAN DOLAN  
15 CATE WALSH BUCKLEY  
16 CAROL GREENE  
17  
18  
19  
20  
21  
22

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1	C O N T E N T S	
2	DAY 2	
3		PAGE
4	WELCOME	7
5	ROLL CALL	7
6	UPDATE ON NEWBORN SCREENING FOR	15
7	GUANIDINOACETATE METHYLTRANSFERASE	
8	(GAMT) DEFICIENCY	
9	PUBLIC COMMENTS	38
10	EDUCATION AND TRAINING WORKGROUP UPDATE	65
11	FOLLOW-UP AND TREATMENT WORKGROUP UPDATE	72
12	LABORATORY STANDARDS AND PROCEDURES	88
13	WORKGROUP UPDATE	
14	COMMITTEE DISCUSSION: PUBLIC	94
15	HEALTH SYSTEM IMPACT ASSESSMENT	
16	NEW BUSINESS	145
17	CLOSING COMMENTS & ADJOURN	149
18		
19		
20		
21		
22		

## 1 P R O C E E D I N G S

2 [9:30 a.m.]

3 DR. JOSEPH BOCCHINI: I want to welcome  
4 everyone to day two of the May 2018 Advisory  
5 Committee on Heritable Disorders in Newborns and  
6 Children meeting. I want to thank everybody for  
7 all of the presentations and the discussion from  
8 yesterday and look forward to another very  
9 productive day.

10 We're going to start with roll call and so  
11 we'll go through committee members first. Kamila  
12 Mistry?

13 MS. KAMILA MISTRY: Here.

14 DR. JOSEPH BOCCHINI: Mei Baker?

15 MEI WANG BAKER, M.D.: Here.

16 JOSEPH A. BOCCHINI: Susan Berry?

17 SUSAN BERRY, M.D.: Here.

18 DR. JOSEPH BOCCHINI: I'm here. Jeff Brosco?

19 JEFFREY P. BROSCO, M.D.: Here

20 DR. JOSEPH BOCCHINI: Carla Cuthbert?

21 CARLA CUTHBERT: Here.

22 DR. JOSEPH BOCCHINI: Kellie Kelm?

1 MS. KELLIE KELM: Here.

2 DR. JOSEPH BOCCHINI: Joan Scott?

3 MS. JOAN SCOTT: Here.

4 DR. JOSEPH BOCCHINI: Dieter Matern?

5 DIETER MATERN, MD: Here.

6 DR. JOSEPH BOCCHINI: Cindy Powell?

7 CYNTHIA M. POWELL, M.D.: Here.

8 DR. JOSEPH BOCCHINI: Melissa Parisi?

9 DR. MELISSA PARISI: Here.

10 DR. JOSEPH BOCCHINI: I think Annamarie is  
11 still on her way. Scott Shone?

12 DR. SCOTT SHONE: Here.

13 DR. JOSEPH BOCCHINI: And Cathy Wicklund?

14 DR. CATHERINE WICKLUND: Here.

15 DR. JOSEPH BOCCHINI: And our DFO, Catherine  
16 Riley.

17 DR. CATHERINE RILEY: Here.

18 DR. JOSEPH BOCCHINI: For organizational  
19 representatives: Robert Ostrander?

20 DR. ROBERT OSTRANDER: Here.

21 DR. JOSEPH BOCCHINI: Debra Freedenburg?

22 DR. DEBRA FREEDENBERG: Here.

1 DR. JOSEPH BOCCHINI: Michael Watson?  
2 DR. MICHAEL WATSON: Here.  
3 DR. JOSEPH BOCCHINI: Britton Rink by  
4 Webcast.  
5 DR. BRITTON RINK: Here.  
6 DR. JOSEPH BOCCHINI: Jed Miller?  
7 DR. JED MILLER: Here.  
8 DR. JOSEPH BOCCHINI: Susan Tanksley?  
9 DR. SUSAN TANKSLEY: Here.  
10 DR. JOSEPH BOCCHINI: Chris Kus by Webcast.  
11 DR. CHRIS KUS: Here.  
12 DR. JOSEPH BOCCHINI: Adam Kanis by Webcast.  
13 DR. ADAM KANIS: Here.  
14 DR. JOSEPH BOCCHINI: Jackie Seisman?  
15 DR. JACKIE SEISMAN: Here.  
16 DR. JOSEPH BOCCHINI: Shevon Dolan by  
17 Webcast.  
18 DR. SHEVON DOLAN: Here.  
19 DR. JOSEPH BOCCHINI: Cate Walsh Vockley?  
20 DR. CATE VOCKLEY: Here.  
21 DR. JOSEPH BOCCHINI: And Carol Greene?  
22 DR. CAROL GREENE: Here.

1 DR. JOSEPH BOCCHINI: Thank you. I think the  
2 one committee correspondence that I did not  
3 mention yesterday was a report to Congress. The  
4 committee members have provided edits to that and  
5 it's in its final form and should be submitted  
6 today.

7 I now want to recognize two members of the  
8 committee who are rotating off the committee;  
9 this is their last meeting and, both of them, we  
10 owe a special debt of gratitude because both of  
11 them are sort of the last of the people who were  
12 on the discretionary committee so that they have  
13 extended their term for a considerable period of  
14 time and provided additional service to this  
15 committee for a number of years.

16 First is Cathy Wicklund. Cathy -- clearly  
17 over the years her focus is really on the patient  
18 and on the family and in every discussion that  
19 we've had she has been a contributor to those  
20 discussions. She served as our counselor on  
21 occasions as well as those for the family and  
22 children and has been a very active participant

1 in a number of the important initiatives that  
2 have been taken up by this committee over the  
3 term of her tenure. She also has had a signature  
4 leadership role. She took on the co-leadership  
5 position of the education and training Workgroup  
6 and I think you've seen yesterday two of the  
7 results of that and clearly in a large measure  
8 it's because of her involvement, her  
9 organizational skills and her ability to kind of  
10 bring things to closure. So, for many of the  
11 accomplishments that have occurred because of her  
12 involvement we want to thank you go forward.  
13 Here is a small token of our gratitude for you to  
14 take with you today.

15 Next is Dieter Matern. Dieter has brought  
16 all of his skills as a physician, as a  
17 laboratorian, as a newborn screening advocate, as  
18 a researcher, to the fore in this committee. He  
19 has served this committee well, always willing to  
20 tackle the hardest projects, always willing to be  
21 a voice for things that are really important to  
22 be discussed that sometimes get left behind, so

1 he has really served this committee very well and  
2 we're going to miss all of the expertise that he  
3 provides the committee. He has served on the  
4 laboratory workgroup. He was the senior author  
5 on the report that this committee put together on  
6 succinylacetone to direct laboratories to  
7 understand that this was the primary market, the  
8 best market, to detect Tyrosinemia type I. He  
9 contributed to the pilot study report. He's had  
10 a lot of accomplishments while he's been a member  
11 of this committee. You heard him yesterday make  
12 a number of important comments as we discussed  
13 important issues in the committee.

14 So, again, Dieter we want to thank you for  
15 all of your contributions to this committee over  
16 the years and look forward to hearing from you  
17 again and again as we move forward with newborn  
18 screening. Thank you. I know both of you are  
19 pretty shy and often at a loss for words, but if  
20 you would like to say anything, I'm more than  
21 happy to give you this opportunity.

22 DR. DIETER MATERN: Thank you, Dr. Bocchini

1 and committee members and colleagues. It's  
2 certainly been a major honor to serve on this  
3 committee. I mentioned earlier to Debbie that  
4 growing up in Germany, the last thing that came  
5 to mind was that I would ever sit so close to an  
6 important person in a government, and  
7 particularly of the United States. I think that  
8 we all sitting here at the table have a  
9 significant responsibility to the populations  
10 that we serve. We're not here because of our own  
11 personal agenda; it's about the babies and the  
12 families.

13 As I mentioned yesterday, the committee's  
14 mission is about babies, children, who have  
15 heritable conditions, which is certainly  
16 important, but I think as this is a public health  
17 program you have to consider all of the public  
18 and also the one that is not affected with these  
19 conditions and you have to protect those as well.  
20 And again as a taxpayer I think we should all  
21 look for ways to not waste any money. So, with  
22 that again, thank you for allowing me to serve on

1 this committee and I will see if I can help you  
2 in the future in any way if you like it or not.

3 MS. CATHY WICKLUND: I should've went first  
4 so I didn't have to -- I agree with Dieter on all  
5 of his points. This has been a great honor to  
6 serve on this committee. I grew up in northern  
7 Wisconsin, not Germany, but still never thought I  
8 would be sitting here. Maybe it's just northern  
9 Wisconsin. It is really truly an honor to be on  
10 this committee. It's probably been the hardest  
11 committee I've ever sat on, I have to say. God,  
12 I'm getting verklempt. These decisions I think  
13 are just so critical and require so much time and  
14 attention and energy, and I commend everybody who  
15 puts the energy into this.

16 As Scott said after the last meeting -- it  
17 was your first meeting -- that it was probably  
18 the most emotional meeting that he's ever been to  
19 and I completely agree with that but I think it's  
20 because we're taking this very seriously, it's  
21 really important, and I just want to commend  
22 everybody in this room and everybody a part of

1 this process for all the energy and time they put  
2 into it. So, thank you so much.

3 DR. JOSEPH BOCCHINI: Thank you both again for  
4 those comments. So, next on the agenda is an  
5 update on newborn screening pilot studies for  
6 GAMT deficiency. GAMT has previously been  
7 nominated for addition to the rest but after  
8 consideration of the nomination, the committee  
9 voted not to move it forward for full evidence  
10 review due to the lack of available pilot study  
11 data. The committee wants to continue to track  
12 efforts made in the field and has asked Dr. Carla  
13 Cuthbert, from the CDC, to provide us with an  
14 update on where the field is related to GAMT. In  
15 particular, we wanted to learn about current  
16 pilot studies in the United States and in other  
17 countries as well. Dr. Cuthbert is the chief of  
18 the newborn screening molecular biology branch in  
19 the division of laboratory sciences at the  
20 Centers for Disease Control and Prevention and  
21 has been in that position since December of 2009  
22 and serves as an ex-officio CDC representative to

1 this committee. So, Carla, thank you.

2 DR. CARLA CUTHBERT: -- [missing audio] --  
3 the enzymes involved in biosynthetic pathway for  
4 creatine. The first step involves a transfer of  
5 the amandine group from argentine to glycine, to  
6 create guanidinoacetate, and that occurs with the  
7 enzyme arginine glycine aminidino transferase and  
8 the next step is where GAMT has its role in  
9 methylating guanidinoacetates from creatine.  
10 Now, half of the creatine in your body is not  
11 just synthesized. You get it from dietary  
12 sources: Meat and fish primarily. And any  
13 circulating creatine is picked up by your tissues  
14 with the creatine transporter. When there is a  
15 deficiency or defect in the GAMT enzyme there is  
16 a decrease in the amount of creatine, and so  
17 creatine deficiency occurs with an accumulation  
18 of the neurotoxic guanidinoacetate, so the  
19 treatment rationale is to restore the creatine  
20 pool.

21 So, to restore that creatine pool here you  
22 want to supplement with creatine in high doses

1 and s-adenosylmethionine is also supplemented to  
2 reduce the guanidinoacetate that accumulates.  
3 You want to supplement with Ornithine, reduce the  
4 amount of arginine, and give sodium benzoate to  
5 bind up the glycine which gets converted to  
6 hippurate and that gets excreted in your urine.

7       Clinical presentation of these patients  
8 reflects the importance of creatine in the  
9 central nervous system. Symptoms generally occur  
10 during infancy and early childhood and include  
11 cognitive impairment, development and speech  
12 delays, muscle hypotonia, seizures, movement  
13 disorders and behavioral abnormalities.

14       The treatment outcomes when patients are  
15 caught early: Symptomatic patients improve, the  
16 earlier you treat the better the impact and the  
17 outcome and any sort of treatment interruption  
18 they found results -- can result in irreversible  
19 damage.

20       In terms of the biochemical markers that are  
21 of interest, the key biomarkers that we would be  
22 looking at during newborn screening would be

1 guanidinoacetate which is elevated in both plasma  
2 and in the urine, and so that forms the basis of  
3 a biochemical test, once you identify these  
4 patients as well. Creatine, of course, is  
5 decreased in the plasma. It could be normal or  
6 decreased in the urine. Creatinine is also  
7 looked at and that could either be decreased or  
8 normal in both plasma and urine.

9       For the newborn screening programs that are  
10 evaluating GAMT deficiency, generally the  
11 principles for testing involve the following:  
12 The primary biochemical assay, or the primary  
13 newborn screening assay, generally involves fluid  
14 injection and generally what they do is they  
15 multiplex the guanidinoacetate, and some programs  
16 include creatine as well; they multiplex  
17 guanidinoacetate together with the acylcarnitine  
18 amino acid assay. Currently there is no FDA-  
19 approved test -- or sorry, FDA-approved kit --  
20 that's available for programs and any program  
21 that is interested or thinking about doing this  
22 would have to modify a laboratory-developed test

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 to include these markers.

2 As a second tier test, we would introduce  
3 liquid chromatography into the test and that's  
4 done with LC-MS/MS, and, again, by incorporating  
5 a guanidinoacetate, creatine -- some programs may  
6 include creatine as well. That is sometimes done  
7 as a stand-alone test or it could be multiplexed  
8 with other second-tier markers. Some programs  
9 may also choose to do Sanger sequencing once they  
10 have had a sample that screens positive in both  
11 of these tests.

12 So, in terms of the nomination for GAMT  
13 deficiency, the nominator was Nicola Longo. It  
14 was co-sponsored by Marzia Pasquali, both from  
15 the University of Utah and the advocate  
16 organization is the Association for Creatine  
17 Deficiencies.

18 As part of the discussion and deliberation by  
19 the ACHDNC. There was a natural history that was  
20 well understood, treatment was found to be very  
21 similar to many classic cases of metabolism. The  
22 outcomes, of course, were best when started

1 early. The newborn screening assay for this  
2 could be multiplexed with existing tests in the  
3 form of a laboratory-developed test and the  
4 newborn screening strategy had high sensitivity  
5 and was found to have a low false positive rate.  
6 On the other side, what was found to be lacking  
7 or found to be needing more work, was that the  
8 understanding of the natural history was just  
9 based on 110 patients worldwide; there was no  
10 real agreed-upon strategy for treatment,  
11 metabolic control must be strict; there was no  
12 FDA-approved newborn screening kit or test for  
13 either newborn screening or a diagnostic assay,  
14 and, of course, the biggest challenge was that  
15 there was no patient identified through a newborn  
16 screening program.

17 So, as part of the very vigorous discussions,  
18 this was the recommendation from the nomination  
19 committee to the SACDNC, that we not initiate  
20 external evidence review at this point because no  
21 case had been identified prospectively through  
22 newborn screening which would certainly hamper

1 any kind of evidence review and treatment  
2 guidelines we were hoping that they would  
3 continue to be in development, they had not yet  
4 been finalized. So, the recommendation was the  
5 proponents work toward formalizing treatment  
6 guidelines and encouraged continuation of newborn  
7 screening for GAMT deficiency in both Utah,  
8 Australia and our friends in British Columbia in  
9 Canada and to report as soon as possible when any  
10 patient had been identified.

11 So, right now I'm just going to -- I had some  
12 conversations with some of the newborn screening  
13 programs, the three main ones, to just find out  
14 where they were and whether or not they'd  
15 identified any patient and just see how screening  
16 was actually going. Again, these are not actual  
17 pilots, these are programs that have GAMT  
18 deficiency screening as part of their routine  
19 screening list.

20 So, from the State of Victoria in Australia,  
21 I was able to speak to Dr. James Pitt, and he  
22 gave me a bit of an update about how things were

1 going in Australia. He put out an update in  
2 2014, and I just wanted to remind you of that,  
3 that between April of 2002 and 2013, they had  
4 screened for over 770,000 newborns, and of those  
5 screened, they found that 127 screened positive  
6 for elevated guanidinoacetate. Repeat testing  
7 resulted in three newborns still having an  
8 increased GAA, and follow-up testing showed that  
9 these were false positives and this was evidenced  
10 through evaluation of urine levels for  
11 guanidinoacetate, creatine and Creatinine and the  
12 pattern in the concentrations in the urine were  
13 not found to be consistent with GAMT deficiency.

14 In terms of where they were now, we estimated  
15 that the number of newborns screened from the  
16 time that they started in April 2002 to about  
17 April 2018, it would just be over one million  
18 newborns who would have been screened and, again,  
19 he said that the numbers were pretty much very  
20 similar to what was published, his experience was  
21 very similar. To date, there have been no true  
22 positive cases identified. Specifically, he

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 wanted to point out that he was not aware of any  
2 false negatives. He said that he was reasonably  
3 confident that if there was a positive case of  
4 GAMT deficiency, that that newborn would have  
5 been picked up symptomatically and, since the  
6 newborn screening program is down the hall from  
7 the biochemical genetics laboratory, he oversees  
8 both of these programs, he would certainly know  
9 whether or not any case was missed.

10 So, again, GAMT he said in this particular  
11 population seems to be very rare. He pointed out  
12 that it was very fortuitous that he decided to  
13 add this biomarker in 2002 when he was setting up  
14 this program. As a biochemical geneticist he was  
15 just looking around at the sorts of markers he  
16 thought that would be helpful, and chose to put  
17 this in, and so it continues to run in the  
18 background and, yes, they will continue to screen  
19 for GAMT deficiency.

20 Okay, so that's the State of Victoria in  
21 Australia. I also spoke to Graham Sinclair, in  
22 British Columbia, Canada and they started

1 population-wide screening about ten years after  
2 our friends in Australia started screening. So,  
3 they started in 2012 in September. They had a  
4 pilot that ran for three years and they screened  
5 all of the infants in B.C. during that period of  
6 time. Currently, as I said, newborn screening  
7 for GAMT deficiency is part of a routine test in  
8 British Columbia. The screening algorithm I have  
9 depicted here just so you can see it; I know that  
10 it shows up very lightly, so you may not be able  
11 to distinguish everything, but essentially, as I  
12 said in a previous slide, the first tier involves  
13 just incorporation of the guanidinoacetate marker  
14 into the acylcarnitine amino acid assay and  
15 that's run if there's an elevation that exceeds  
16 3.5 micromolar. It gets run as a second tier  
17 test with LC-MS/MS and that's coupled to an MS/UD  
18 second tier assay that also includes markers for  
19 GAA. If there is an elevation here, then they  
20 actually do sequencing of the exons for the GAMT  
21 gene, and if they find one or more pathogenic  
22 variant, they call that a screen-positive;

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 otherwise, they would call it a screen-negative.

2       So, they identified for the time that they  
3 were screening from September of 2012 to April of  
4 this year, they've identified just under 250,000  
5 -- or screened 250,000 newborns. Out of those  
6 newborns they identified two screen-positive  
7 cases. Both were found to be false positive when  
8 they investigated the urine for key markers. So,  
9 the number of true positives that they've  
10 identified still remain zero. They are not aware  
11 of any false negatives. In British Columbia as  
12 well, the biochemical genetics laboratory is also  
13 in the same building as the newborn screening  
14 laboratory so they would be aware of any cases  
15 that they may have missed and would have picked  
16 up clinically.

17       In Utah, newborn GAMT deficiency is part of  
18 their routine screen. As of the end of February  
19 this year, they have screened for 139,000 and  
20 they have identified two false positives. Both  
21 were NICU babies, and again, no false negatives  
22 identified. There's one children's hospital and

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 one metabolic center and, again, any symptomatic  
2 case would have been picked up and their program  
3 would've been notified and to date, no true  
4 positive case has been identified.

5       So, those are all of the programs that I'm  
6 aware of that are actively engaged in screening.  
7 The state of Michigan, has received approval  
8 after, really, a journey, and they're excited  
9 about being the second state in the U.S. to begin  
10 screening. They expect that screening will begin  
11 sometime later in 2018, and, CDC is going to be  
12 ready to help them if they need any assistance in  
13 bringing on that particular test.

14       So, as far as our program at CDC goes, we are  
15 available to provide technical assistance, if  
16 needed, to any state program that is interested  
17 in bringing on this test. We can help with  
18 method development, any sort of validation,  
19 implementation of testing, and as we do with  
20 every other condition, provide assistance with  
21 data review conference calls, on-site visits and  
22 so on.

1           Two weeks ago, we had a training course in  
2 mass spectrometry and all of the students -- I  
3 think that there were ten or twelve states  
4 represented, and they all learned how to do  
5 screening for GAMT deficiency as well. That was  
6 included as one of the markers that they were  
7 trained on.

8           We published a method non-derivatized assay  
9 to detect GAA and creatine. A derivatized assay  
10 had been previously published and we wanted to  
11 make sure any program who was interested at least  
12 had an approach to actually do so.

13           With respect to our materials, our quality  
14 assurance materials, we have materials that are  
15 enriched with guanidinoacetic acid so any program  
16 that just wants materials that they can work  
17 with, that's available to them. Currently there  
18 are eight laboratories world-wide participating  
19 in our QC program and beginning in 2019, we're  
20 going to be incorporating these two markers for  
21 GAMT deficiency into the aminoacetylcarnitine  
22 referenced PT materials, so if you are a program

1 and you are screening for GAMT deficiency, you  
2 would be able to identify the markers  
3 appropriately because they are integrated within  
4 that panel already.

5 I think that that's it, so GAMT deficiency  
6 remains a serious medical condition but seems to  
7 be very rare in the populations that are  
8 currently screening for them. Treatment follows  
9 the same principle as many of the other RUSP  
10 conditions. Approximately 1.4 million newborns  
11 have been screened in the newborn screening  
12 programs in Victoria, British Columbia, and in  
13 Utah. To date there have been no newborns that  
14 have been pre-symptomatically identified through  
15 these screening programs.

16 Additional programs are considering the  
17 addition of GAMT deficiency to their newborn  
18 screening panel and the CDC is available to  
19 provide any sort of technical support for  
20 programs who seek to implement screening for GAMT  
21 deficiency. That's it, thank you.

22 DR. JOSEPH BOCCHINI: Thank you, Carla, for

1 this excellent review. Have you had any  
2 additional states inquire about the possibility  
3 of including GAMT?

4 DR. CARLA CUTHBERT: Not to me personally,  
5 although I understand, and I haven't been able to  
6 verify, that there may be one or two others that  
7 are thinking about it, so I think that Georgia  
8 might be interested as well, and there may be one  
9 or two others.

10 DR. JOSEPH BOCCHINI: Let's open the  
11 questions and comments from the members of the  
12 committee. DIETER?

13 DR. DIETER MATERN: Thanks, Carla. Just a  
14 couple of things. One, when we discussed GAMT  
15 here, at least the second time, we had a longer  
16 discussion about the one case that actually was  
17 picked up by newborn screening in Australia in a  
18 kind of odd pilot study, but that patient then  
19 kind of, the ball was dropped during the follow-  
20 up because they didn't think it was a true  
21 positive and then the patient presented later  
22 with symptoms, so we felt it was not a pilot

1 study that showed any benefit for the patient.

2 So, I think the screening test seems to work.

3 Now, the other thing, it's great that you  
4 guys are providing the materials already and the  
5 training but I would really recommend that you  
6 add Creatinine to your panel. We use it  
7 primarily as a second tier test for Pompe  
8 screening so any state, like Michigan, they could  
9 stop sending us the second tier for POMPE disease  
10 if they did Creatinine in-house and it wouldn't  
11 even be a second tier test at that point because  
12 if you measure creatine and Creatinine in every  
13 baby anyway, along with GAA activity, the second  
14 tier test is already built in. So, it would help  
15 a lot in follow-up in getting a lot of anxiety  
16 out of it, and it also might help you identify at  
17 screening whether a baby has the infantile onset  
18 Pompe disease or later onset.

19 DR. CARLA CUTHBERT: Thank you, DIETER. I  
20 was just mentioning that to \_\_\_\_\_ and \_\_\_\_\_ has  
21 already agreed that we're going to be adding  
22 creatine and Creatinine to the panel so that will

1 be included as well. Thank you for that.

2 DR. JOSEPH BOCCHINI: Cindy?

3 DR. CYNTHIA POWELL: Are there any estimates  
4 as to the additional cost per infant screened  
5 from any of the programs that are currently  
6 running this?

7 DR. CARLA CUTHBERT: I don't have any numbers  
8 that I can offer you. I'm sorry.

9 DR. JED MILLER: Cynthia's question raises an  
10 important idea and what's sort of curious about  
11 this is usually when we start doing population-  
12 based screening we find lots of cases that we  
13 didn't know we would find, and many of them are  
14 variants, and so on, so to now have 1.4 million  
15 and not have a single case suggests that it's  
16 really hard to find, of course. I'll point out  
17 that historically PKU kind of got lucky because  
18 we found a case almost right away and it could  
19 also have been that you went 50 or 60 or 90,000  
20 cases before you found one, so just because we  
21 haven't found one doesn't mean the incidence is  
22 that low.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1           But, again, it raises the issue of how much  
2 money can we put into a screening test that maybe  
3 one in a million children in many states may  
4 never see, and it raises again what we'll  
5 probably get to a little bit later about the  
6 public health implications, not just for the  
7 health system but health implications of what we  
8 should do with our limited resources. Thank you.

9           DR. MELISSA PARISI: So, based on the cases  
10 that have been ascertained thus far, is there any  
11 knowledge about any particular populations that  
12 might have a higher prevalence? I'm just  
13 wondering if we're screening the wrong people or  
14 the wrong ethnic groups or if there is any  
15 evidence that would suggest that there might be  
16 some groups that might be -- there might be a  
17 higher chance and would take fewer than 1.4  
18 million?

19           DR. CARLA CUTHBERT: Well, I can't speak for  
20 Marzia Pasquali, but I know that she feels that  
21 they're probably getting close possibly. Again,  
22 it's not something that you wish for so I just

1 need to be very careful in terms of how we temper  
2 this, but, again, you know, there might be a  
3 population within Utah that may allow for  
4 identification of newborns, but, again, that's  
5 hard to say. I can't speak to that.

6 DR. JED MILLER: So from a diagnostics lab  
7 perspective, we -- it's not a high volume assay  
8 that we do, so people probably don't think about  
9 it often enough, maybe, but certainly we also  
10 don't have a lot of positives, and I'm not aware  
11 of any ethnic specifics. Now back to the cost.  
12 The cost is basically adding a couple internal  
13 standards to your aminoacylcarnitine so in  
14 your sample prep there's no difference when you  
15 measure aminoacylcarnitines already, to  
16 it's just reagent cost, the false positive rate  
17 apparently is very low, so I don't think there's  
18 a lot of follow-up cost that is unnecessary  
19 either and, again, any state that screens for the  
20 full RUSP, and therefore, for Pompe disease,  
21 would benefit and save money in the follow-up of  
22 Pompe, which they can easily recapture the money

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 and put the investment into \_\_\_\_ screening.

2 DR. CARLA CUTHBERT: Marzia indicated that  
3 there is a higher level of false positives in the  
4 NICU population and I understand both from Marzia  
5 and from Graham in Vancouver, that it's really,  
6 really helpful to have the second tier tests, so,  
7 you know, you really don't want to have the first  
8 tier test operating alone, you really want to  
9 include the LC-MS/MS to reduce that number of  
10 false positives as well. And, again, just to  
11 remind people that if you have an FDA-approved  
12 kit that does not have the test, it becomes a bit  
13 of a challenge, so if they are planning on  
14 bringing a test along they'd have to modify an  
15 FDA-approved kit and then be responsible for  
16 doing that validation themselves, so just to be  
17 mindful of that. DIETER?

18 DR. DIETER MATERN: Going out on my usual  
19 limb, so there's already a clear application for  
20 diagnostic labs and there is actually the paper  
21 that describes clear quite in detail and how it  
22 works when it comes to the co-variates, so

1 there's no newborn screening data as far as I  
2 know that has been captured from either Utah or  
3 British Columbia. Probably something they might  
4 want to look at because the second tier test may  
5 not be needed if you include the covariates of  
6 birth weight and everything else that you have on  
7 a screening card.

8 DR. JOSEPH BOCCHINI: Are there any comments  
9 or questions from the Org reps?

10 DR. ROBERT OSTRANDER: Bob Ostrander, AFP. I  
11 just want to go back to the very beginning couple  
12 of slides where you were talking about the  
13 background to be sure that it's clear in my mind,  
14 and everybody's mind, and my understanding is  
15 that the treatment for this is, number one,  
16 fairly clearly effective and, number two, fairly  
17 low risk, but what I wasn't clear on is whether,  
18 other than protein restriction and creatine  
19 supplements, whether these patients need special  
20 amino acid modified foods or if they could get  
21 away with just a protein restriction.

22 The reason I'm bringing this up is because

1 although it's a little past your subject of the  
2 pilot studies, it certainly will go toward the  
3 evidence review and I think it's helpful for us  
4 to start to think about this globally, and again,  
5 have a sense as to harms and costs versus  
6 benefits, even for rare conditions. So, if folks  
7 have the answer to those things or could help me  
8 understand it a little better, I'd appreciate it.

9 DR. CARLA CUTHBERT: I don't have very much  
10 more to add apart from what was there. I know  
11 that there was a paper that described the  
12 experience of those who were treating and  
13 managing these patients, but at the end they came  
14 up with principles but no defined series of  
15 guidelines, so I would defer to any physician who  
16 has handled GAMT deficiency patients.

17 DR. CAROL GREEN: Carol Green, SIMD. I have  
18 not cared for a patient yet, or found one. I'm  
19 one of the people who sends the samples. It is  
20 my understanding that you can treat with just the  
21 creatine; the diet does give you better outcomes  
22 for some of the forms, so I don't know that

1 there's enough experience to say how hard that's  
2 going to be but, I've got to say it doesn't seem  
3 hard at all, looking at what's being required,  
4 and it's one of those where, unlike some of our  
5 disorders, you have to be incredibly strict about  
6 the diet, or people end up in the ICU and this is  
7 one where I think the diet is important, but if  
8 you're not as careful about it you're still  
9 getting a lot of benefit from the treatment is my  
10 understanding. And I'm not so sure we lack  
11 guidelines. I mean, there are things where  
12 somebody would hand me a patient and I would look  
13 and say, "what do I do now?" and this is one  
14 where if we don't have formal guidelines that  
15 have gone through a review process, I still will  
16 feel, once I get my first case, I'm not going to  
17 have any trouble knowing what to do.

18 MS. DEBBIE FRIEDENBERG: Debbie Friedenber, g,  
19 AAP. I have taken care of two patients with GAMT  
20 deficiency, and it goes back a little ways, and  
21 they were pretty easy to manage compared to some  
22 of the other inborn errors in metabolism that we

1 were managing, so I would think that would be  
2 much of a challenge for the family and the cost  
3 of treatment compared to some of the other  
4 conditions on the RUSP is pretty minor compared  
5 to some of the other interventions we do for  
6 kids.

7 DR. JOSEPH BOCCHINI: Thank you. Any other  
8 questions or comments? How about from  
9 individuals that are on the webcast on the phone?  
10 Hearing none, Carla, I thank you for the  
11 presentation and the update and we look forward  
12 to continuing merging data. Thank you.

13 So, next on the agenda is public comment.  
14 So, just as a reminder, when you are speaking,  
15 either here in person, or on the phone line,  
16 please state your first and last name each time,  
17 and indicate if you have any conflicts.

18 So, the first person who will make a public  
19 comment today is Mr. Dean Shur. Mr. Shur is  
20 President of the MLD Foundation and he'll be  
21 providing a report and from the round table  
22 discussion that he and other stakeholders have

1 held and host to discuss the RUSP. Mr. Shur.

2 MR. DEAN SHUR: Good morning. Thank you, Mr.  
3 Chairman, and the committee and those watching.  
4 Actually our scope is a little broader than just  
5 the RUSP, in spite of the name, as is consistent  
6 with the committee. This was our fifth meeting  
7 of the RUSP roundtable. We typically meet the  
8 day before this meeting since many of you are in  
9 town. And I just want to repeat, because I  
10 haven't said this in a while, the purpose is to  
11 create an open and well-informed space to share  
12 perspectives and insights from key experts in the  
13 newborn screening space, expand the common  
14 knowledge base, and identify opportunities for  
15 both coalition-building and collaboration across  
16 sectors to innovate and accelerate programs to  
17 make newborn screening more robust and equitable.  
18 So that's a big mouthful. Really what we're  
19 doing is bringing together a whole variety of  
20 different perspectives from the newborn screening  
21 ecosystem, and we are not thinking outside of the  
22 box, we are kind of thinking and talking as if

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 there was no box. We're in a time where  
2 technology, where medical science, both the basic  
3 science and understanding, as well as the  
4 clinical care and therapies are really changing  
5 dramatically. Fifty years of history with  
6 newborn screening, we're thrilled with where we  
7 are. But what if there was no box? So, that's  
8 where our conversations lead us.

9 On Tuesday, we had advocacy, state and  
10 federal public health, Pharma, technology and  
11 services and a payer was present as well, so,  
12 again, a wide variety of participants and great  
13 discussion. Some of the things that we touched  
14 on were definition and application of benefit,  
15 the words and the meanings of therapy, cure and  
16 clinical care and where does that fit and/or be a  
17 result of what happens in newborn screening, the  
18 Wilson-Younger criteria which is the root of most  
19 newborn screening programs, international newborn  
20 screening, molecular screening and diagnostics,  
21 state and federal RUSP disconnect. The great  
22 work that you all do ends up being, in many

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 cases, repeated or questioned by some of the  
2 state programs, and I know, Dr. Bocchini, you  
3 talked about how in some of the future  
4 discussions you're going to be looking into that,  
5 but it's really important that the states be able  
6 to leverage the benefit of the great work that's  
7 done by you and particularly by the work on the  
8 review committee.

9 I just wanted to say one comment that was  
10 just so profound, or repeat one comment. It  
11 says: "I need to find babies in some way  
12 different than having their older sibling die  
13 first." And, you know, we just talked about that  
14 here: Screening 1.4 million and not finding any  
15 cases. It's kind of a chicken and the egg  
16 situation, and sometimes the chicken and the egg  
17 don't match up as we've seen in just the data  
18 from a moment ago. So, this challenge of how do  
19 we validate the screens and identify the public  
20 health programs to support them when it's so  
21 challenging to put pilot studies in place and to  
22 go through that process, so it's that chicken and

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 egg kind of circular challenge. So, we're  
2 dealing with those kind of topics. Many of you  
3 have been invited. We have a changing attendance  
4 at the meetings which is awesome. There's  
5 consistency which is good, and just encourage you  
6 if you want to learn more and you're here today,  
7 come see me. Newborn Screening dot US is the  
8 website where we are posting updates.

9 Our next focus is to be better about sharing  
10 data out and so we agreed yesterday, or day  
11 before yesterday, to start to develop white  
12 papers, be focused in some of our discussions,  
13 develop white papers with pros and cons and maybe  
14 some recommendations, but to help you and  
15 committees and groups like you that are doing  
16 great work to kind of invigorate discussions and  
17 to be creative and help guide us five-ten years  
18 down the road as to where the programs are.

19 I also wanted to mention very briefly a  
20 second initiative which is not MLD Foundation  
21 based, which is an initiative that I've started  
22 called Rare Army, and one of the legs of Rare

1 Army is a policy initiative and we know that  
2 Newborn Screening Saves Lives Act is going to be  
3 up for reauthorization next year. Certainly at  
4 the state levels there are a lot of policy issues  
5 related to newborn screening. There's regulatory  
6 issues and so on and what we're doing is taking  
7 existing policy, good stuff that other people are  
8 putting together, and helping disseminate that  
9 down so that we can get good, solid public  
10 education, engagement and then involvement, so  
11 that the things that we need -- the  
12 appropriations, the legislation, the regulatory  
13 feedback -- can help move forward the good work  
14 that you all are doing. Thank you very much.

15 DR. JOSEPH BOCCHINI: Thank you, Dean, and  
16 thank you for giving a much better explanation of  
17 your scope of work than I did. Thank you. Our  
18 second public comment will be given by Ms. Heidi  
19 Wallace. Ms. Wallace is the vice president of  
20 the Association of Creatine Deficiencies and will  
21 be offering comments on GAMT. Thank you for  
22 being here.

1 MS. HEIDI WALLACE: Thank you so much for  
2 having me. I really appreciate it and it's an  
3 honor to be here and to have a few minutes of  
4 your time. I am with the Association for  
5 Creatine Deficiencies, as Dr. Bocchini mentioned,  
6 and I also am the parent of two children with  
7 GAMT deficiency, and listening to everything  
8 before I am now feeling like I need to re-plan my  
9 entire presentation. So hopefully I answer the  
10 questions that came up but if there are more  
11 questions, please let me know. Someone mentioned  
12 the cost of testing. Marzia Pasquali, has spoken  
13 of it being around under fifty cents per child  
14 for the reagents, factoring in secondary testing  
15 when needed, it's still under a dollar, so,  
16 hopefully, you'll leave this meeting going 'this  
17 is pretty easy to screen for.' As far as the  
18 diet and metabolic formulas or foods, now my  
19 children are on no metabolic formulas. Early on  
20 the treatment has evolved a little thanks to our  
21 children who they experiment with and see how it  
22 goes, and diet has really come to be not a big

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 issue. Even when it was controlled it was pretty  
2 moderate. My son, when he was three years old,  
3 could have 16 grams of protein which is a pretty  
4 decent amount of protein, but now it's not a  
5 focus and what they measure to see how your child  
6 is doing is their creatine, are we giving them  
7 enough creatine that have a good supply  
8 constantly, and their GAA and is it being kept  
9 low because it is neurotoxic at a certain level.  
10 We don't know what that level is quite yet  
11 because it's a rare disease, but one thing I  
12 wanted to mention was that with the newborn  
13 screening blood spots that have been found from  
14 children with GAMT, they've gone back and pulled  
15 them -- the GA level has been seven and higher  
16 and you and I, we would all have about a one, so  
17 it's a really big marker. I know there are the  
18 NICU children that throw things off a little, but  
19 even with that if you notice the false positive  
20 rate was 0.0002, I think. So, very, very  
21 reliable testing.

22 I want to show you my children and tell you

1 just a little bit about them. This is Samantha.  
2 She was diagnosed with GAMT at five and she had  
3 had developmental delays for years, she had an  
4 autism diagnosis that just didn't quite fit.  
5 Teacher after teacher said, "there's something,  
6 you know, she doesn't fit the autism bill," and  
7 she started to have seizures. We got lucky and a  
8 neurologist said, "let's do spectroscopy while  
9 we're looking for any possible tumors or  
10 anything," and they saw that there was not a  
11 creatine peak. So, she has been treated for nine  
12 years and she is intellectually disabled. She  
13 will not recover. She's improved. It has helped  
14 her health to some degree but she continues to  
15 have recurrent seizures and she will need care  
16 for her whole life. And, when we talk about the  
17 price of these tests, we talk about the price of  
18 treatment, I just strongly want everyone here to  
19 realize how affordable the testing is for this,  
20 how affordable the treatment is, and the cost of  
21 a lost life. If the higher -- sorry, the lower  
22 incidence rate of one in 250,000 were to be true

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 and it were a dollar a child, I would pay that  
2 ten times over to go back in time and save this  
3 child. And that probably only matters to me, but  
4 taxpayers, healthcare system -- what is the cost  
5 of an intellectually disabled individual? School  
6 systems. It's through the roof. I did see a  
7 report from the CDC at one time and it was in the  
8 tens of millions, so I have a hard time accepting  
9 that money is an issue on adopting GAMT.

10 Now, six years ago, my son was born and we  
11 knew we had a one in four chance of having a  
12 child with GAMT and he was diagnosed a couple of  
13 days after birth. He started treatment. This is  
14 his kindergarten picture, he's graduating in a  
15 couple of weeks, and this kid is just, amazing.  
16 There's not a single beat he's missed, he is in  
17 no therapies, he is in the higher level  
18 kindergarten class. He is doing phenomenal. And  
19 his diet is relaxed. He takes his creatine,  
20 ornithine and sodium benzoate three times a day.  
21 The cost of each treatment each dose a day is  
22 around .30, so we're talking about taking tens of

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 millions of dollars in costs to society and  
2 instead spending a few cents and treating a kid.  
3 This is what he takes. Mom makes it. I buy the  
4 supplements over the counter, mix them up and  
5 draw them into three syringes and he takes them  
6 through his mouth and goes about his day. He  
7 knows he takes creatine; he understands it, tells  
8 his friends and of all the disorders, we feel  
9 fortunate to be living with this disorder. It's  
10 the poster child for newborn screening. I tell  
11 people where I'm going and what I'm speaking  
12 about and just give them a snapshot explanation  
13 and no one can understand why this is not on  
14 newborn screening. I can't understand. And,  
15 after listening to Carla explain that the CDC is  
16 being so helpful and forward thinking, which we  
17 really appreciate the support, that training of  
18 people of how to screen for GAMT tells me you  
19 know you can screen for GAMT, yet the reason we  
20 were turned down two years ago was because there  
21 wasn't evidence we could screen for GAMT, but now  
22 we're saying, "here, we'll show you how to do

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 it." We all know how to do it. It's really  
2 simple. This is not CH, we're not going to miss  
3 children, the cutoff levels aren't scary, it's  
4 very straightforward and treatment is cheap,  
5 effective as can be. I think that's all I've  
6 got. If anybody has any questions, I'd love to  
7 answer them.

8 DR. JOSEPH BOCCHINI: Thank you very much for  
9 your presentation. Thank you. Next, we have Ms.  
10 Kim Tuminello. Ms. Tuminello is the Director of  
11 Advocacy for the Association for Creatine  
12 Deficiencies. She will also be providing  
13 comments on GAMT. Thank you.

14 MS. KIM TUMINELLO: Hi, good morning. Thank  
15 you for the opportunity to be back and speak with  
16 you all. My name is Kim Tuminello and I am the  
17 cofounder of Association for Creatine  
18 Deficiencies. I'm here speaking on the behalf of  
19 all the families and children that have been  
20 diagnosed with GAMT. I'm currently serving as  
21 the Director of Advocacy and I personally began  
22 my quest to get GAMT on newborn screening in 2006

1 when I first learned that my son had been  
2 diagnosed with this severe, ultra-rare, metabolic  
3 disorder.

4 At the time, Ty was already ten months old  
5 and could not sit up. He could not play like  
6 other babies and he was dangerously underweight.  
7 He had been diagnosed with global developmental  
8 delay by the time he was seven months old, but we  
9 now know that GAMT could have easily been  
10 detected by his newborn blood spot and  
11 effectively and safely treated. I also have a  
12 daughter, eight-year-old, who was treated from  
13 birth and, like Heidi's Louie, she has had  
14 completely normal development with no therapy  
15 needed.

16 These days I spend much of my free time  
17 talking to other parents that have just learned  
18 about their own children's heartbreaking and  
19 preventable tragedy. I've told them about our  
20 own personal struggles with our son: The years  
21 and years and years of physical therapy,  
22 occupational therapy, speech therapy and then

1 some. I attend conferences such as ACMG, DIA,  
2 CNS, SIMD, Gatlinburg, the Global Gene Summit,  
3 and many others. I talk about GAMT and what said  
4 here two years ago and what our own doctor, Bruce  
5 Barshop in San Diego called the term "the no  
6 brainer" of a disease to have on newborn  
7 screening. When I was here exactly two years ago  
8 for the first time, many of you may know or  
9 remember that GAMT lost by just one vote. It was  
10 a split of six to seven to be moved forward to  
11 the Evidence Review Board. There had been a  
12 fierce debate that day on the vote for GAMT,  
13 about whether a new requirement should be that to  
14 get added to the RUSP there should be one  
15 prospective find during a newborn screen. It was  
16 argued that this was another roadblock of getting  
17 GAMT and other treatable, ultra-rare disorders  
18 from being included on the RUSP.

19 Since then, I've had the opportunity to meet  
20 many of you, and some of you have said that this  
21 is a no brainer of a disease for RUSP, but I've  
22 also been told that GAMT could be paying the

1 price of not being added because of some of the  
2 previously approved diseases that are more  
3 complicated, costly, and treatment may or may not  
4 be as effective. And I get it. We all  
5 understand that there needs to be a process,  
6 requirements, protocol, but I want to simply  
7 remind you that we are talking about lives, the  
8 most innocent lives that you, this committee,  
9 could save. We are talking about infant, newborn  
10 babies, children and their families. And who  
11 knows? Maybe one will show up in Utah or next  
12 year when Michigan and New York start their  
13 testing. Or maybe they won't, maybe it will be  
14 like Australia who have already tested over a  
15 million babies and still have not had a positive.

16 And then there's Austria. They found one.  
17 Had they just done a confirmatory test with  
18 blood, instead of with urine, on that newborn  
19 baby years ago, I guess we would have had that  
20 one prospective find that we need to satisfy that  
21 requirement. But can't it be a lesson learned?  
22 We know that it can be detected in a newborn

1 blood spot and needs to be confirmed with blood.  
2 That could be written and published in a GAMT  
3 newborn screening protocol today and never  
4 questioned again.

5       These past two years we've done what you've  
6 told us to do: Go and get it on newborn  
7 screening in other states. I personally have had  
8 the chance to go to Georgia to talk to them about  
9 adding GAMT also. I asked a fellow mom, Glenda,  
10 just one of our many community members, and her  
11 18-year-old daughter, Carly, to come tell their  
12 story. Carly was not diagnosed until she was nine  
13 years old. At the time of Carly's diagnosis she  
14 was having almost 200 seizures per day. She  
15 couldn't walk and she had never spoken a word.  
16 When her mom, Glenda, got the diagnosis and  
17 started that simple treatment of a creatine  
18 cocktail three times a day, Carly immediately  
19 stopped having seizures. Carly is the sweetest  
20 girl. She absolutely adores her mom and smiles  
21 at her all the time. But Carly cannot speak a  
22 single word. She never has and she probably

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 never will. Not one word. And at times she has  
2 to get around with her wheelchair and will be  
3 cared for for the rest of her life and her mom  
4 will never hear the words, "I love you." But,  
5 all of this could have been avoided. It's  
6 preventable. But we have to test for it. This  
7 test that costs a state lab less than a dollar  
8 could have saved this poor girl and her family  
9 this ridiculously unnecessary tragedy. And Carly  
10 is not the only one. There are dozens out there  
11 like this. Who knows how many? Safe,  
12 affordable, effective treatment: GAMT has that.  
13 This is the no brainer.

14 This past year, Quest Diagnostics has started  
15 testing for elevated guanidinoacetate and in just  
16 the last two months they have found three cases  
17 of GAMT.

18 And we have new families who are added to our  
19 community all the time. So, realistically, by  
20 the time this committee meets again, next quarter  
21 in August, on average there could be another four  
22 to eight families that learn of this devastating

1 diagnosis of lifelong intellectual disabilities,  
2 seizures, wheelchairs, nonverbal children and all  
3 of it could have been prevented with a safe,  
4 affordable, and effective treatment. If we were  
5 to sit around and debate GAMT for another two  
6 years, there will be as many as 20 to 60 families  
7 who will find out that they are too late in  
8 finding out the diagnosis of their child, their,  
9 grandchild or their sister or brother. Why? Why  
10 are we preventing screening from happening? How  
11 much longer can we justify being a gatekeeper  
12 versus a committee that can make the ethical and  
13 moral judgment to test and treat.

14 This last week I received something from the  
15 Becker's Hospital Review E-Weekly and it gives a  
16 viewpoint, how diagnostic test delays are harming  
17 babies and families. They gave seven points to  
18 this article and I'll summarize them for you:

- 19 1. Currently, newborn screening programs  
20 monitor for 60 rare and genetic conditions but we  
21 all know that there are 350 that are treatable.
- 22 2. Despite the significance of these

1 programs, there's a lack of comprehensive data on  
2 program adoption since they are managed by  
3 individual states.

4 3. After investigating newborn screening  
5 programs, the authors express concern of how  
6 testing delays harm patients, their families, and  
7 health care providers.

8 4. The authors noted that complex and time-  
9 consuming process of developing a reliable and  
10 economically viable screening test, which GAMT  
11 already has, and must be reviewed by various  
12 committee members and the Secretary of Health And  
13 Human Services before being approved in the  
14 recommended uniform screening panel.

15 5. Their research indicates that it  
16 typically takes ten years or more before a new  
17 screening test reaches all U.S. newborns, and it  
18 didn't say this, but I would guess that, again,  
19 it would be after this committee would approve  
20 that newborn screening.

21 6. Additionally, the authors argue that  
22 families who are affected by rare diseases not

1 included in screening panels, must seek out  
2 testing on their own and often lack the resources  
3 necessary to get a diagnosis. They quote: "It  
4 is inefficient and arguably cruel, to place  
5 responsibility for advancing screening tests on  
6 affected families and their physicians who are  
7 grappling with the hard realities of caring for  
8 children with often devastating and poorly  
9 understood disease.

10 7. And the final point, number seven, to  
11 address this issue, the authors offered these  
12 suggestion: Raising awareness among families,  
13 physicians and advocacy groups of diseases that  
14 could be included in the newborn screening panel,  
15 increasing funding for test development and  
16 improvement, and petitioning advisory committee  
17 quickly, even if the Advisory Committee on  
18 Heritable Disorders in Newborns and Children  
19 rejects a new test.

20 What this says to me and everyone else is  
21 that it is up to you, the ACHDNC, and the voting  
22 members, that you are capable of saving these

1 lives right now. This article could've started  
2 and stopped with the last point. It is up to the  
3 ACHDNC. It is up to you to not put these  
4 children and families through this, as they said,  
5 cruel process.

6 This seems like it is coming down to  
7 something else. What is really the problem here  
8 with adding GAMT? I've talked to physicians,  
9 researchers, state labs, pharmaceutical  
10 executives and business and community leaders and  
11 no one thinks this makes any sense and that we  
12 have a moral obligation to test for it. GAMT is  
13 the perfect candidate for newborn screening. It  
14 is the no brainer. I have a question: If we  
15 knew there was going to be a mass shooting in the  
16 next two years and anywhere between 20 to 64  
17 children and their families would lose their  
18 lives as they know it, wouldn't we do everything  
19 in our power to stop it?

20 We all know that Perkin Elmer also plays a  
21 big role in the state's ability to be able to  
22 test. We had a meeting with them about a year

1 ago. We talked about GAMT, and the fact that  
2 their latest test kit had come out and GAMT  
3 wasn't on it. Why? I'm sure it's because it  
4 cost money. It wasn't required, or even asked of  
5 them. Why not? States have figured out that  
6 they can do their own GAMT testing without Perkin  
7 Elmer kit for less than a dollar.

8 I am sure Perkin Elmer can get it done. I'm  
9 sure there's another profit company that could do  
10 it. But, we know that it's not just because  
11 Perkin Elmer doesn't have it on their newborn  
12 screening kit that we aren't testing for it. I'm  
13 sure if GAMT was added to the RUSP they would  
14 figure it out.

15 It has been said that it is the perfect  
16 disease for newborn screening and the committee  
17 wants to see it added. So, I say do it. I say  
18 put it up for another vote. Let's get it to the  
19 evidence review board. Let them do their job.  
20 GAMT has a proven, easily detectable newborn  
21 screen. It has a safe, affordable and effective  
22 treatment that could save a life destined to be

1 full of disabilities and their and their family's  
2 suffering. It is my job to advocate for this  
3 disease, but it is your job to vote it through.

4 I would like each of you to consider the good  
5 you could do as a committee, restore the faith of  
6 the public and help save the lives of these  
7 children. GAMT really is a no-brainer. Thank  
8 you for listening to me. Thank you for giving me  
9 the opportunity to be here. I appreciate all of  
10 you. Thank you.

11 DR. JOSEPH BOCCHINI: Thank you very much.  
12 Thank you, we appreciate your presentation and  
13 advocacy. Next, we have Dr. Mariza Pasquali,  
14 Professor of Pathology, Medical Director and  
15 Section Chief of Biochemical Genetics,  
16 Supplemental Newborn Screening, at the University  
17 of Utah School of Medicine. Dr. Pasquali was one  
18 of the experts on the nominating team for the  
19 GAMT nomination. I think we have her on the  
20 telephone. Dr. Pasquali, your line should be  
21 open.

22 DR. MARIZA PASQUALI: Can you hear me?

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. JOSEPH BOCCHINI: Can we increase the  
2 volume? Go ahead and speak, we should be able to  
3 hear you.

4 DR. MARIZA PASQUALI: Thank you, Dr.  
5 Bocchini, and thanks to all the committee members  
6 for allowing comments. In our experience with  
7 newborn screening the GAMT deficiency. Our group  
8 in Utah, together with the Association For  
9 Creatine Deficiency has nominated this condition  
10 for including on RUSP. At the time, the  
11 recommendation of the committee was to wait until  
12 a patient could be prospectively identified  
13 through newborn screening before advancing the  
14 condition to full review. So far, we have  
15 screened probably over 140,000 babies. Even  
16 though we have not found a true positive yet I  
17 think I can speak about our experience and the  
18 screening test.

19 The screening works very well. The method is  
20 robust. Our false positive rate to date is less  
21 than .002%. The cost is minimal because it's  
22 integrated with the routine screening. The

1 increase in cost is really reflecting only the  
2 cost of the reagent. We don't think we have had  
3 any false negatives in the three years that we  
4 are performing the screening. In Utah, as Dr.  
5 Cuthbert highlighted, there is only one  
6 children's hospital, there is only one genetic  
7 center, and we would know if a patient had been  
8 clinically diagnosed and missed by the screening.

9       At the time of the committee discussion two  
10 years ago, there were a few concerns from the  
11 committee. One was, do we know whether the test  
12 will work and can identify patients during the  
13 newborn screening? I think we had the most \_\_\_\_  
14 of these. We have analyzed the blood spot,  
15 retrospectively, but nevertheless these were true  
16 blood spots from two positive cases. These were  
17 the same spots that were collected for the  
18 routine screen, so the marker for GAMT Deficiency  
19 undetectable through newborn screening. Another  
20 concern was about the reliability of confirmatory  
21 tests in a symptomatic patient. We have  
22 demonstrated the reliability by testing the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 sibling of patients. They were symptomatic, they  
2 were tested when they were a couple of days  
3 older, and the result of the diagnostics test was  
4 very, very clear. If you perform the correct  
5 diagnostic test, you will identify, you will  
6 confirm the result of the screening. And then  
7 the other concern was about treatment and the  
8 consensus on the treatment. There are numerous  
9 publications describing the treatment of GAMT  
10 deficiency and the outcome. Currently, some of  
11 the world experts on creatine deficiency  
12 syndromes have gotten together to draft a  
13 consensus document. As far as \_\_\_\_ is concerned,  
14 the demonstration is the outcome of the patient  
15 identified and treated at birth because of family  
16 history, like Ms. Wallace has demonstrated. In  
17 other words, we feel that we have all the  
18 evidence that newborn screening for GAMT  
19 deficiency works, we feel that all of the  
20 criteria are met, perhaps they have not been  
21 collected in the order that the committee is used  
22 to see them, but nevertheless they are valued.

1           In the meantime, while we are waiting to  
2 demonstrate that the laws of probability and  
3 statistics are true, or for the manufacturer of a  
4 newborn screening kit to modify products, several  
5 patients in the U.S. are born with GAMT  
6 deficiency, they are not identified early and  
7 they suffer irreversible damage. Please consider  
8 screening for GAMT deficiency and its addition to  
9 the RUSP. Thank you.

10           DR. JOSEPH BOCCHINI: Thank you Dr. Pasquali.  
11 Thank you for your comments. We appreciate them.  
12 Now, Dr. Longo, who also was a lead on the  
13 nomination packet, is traveling but was going to  
14 try to be on the call to make the next comment.  
15 Dr. Longo, have you been able to join us? So,  
16 apparently not. He was in transit and indicated  
17 that he might not be able to be on the call. So,  
18 based on that, we've concluded the public comment  
19 section. We now have a scheduled 15-minute, I  
20 guess we need to be back here at 11 o'clock so we  
21 have a little over 15 minute break. So, if  
22 you'll all be back promptly at 11:00 we'll start

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 the next segment of the meeting. Thank you.

2 DR. JOSEPH BOCCHINI: We have two items on  
3 the agenda for the rest of the morning and into  
4 the early afternoon and that's reports from each  
5 of the three workgroups that met yesterday  
6 afternoon and then a review of the surveys that  
7 are part of the process for evaluating public  
8 health impact. So, we asked each of the  
9 workgroups to discuss the surveys and make sort  
10 of high level comments about them and we decided  
11 that we will divide the presentations of each of  
12 the workgroups so that they can talk first about  
13 other things that they discussed on their agendas  
14 during the initial presentations of workgroup  
15 activities, and then have each of the workgroup  
16 leadership present what they talked about with  
17 the public health impact surveys so that they'll  
18 all be at the same time as we begin to discuss  
19 whether there need to be modifications to those  
20 surveys.

21 So, first up is the education and training  
22 workgroup update and Cathy Wicklund will provide

1 that presentation.

2 MS. CATHERINE WICKLUND: Thank you. I think  
3 ours will be relatively quick just because we  
4 spent yesterday talking about the education guide  
5 and communication guide as well, so I'll give you  
6 just some updates of what we continue to talk  
7 about during our workgroup. We have new members  
8 joining our group. We have a really nice group  
9 of individuals coming together and had a really  
10 good discussion yesterday and I just want to  
11 thank everybody for all of their input and hard  
12 work on all of our projects. Again, this is our  
13 workgroup charge that I already talked about  
14 yesterday. And just to be clear, again, the  
15 newborn screening education planning guide is the  
16 one that looks like the table. There's an  
17 example in the briefing book where it's a matrix  
18 that has stakeholders on one side of it, and also  
19 content, different types of content, across the  
20 top and it helps people who are going to produce  
21 educational materials to think about what content  
22 should be included in those materials, so, again,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that's just what we're talking about now. What  
2 we spent the time in the committee talking about  
3 was what was brought up by our larger discussion  
4 here, which was how to validate the actual tool  
5 itself.

6 We heard from Erin who had a student who is  
7 trying to utilize it within some of the state  
8 newborn screening programs and the educational  
9 materials they produced. Also, Kate had gotten  
10 some feedback from individuals who reviewed the  
11 tool that they were unsure about maybe some of  
12 the content areas so that led us to think that we  
13 needed to create a legend or definition of the  
14 different content areas, so we'll be doing that.

15 And we do feel like we need to have a little  
16 bit more feedback and validation before we  
17 disseminate and publish this, so we're going to  
18 explore validation methods used with similar  
19 tools and we're going to do a literature review,  
20 we're going to talk to some experts in the  
21 educational field who have done this before so we  
22 can figure out a way to do this, and then

1 dissemination we will do after and we also are  
2 really cognizant of not spending so much time on  
3 this that it's another year or two years and that  
4 we don't actually get it out to people to  
5 utilize. So we will look at what is necessary to  
6 do to validate it and look at our resources that  
7 we have as well, but try not to go overboard on  
8 this.

9       We have been trying to complete a list of  
10 groups that we want to think about contacting for  
11 dissemination, and what I realized yesterday in  
12 thinking about these long lists that we're  
13 creating, is how much time and resources that is  
14 going to take as well, to try to contact these  
15 organizations so I think it would be good for us  
16 to prioritize the different organizations that  
17 we're going to work with, some that might be more  
18 receptive to our efforts or have a bigger bang  
19 for our buck. You know, really thinking about  
20 who should we target first and be thoughtful  
21 about how we work through these organizational  
22 lists, so we're going to be able to do that as

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 well.

2 Let me switch topics. This is now the  
3 Communication Guide. This is the guide to help  
4 providers talk about out-of-range results. So,  
5 what we're going to do is -- we talked about the  
6 goal of the document yesterday. Again, it's to  
7 help clinicians talk about how they communicate  
8 these results. It's not specific to any disorder  
9 and it's meant to be used in conjunction  
10 sometimes with the Act Sheets through ACMG.

11 So, what we want to do is right now it's in a  
12 Word document and we want to go ahead and improve  
13 the design and formatting of the actual document  
14 itself, so Catherine is going to think about this  
15 from the HRSA perspective and see if we have some  
16 resources here that can help us do that.

17 We also talked about maybe measuring the  
18 utility -- so, not so much validation of the  
19 actual communication guide, but how useful is it  
20 to a provider? Obviously, our end point is that  
21 patient, the family and whether or not they feel  
22 things are communicated better because of this

1 tool. That's a very hard population to get to  
2 and think about measuring success in that way, so  
3 we are going to have some more conversations  
4 about what utility is the provider and think  
5 about whether or not we can maybe collaborate  
6 with some individuals about piloting this and get  
7 that feedback in that way.

8 Also, Jackie talked about baby's first test,  
9 having some language and questions already that  
10 they use on their providers when they're  
11 assessing the utility of some of their tools, and  
12 we're going to hopefully collaborate with them  
13 and lift some material from them so we're not  
14 reinventing the wheel.

15 I think also we need to reach out to -- we're  
16 going to reach back out to our communication  
17 health expert, Courtney Shur [phonetic] at  
18 Northwestern, who helped us also give feedback on  
19 this guide and see if she has any ideas. And  
20 also, we are going to ask some of our broader ENT  
21 workgroup members to join this small workgroup.  
22 We have some new members that bring in some

1 expertise that we think would be very helpful for  
2 us to have in this and this dissemination we feel  
3 like can happen after we get it formatted and a  
4 little bit prettier. We don't necessarily have  
5 to wait for the pilot study or the measuring the  
6 effectiveness or how useful it is. We're going  
7 to go ahead and work on dissemination and  
8 building a comprehensive list. I know we got a  
9 lot of really good suggestions yesterday from  
10 members of the group and we're going to add that  
11 to the list but then also really prioritize the  
12 organizations to contact.

13 We had a little discussion about some other  
14 projects but we feel like we still needed to  
15 focus on the two that we have on the table right  
16 now and bring those to the end. The  
17 communication guide for normal results or typical  
18 results, we wanted to talk about that. Luckily,  
19 we have some members of our workgroup who are  
20 actually already tackling some of these issues,  
21 so what we're going to do is see where their work  
22 takes them and think about how our workgroup can

1 provide feedback to them, just kind of help them  
2 move their projects along.

3 We also were asked yesterday to think about  
4 how we can support the issue of educating about  
5 basic concepts around screening and risk  
6 assessment. Also, we have some workgroup members  
7 who are working on these initiatives already and  
8 we are going to try to piggyback with them and  
9 just see how we can help them move their projects  
10 forward. Any questions?

11 DR. JOSEPH BOCCHINI: Any questions or  
12 comments from committee members? If not, then  
13 organization representatives? Telephone? Thank  
14 you, Catherine, for a clear and concise  
15 presentation.

16 Now, Dr. Brosco, Chair of the follow-up and  
17 treatment workgroup will give us an update from  
18 activities yesterday. Thank you, Jeff.

19 DR. JEFFREY BROSCO: Thank you very much. So  
20 yes, we met yesterday, and first I want to  
21 recognize all the members of our workgroup, and  
22 note that we have a couple of new members:

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Ackner, who works in newborn screening in Alaska,  
2 and Dawn Heck. They both joined us yesterday by  
3 phone and it was great to have them in our  
4 meeting. We hope to meet them in person at the  
5 next one. We have one person who is rotating  
6 off, Carol Greene, and her institutional position  
7 is rotating off our workgroup and I just spent  
8 the last 20 minutes trying to twist her arm to  
9 keep her coming back and we'll see if that works.  
10 Because we have a very broad group of input and  
11 it's helpful to have folks there that are part of  
12 the workgroup and even beyond that.

13 So, just to remind everyone that we have this  
14 vision for what long-term follow-up and treatment  
15 should look like and it's probably worth just  
16 reminding ourselves that the outcomes are meant  
17 to be broad, so there is improved survival but  
18 also well-being for individuals who are screened  
19 for congenital conditions. It's a wide range of  
20 measures from the obvious for things like  
21 mortality, all the way through the patient and  
22 family experience, quality of life, well-being,

1 graduating high school, and making sure that  
2 disparities are reduced. So, we have very broad  
3 outcomes and previous members of the workgroup,  
4 previous iterations of the workgroup, have gone  
5 through what those drivers should be. What are  
6 the things that should happen over time and what  
7 are some of the ways we might measure that? So,  
8 this is sort of a vision that's out there, and  
9 what we've been working toward, really, over the  
10 last ten years through this workgroup.

11 So, what are some of the things we're doing  
12 to get there? I think you'll all remember that  
13 our Quality Measures Report is now complete and  
14 will be posted. They are doing the last couple  
15 of edits but basically it is all done. We spent  
16 a fair amount of time over the last couple of  
17 months really talking about dissemination plans  
18 and we're very lucky that Allen is willing to  
19 continue helping us figure out exactly what those  
20 dissemination plans will be.

21 One thing I do want to say in particular is  
22 that we have decided not to try to publish the

1 report itself which allows it to be immediately  
2 put up on our website, so it should be available,  
3 right Catherine? Within a week or something like  
4 that. So it will be available right away. If  
5 you look at our website it's not clear that that  
6 happens with our reports as often or as quickly  
7 as it should.

8       Within terms of dissemination, we're working  
9 on having at least the Executive Summary come out  
10 in a journal and various members of our workgroup  
11 and outside of that are looking at their specific  
12 groups. So, should pediatric neurology and child  
13 neurology be one group that can learn more about  
14 newborn screening and quality measures in  
15 particular?

16       The Medical Foods For Inborn Errors In  
17 Metabolism report is also complete. We're in the  
18 final stages of editing. Just the last paragraph  
19 really needs to be cleaned up a little bit. That  
20 report we're not going to immediately put up.  
21 Sue and her co-authors are going to publish, we  
22 hope, in an abbreviated form and so they are

1 already working on drafts of that and since it's  
2 abbreviated it's not really changed in content,  
3 this should be relatively easy to get through our  
4 group.

5       We spent a good deal of time yesterday  
6 speaking with Alex and K.K. about the  
7 environmental scan that they're doing and I think  
8 they are likely to present to the entire group in  
9 August, if not November, and it was a lot of back  
10 and forth dialogue about who's doing what, who's  
11 using which tools, and how do all the things fit  
12 in that vision? I'm going to go back to it for  
13 one second because I think it's worth it:  
14 Saying, so this thing is our vision but who is  
15 really doing some of this? So, the California  
16 newborn screening program, are they doing some of  
17 these things? Are there examples around the  
18 country or outside of the country where we can  
19 say here's one of the ways we can do it, because  
20 clearly this is not happening yet. So, we spent  
21 some time talking about what Alex and K.K. are  
22 doing and how our work might inform theirs, and

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 theirs informing ours.

2       And then last we talked about this idea of a  
3 road map which is how do we get from here to that  
4 vision of what long-term follow-up and treatment  
5 should look like. And, by the way, the "L" we  
6 keep talking about, how this means long-term but  
7 also longitudinal and life span.

8       So, the road map is probably what we'll be  
9 focusing our workgroup on a lot over the next six  
10 months and the idea is to provide stakeholders  
11 with a road map to achieving some kind of  
12 federated system that makes sense. We know there  
13 won't be any simple solutions to this.

14       The barrier is that there are so many follow-  
15 up activities, but also a lot of gaps and no  
16 system that connects all of it. So, the idea is  
17 maybe we can work with stakeholders to develop a  
18 report and consider interim steps and during the  
19 break, we had Joe and Bob volunteer to try to put  
20 together an initial kind of paper or statement of  
21 intention I guess would be the best thing to say,  
22 that we can respond to as a workgroup on our next

1 call. And I'm putting December 28th, even though  
2 that's completely crazy. I can't imagine we'll  
3 be done by then but it's nice to have a timeline  
4 that pushes us. We can do it. Excellent. And  
5 that's it. I think, he meant singular. Any  
6 questions for us?

7 DR. JOSEPH BOCCHINI: Questions from the  
8 committee? SID?

9 UNKNOWN SPEAKER: Jeff, thank you for that  
10 summary because I think it's incredibly difficult  
11 to contain the conversation we had yesterday, so  
12 one of the things I'm just going to ask about is  
13 what role do we see for larger scale advocacy  
14 organizations such as The National Organization  
15 For Rare Diseases in particularly working with  
16 patients and patient registries? Is there a way  
17 that we can engage them in some of this process  
18 as well?

19 DR. JEFFREY BROSCO: Absolutely, and I think  
20 that's part of engaging at every level so as our  
21 next -- probably on our next call we'll talk  
22 about who should be part of this in terms of how

1 do we create this road map to the future?  
2 Because we're going to want to include patients,  
3 families and consumers right from the very  
4 beginning. And then obviously as an advocacy  
5 group at the end, whenever we come up with  
6 specific steps, that will be critical as well.

7 DR. DIETER MATERN: Can you quickly define  
8 the federated system that you talked about in  
9 your group meeting?

10 DR. JEFFREY BROSCO: No.

11 DR. DIETER MATERN: Can you take a moderate  
12 deliberation?

13 DR. JEFFREY BROSCO: The reason why I said  
14 federated system was because it sounds a lot  
15 better than "we have no idea how this is going to  
16 work." But, I think the point is we are not a  
17 healthcare system in the United States that has a  
18 single electronic medical record that can easily  
19 say, "okay, here's how this whole thing is going  
20 to come together." It has to be some sort of  
21 federated system. So, some of the ideas we were  
22 floating are, can you have--does it start with

1 families and patients entering data into patient  
2 registries? Is it population specific? Are all  
3 the SMA going to have one system? Is it going to  
4 be run out of a university? Would it be part of  
5 the American Academy of Pediatrics, which is now  
6 talking about a special health care needs data  
7 set.

8       So, that's just the data part of it that  
9 would have to be federated. How does the quality  
10 improvement part of it work? Again, that's going  
11 to be so newborn screening programs -- state  
12 newborn screening programs -- will have some  
13 quality improvement part of that, but so will  
14 specific disease groups. So, you can imagine  
15 cystic fibrosis or sickle cell disease are  
16 already doing things to build quality  
17 improvement. So, when we say federated systems  
18 what we mean is there are going to be lots of  
19 things happening in different places and we're  
20 going to put a sort of dotted line around the  
21 whole thing and say "See? We have this beautiful  
22 federated system."

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 I think the other thing that's important, and  
2 sort of a big question for us to deal with, is  
3 should this be newborn screening specific and we  
4 build a new system or do we make sure that the  
5 systems that are happening and in place have a  
6 newborn screening component? So, when we do the  
7 National Survey Of Child Health, if we added a  
8 newborn screening question, well then suddenly  
9 we'd have a whole dataset about newborn screening  
10 conditions, and we don't have to create a whole  
11 data system for it. So, those are the kinds of  
12 things we're struggling with.

13 MS. CAROL GREEN: To maybe remind people that  
14 this is tied, or historically was tied, to some  
15 discussions about getting all of the stakeholders  
16 into a room and exploring -- not saying what  
17 people's responsibilities should be, because  
18 that's not the purview of a committee, but  
19 exploring what people, what are their current  
20 roles and responsibilities and what do the  
21 stakeholders think their responsibilities should  
22 be? And the answer that was just given about the

1 federated system had some really good examples,  
2 and it's so easy to talk about the data and,  
3 again, all the examples were about the data.

4       This idea of this road map in the federated  
5 system includes the hospitals that are involved  
6 in doing the newborn screening, the insurance  
7 companies that pay for the treatment, the  
8 professionals, the families -- it's just not  
9 about the data and not just about using the data  
10 for quality improvement, but it's actually  
11 delivery of the care. And we all know that, we  
12 all believe that, and then we start talking about  
13 what we can do which ends up talking about the  
14 lab and the front end and the data on the back  
15 end and we want to not lose sight of all the  
16 stuff in the middle and the people.

17       DR. ANNAMARIE SAARINEN: I'm on the  
18 committee, and thanks for the summary -- the  
19 federated summary. So, I wonder if I could call  
20 on Dr. Ostrander to give his hypersimplified  
21 suggestions of how we might not reinvent the  
22 wheel here, just because this was part of our

1 discussion yesterday, even though we're road  
2 mapping, we're trying to figure out a pathway,  
3 but at the end of the day we're really trying --  
4 so there's kids identified by newborn screening  
5 that we don't know what's happened to them over  
6 time, even in short term, two or three years out,  
7 but then even farther out than that.

8 But the NICU model and CF model seemed like  
9 things to look to that also have had to address  
10 the complications of payers, providers,  
11 specialists, but somehow with just a few short  
12 questions every year going to that primary care  
13 provider, that medical home, has been a really  
14 foundational starting point to capture what you  
15 need. And we already know which kids are  
16 identified by newborn screening, we already know  
17 that, so it's just figuring out how to tie in  
18 those gaps. So, I don't know if you have a few  
19 comments, Dr. Ostrander.

20 DR. ROBERT OSTRANDER: Hi, I'm Bob Ostrander  
21 with the Academy of Family Physicians. So, what  
22 I brought up yesterday was that perhaps we -- I

1 may disagree with Carol a little bit because I  
2 think we need to get out of the weeds and, you  
3 know, stop asking everybody how they're doing it  
4 and talk about how we could make the  
5 recommendation, I guess, though maybe it's not in  
6 our purview to do that, about a system that might  
7 move us forward. And, my experience with and my  
8 thought would be, to model it on the NICU  
9 graduate kind of follow-up programs that most  
10 university programs and NICUs have, where they  
11 send out an annual follow-up. Cancer registries  
12 do this a lot, both for kids and adults, as well,  
13 to the primary care physician and probably to the  
14 specialty clinic that diagnoses, with, again, a  
15 handful of our most fundamental questions about:  
16 "Are you getting care? Are you still alive? Are  
17 you getting extra help in school? Do you still  
18 see this patient? If not, do you know where that  
19 patient is?"

20       And if we could encourage or recommend that  
21 this be replicated for kids with special  
22 healthcare needs and in the subset of kids with

1 confirmed positive or newborn screens, that might  
2 be a way to move the needle forward. Granted, we  
3 wouldn't get 100% of the kids with positive  
4 newborn screens but since, especially with kids,  
5 the large proportion of kids with serious or  
6 complex illnesses are connected to a specialty  
7 center, my thought was that perhaps the specialty  
8 centers doing what they already know how to do,  
9 having technology in place, could be the center  
10 in the sorts of primary responsibility and then  
11 figure out how to link and network them in a way  
12 that the data could be shared and done  
13 nationally, rather than having it be done in  
14 parallel, in a whole bunch of clouds. So, that  
15 was my initial discussion and I know Joe and I  
16 are going to try to flesh out some straw man  
17 version of what might look like a recommendation  
18 for the subcommittee to look at.

19 UNKNOWN SPEAKER: Thanks, Bob. I think that  
20 gives us a good example of the kinds of things  
21 that we talk about in our workgroup and as Bob  
22 ended up saying, he and Joe Schneider are going

1 to work to put something together that we can  
2 respond to in our next workgroup call.

3 MS. CAROL GREEN: That's great. It's still  
4 the data. I'm still interested in making sure  
5 that somebody's there to provide the care. We  
6 just got cut again from the state of Maryland,  
7 not because the state of Maryland wants us to,  
8 but we haven't had an increase in the funds that  
9 allow us to provide services for about 20 years,  
10 and they managed to keep the cut to four percent  
11 but that's a cut of four percent plus raises that  
12 people get and I think that's an issue and I  
13 think we need to tackle it.

14 DR. JOSEPH BOCCHINI: Thank you. Other  
15 questions or comments around the table? How  
16 about on the telephone?

17 MR. CHRIS KUS: This is Chris Kus.

18 DR. JOSEPH BOCCHINI: Yes, Mr. Kus, go ahead.

19 MR. CHRIS KUS: I represent ASHTO and I guess  
20 one of my comments, and it really goes toward the  
21 environmental scan and whether Alex or K.K. are  
22 going to look at the issue of current resources

1 devoted to long-term follow-up? And where do  
2 those resources come from? Are they federal  
3 dollars? Are they state dollars? And I suspect  
4 there's a real difference across the country  
5 about that with some states committing more  
6 toward long term follow-up, but I think that, to  
7 me, is one of the limiting factors in doing long  
8 follow-up.

9 DR. JOSEPH BOCCHINI: Thank you for that  
10 comment. Other comments from the telephone?

11 MR. CHRIS KUS: This is Chris Kus again from  
12 ASHTO. I just have one comment and it relates to  
13 Bob's comment, because I'm from New York State  
14 and when he talks about the NICU model, the one  
15 thing about it is it's really specific to the  
16 center as to how they decide how they're going to  
17 follow up infants in the NICU. There isn't, to  
18 my knowledge, kind of a model that goes across  
19 those centers. We work with NICUs in quality  
20 improvement but I'm not aware that there is a  
21 common model for follow-up.

22 DR. JOSEPH BOCCHINI: All right, if there are

1 no other comments. Again, thank you Jeff. I  
2 look forward to this coming through.

3 DR. JEFFREY BROSCO: Thank you.

4 DR. JOSEPH BOCCHINI: Next is the Laboratory  
5 Standards And Procedures Workgroup update and  
6 Kellie Kelm, the Chair, co-chair.

7 DR. KELLIE KELM: Thank you for fixing the  
8 date too. Good morning. So, Lab Standards And  
9 Procedures Workgroup: We had a lively meeting  
10 yesterday and really our focus was on just two  
11 topics, but to start, we did have new members for  
12 the workgroup and I have them start here:  
13 Rosemary Hage was able to join us from Ohio,  
14 Bonnie Taffe from Florida was not able to join us  
15 yesterday but she is a new addition, and, Liz  
16 Amos is replacing Rebecca Goodwin from NLM. So,  
17 we're happy to have the new folks. As I said  
18 during yesterday's discussion about -- talked to  
19 Dr. Bocchini about -- ongoing discussion on risk  
20 assessment and cut-offs, so we spent about half  
21 of our time talking about that. The question  
22 was whether or not the workgroup would have

1 recommendations for the committee to consider and  
2 chew on, on whether there's policies to talk  
3 about that states should consider regarding the  
4 risk assessment and cutoffs.

5 And, so these were after our discussion went  
6 around and around. I think these were the things  
7 that we had currently based on discussions  
8 amongst the group as well as the presentation  
9 yesterday by CDC.

10 So, number one, we thought that states should  
11 have written processes in place and so this is a  
12 robust process, or SOP, the idea that they should  
13 have something written down about how they will  
14 go about the rigorous validation of the test  
15 systems to determine if a newborn is -- and  
16 obviously people have many different terms --  
17 normal in-range low risk, versus abnormal out-of-  
18 range high risk. Also, they should have a  
19 written process in place for how they're going to  
20 revisit cut offs in algorithms and that should  
21 include how often they're going to reassess their  
22 cutoffs in algorithms. Some states say that they

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 do it twice a year, but I think we've heard  
2 quarterly. So, once again, states should have  
3 their process written down and they should  
4 obviously follow it.

5 And, lastly, states should have a written  
6 process in place for how they're going to go back  
7 and review missed cases when they're brought to  
8 their attention and assess their program.

9 Another item that had come up, I believe  
10 during discussion yesterday as well in our group  
11 is that states should disclose available --  
12 transparently somewhere, for example on a website  
13 or with their program materials -- what the  
14 targets are for their newborn screening programs.  
15 So, we have heard that states sit down and figure  
16 out what they're screening for and not all states  
17 screen for the same things with each analyte.  
18 So, that should be transparent and available for  
19 the public, for physicians or anybody to find  
20 out.

21 And lastly, obviously talking about the  
22 normalization work at CDC which is going to be

1 moving forward, we would love to encourage  
2 participation in the normalization process  
3 whether at CDC or others, and what can come from  
4 that would be some downstream QA/QI efforts.  
5 Once we can normalize the data across the states  
6 then we can do a lot of work comparing but then  
7 also QA/QI efforts can come from that. So,  
8 that's only just starting and I think that it'll  
9 be great to see where that goes. I think that's  
10 really it for our slides -- oh, I think we do  
11 have -- that was related to the other topic. So,  
12 we do want to go back to the APHL Risk Assessment  
13 Guidance document. We heard that APHL has still  
14 been working on it and plans to finalize it next  
15 month and I do think that we want to circulate it  
16 to the workgroup and probably have a call in  
17 between now and the August meeting of the  
18 committee, to see whether or not, after revisions  
19 are done, see whether or not there are any  
20 recommendations regarding that document that we  
21 would have for the committee and talk to you guys  
22 about that either in August or whenever that

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 would be appropriate. We, I know, had just  
2 gotten a draft the night before, it would  
3 actually make more sense to wait until APHL has  
4 finalized it. So, I think that's it for us. The  
5 rest of the discussion was on the public health  
6 system impact survey.

7 DR. JOSEPH BOCCHINI: Thank you, Kellie, very  
8 much. Questions or comments? I certainly think  
9 that the development of a process and policy for  
10 states sounds like a very reasonable thing to  
11 pursue and, in tandem with the APHL document, I  
12 think would potentially be very helpful. So,  
13 questions or comments, committee members? Cindy?

14 DR. CYNTHIA POWELL: I applaud your including  
15 that states should state what their targets are.  
16 I think there's a tremendous amount of  
17 misunderstanding on the part of the public when  
18 you look at, you know, how many conditions the  
19 state lists and that one state might list 55  
20 conditions that they're screening for and others,  
21 you know, 30-some, and often it's based on sort  
22 of a theoretical that we, you know, should be

1 able to detect this condition but, in reality,  
2 they never have and it's probably unlikely that  
3 they would.

4 But, really, the public views it as "well,  
5 why is such and such a state screening for 22  
6 more conditions than my state is?" So, I think  
7 that would be very helpful to be more specific  
8 about it because some states really just include  
9 conditions that they know they've been able to  
10 detect through their methodology.

11 DR. DIETER MATERN: So, when it comes to  
12 listing what the targets are we talked about this  
13 not only in terms of the conditions that are  
14 screened for but, maybe also moving to a way that  
15 we can come up with -- or every state could come  
16 up with -- what are the targets and false  
17 positive rates and false negative rates of basic  
18 performance metrics. I was a little bit naïve in  
19 just saying why don't we just do it all the same  
20 way, but then we moved to where we said well  
21 maybe we can put those performance metrics up as  
22 targets, and try to really come to a uniform

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 screening panel not only in terms of what  
2 conditions are included, but also how the  
3 performance is across the country. It was asked  
4 about a federated system and how that discussion  
5 went because it was vivid in our workgroup.

6 DR. JOSEPH BOCCHINI: Good, some overlap.  
7 Other questions, comments? Telephone? Thank  
8 you, Kellie, very much. Appreciate it.

9 Okay, next we're going to hear from each of  
10 the workgroups, some of the key issues that were  
11 discussed and comments made related to the  
12 process for assessing public health impacts,  
13 specifically looking at the surveys and whether  
14 there are things that we're missing. So, here's  
15 the guidance that we gave the workgroup: High  
16 level revisions for the next iterations of  
17 surveys: Are there gaps in information collected  
18 which could be addressed by the surveys that are  
19 not being evaluated? And specific  
20 recommendations for adding or removing or, as we  
21 heard in education and training, maybe modifying  
22 some of those questions. So, we are in the same

1 order, so education and training first.

2 MS. CATHERINE WICKLUND: I'd welcome any  
3 comments from people who were in the ENT  
4 workgroup yesterday, too, as I try to go through  
5 this. I think it's a little hard unless you have  
6 the survey right in front of you, so we'll see  
7 how this goes. I think a couple of things came up  
8 and there are some specific things and also just  
9 some general comments that we had about the  
10 surveys themselves and we were lucky to have some  
11 people in our group who are on the public health  
12 side and were able to give us some feedback from  
13 their perspective in trying to answer some of  
14 these questions from their state perspective, so  
15 I think just in general some comment was to  
16 clarify the purpose again, that it really is an  
17 assessment of the newborn screening program  
18 itself, not the public health system, which is  
19 just the title of the actual survey, The Public  
20 Health System, and I think that there were a  
21 couple of clarity things making sure that the  
22 questions, when they were asking about screening

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 or if it was on the RUSP, was it really more than  
2 was on that state RUSP at that point or was it  
3 that they actually had started screening for that  
4 condition at that time, and we thought it really  
5 was about screening for that condition, so to  
6 just clarify that.

7       If you look at the survey itself there is a  
8 question that goes over funding and whether or  
9 not there are funding challenges with particular  
10 categories and the funding level of challenge --  
11 it's like, major challenge, minor challenge and  
12 not a challenge -- and with those they're tied  
13 closely to the year. So, less than one year  
14 would be a minor challenge, two to three -- I'm  
15 just kind of -- I think that's what it is --  
16 three or more would be a major challenge and I  
17 think what we were thinking of is the time always  
18 directly related to the fact that it's a  
19 challenge? You know, something could be not a  
20 challenge but take time just because there might  
21 be a lot of paperwork or processes that you have  
22 to go through, but you know you can do it, it's

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 just going to take some time.

2       So, we were kind of questioning the validity  
3 of tying the extent of the challenge directly to  
4 the time it would take and thought maybe those  
5 were two different questions. And again, I think  
6 if we are going to ask major challenge versus --  
7 what does that actually mean for some people?  
8 So, to get a little bit more nuanced about that  
9 might be helpful. Not-knowns should be an  
10 option. That's not really up there. And there  
11 was a suggestion that maybe taking those funding  
12 questions and maybe simplifying just to, like, is  
13 there funding for this, yes or no? Is there  
14 funding for this thing? Yes or no? And that  
15 might help us get a better handle on the funding  
16 issues.

17       The other thing that came up is whether or  
18 not -- and I know this has come up in our  
19 conversations before -- about whether the Public  
20 Health Department is the best person to be asking  
21 questions about the availability of specialists  
22 or impact on the clinicians, and I think that

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that varies probably state by state. Amy, who  
2 was on our call, felt like she reached out to a  
3 lot of the specialists and providers and had a  
4 good handle as to the wait times and how that was  
5 affecting the providers and the access to  
6 treatment, but I think that probably might be  
7 state dependent, so just considering whether or  
8 not we are actually the right person the question  
9 and that they can actually answer it was  
10 something we thought to consider.

11 So, 6B, what was that about? I think we had  
12 somebody on our committee or workgroup that was  
13 also just looking at the usefulness of using some  
14 of the Likert scales that we use so there's five  
15 different categories for 6B, and I guess it's a  
16 question to Alex and K.K., like how is that data  
17 used? And I can't remember if it's collapsed  
18 ultimately in the long run, you know in different  
19 Likert categories, or is it truly helpful to have  
20 as many as we do? So, that was just probably  
21 more of a data analysis question that we asked.

22 And then I think question seven, too, which

1 is on how long, again, will it take to do these  
2 things? Thinking about reframing it from how  
3 long to startup to implementation because I guess  
4 also we were wondering the accuracy or validity  
5 of these answers. These are all hypothetical and  
6 I think people do their best guess, but we don't  
7 really know, obviously, how long it really is  
8 going to take. Do we need more choices or just  
9 ask specific numbers because right now they're  
10 categorized in different years? And then we had  
11 a suggestion that maybe asking about things that  
12 may inhibit you from reaching that goal, so  
13 unforeseen circumstances that might happen that  
14 would interfere with your ability to reach the  
15 goal that you have, so again, just some ideas.

16 The general thoughts we had is whether or not  
17 we should expand questions to include issues of  
18 equity and disparity. Should we expand and  
19 address sustainability of the program? There was  
20 a comment, too, that the initial survey is  
21 hypothetical, the follow-up survey might be more  
22 important because I think that captures a little

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 bit more hard data as to what is actually  
2 happening.

3       Then the other issue that we had a  
4 conversation about was just the transparency  
5 about how this information is actually weighted  
6 within the decision matrix when we're thinking  
7 about adding things to the RUSP and making that  
8 more transparent to the Public Health Department.  
9 What we don't want to do is get into a situation  
10 where we're asking all these questions and then  
11 Public Health Departments feel like, "well, it  
12 doesn't really matter what we say about the  
13 readiness or the feasibility of this, it doesn't  
14 matter in the ultimate decision of the  
15 committee." And that might be true or not true,  
16 I don't know. I just think we need to be -- and  
17 you guys are a great direction in reviewing the  
18 evidence matrix and I think that this is a good  
19 one to really examine a little bit more carefully  
20 as to how much is this really weighted in that  
21 matrix?

22       The other thing -- so this is more specific

1 on the actual second survey, the follow-up  
2 survey, and probably just more comments about the  
3 questions themselves, as just trying to specify  
4 the various phases of implementation a little bit  
5 better, maybe add some more specific probes under  
6 the questions if there are certain things that  
7 you want to get at. The methodology questions,  
8 we wondered if they were beyond the scope of the  
9 actual survey. This is becoming, it seems like,  
10 a bigger issue when we were talking about  
11 normalization and harmonization, and there's  
12 methodology questions on there and I guess the  
13 question is are we really getting the data about  
14 the methodology from those set of questions? Is  
15 that good enough or are there other ways now to  
16 get that data and more focus that we're going to  
17 get about that? So, we wondered if that should  
18 just actually be a part of this or not. There  
19 was also a suggestion to assess downstream impact  
20 or unintended consequences and whether or not we  
21 should have other stakeholders that we're  
22 interviewing, either, again, going back to the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 specialists who are providing care that can talk  
2 a little bit more about the impact on their  
3 practice, or the families themselves, but again  
4 we know that we have a limited amount of time in  
5 which to do this review, so that was also an  
6 issue that was brought up. And I think that's it  
7 for us.

8 DR. JOSEPH BOCCHINI: Thank you, yeah, I  
9 think if anybody has any quick questions they  
10 could do that, but I think it would probably be  
11 better to see everybody first, and then any --  
12 let's do all presentations and then we'll talk,  
13 thank you.

14 DR. JEFFREY BROSCO: So, the things that  
15 we're going to present -- I think it was better  
16 for me to sit down and do it from here because  
17 they're not really that we decided and came to a  
18 consensus and here are clear recommendations from  
19 our workgroup, it's more like "here are some of  
20 the ideas that we came up with and observations  
21 we made as part of our group discussion."

22 So, one of the things you see we underlined

1 and bolded "system" because as Cathy pointed out  
2 this really is about the public health system  
3 impact and not really about opportunity costs,  
4 public health and broader population issues. One  
5 of the things we did was similar to Cathy and her  
6 group is who exactly is answering this? One of  
7 the things that came up, I think it was Sue, said  
8 "look, when you ask lab people, they're like,  
9 'good people want to take care of babies,' so you  
10 say, 'can you screen for this?' They say yes,  
11 because they really want to. So she wondered,  
12 are there ways to think about who exactly we're  
13 asking so that we get a range of answers and, of  
14 course, the way it's done -- you see the wording  
15 on it, it says, "please ask other people in your  
16 state so you get broad answers." It's not mean  
17 to be just one newborn screening person.

18 Another thing that came up was, is there a  
19 way to distinguish between early adopter states  
20 and more conservative states? The ones that  
21 immediately are always excited about the newest  
22 thing on the RUSP, and the other states that are

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 sort of holding back and if that's a useful kind  
2 of distinction to make in figuring things out. A  
3 lot of states have advisory boards. Should they  
4 be part of answering these? And particularly,  
5 the very last question, which is about what the  
6 public health opportunity costs -- and we'll come  
7 back to that -- should that be a place where the  
8 public health leadership is involved and not just  
9 the newborn screening because it's harder for  
10 them to judge that?

11 Another big theme that came out of our  
12 discussions was the idea of time and how this  
13 might be a less useful kind of way of figuring  
14 things out and Cathy mentioned question five  
15 where it said, 'how hard is it to get funding?  
16 Less than one year, one to three, and so on? But  
17 for a lot of states now there's a mandate that  
18 says that as soon as it's on the RUSP you have to  
19 do it and in our state is that as soon as it's on  
20 the RUSP you have a year to decide and then 18  
21 months to implement, whether you have money or  
22 not. And so a lot of labs just have to do it,

1 and so money is not necessarily tied to time and  
2 we'll come back to that at the end.

3       The other thing is that if something's  
4 politically important, then time suddenly  
5 contracts, right? If it becomes an important  
6 thing then you're going to do it tomorrow because  
7 that's what whomever in the state thinks it's  
8 really important suddenly.

9       And the last thing about time is that the  
10 answers on this survey we would have to  
11 acknowledge it's just a snapshot in time that can  
12 change.

13       Another set of things that came up really  
14 from our group that was sort of a big theme was,  
15 yes, the questions about follow-up and treatment  
16 are there but it feels like they're hidden. It  
17 feels like they're not really part of it because  
18 of the way they have a sort of different line and  
19 tables, I think five and six. So, one of the  
20 questions we had was could we separate out those  
21 survey sections so there's a lab section and  
22 maybe a section about clinical resources and

1 follow-up in that so it's clear that there's sort  
2 of a separate set of issues there for us to focus  
3 on.

4 One of the other quick points is don't forget  
5 point of care is also newborn screening. It's  
6 very lab-oriented but if you're doing hearing  
7 screening then a lot of the questions seem less  
8 applicable or another.

9 Then to sort of try and put some of this  
10 together, as Cathy was talking about a little  
11 bit, really what's the purpose of this and who  
12 are the different audiences? Yes, on one hand  
13 it's about for our committee to help decide about  
14 new conditions and maybe we have some discussion  
15 about that and how important it is, but it also  
16 can be helpful for stakeholders to understand how  
17 easy or hard it is to implement a new condition.  
18 So, yesterday as soon as it hits the RUSP you can  
19 implement as a state but some things may be  
20 harder or easier, so getting a time line, sort  
21 of, "here's what the 50 states say is how long  
22 it's going to take," can help temper expectations

1 and give people a sense of how long something  
2 will take.

3       A lot of it, of course, is the big question:  
4 How hard is it? How painful is it? How much  
5 burden is it to take on this new condition? And  
6 you can imagine that that bigger question is made  
7 up of a lot of the littler ones. So, in those  
8 tables where they have all the different rows,  
9 it's not so much that the specific answers matter  
10 in those rows but that it gives the people  
11 answering the survey a chance to think, "aha,  
12 yeah, I have to think about lab testing, I have  
13 to think about follow-up, how many false  
14 positives would I have?" and then going through  
15 an exercise and at the end of it you can then  
16 say, "okay, how hard is it really going to be?"

17       So, what is that global question exactly?  
18 We'll come back to it, but it probably is a few  
19 different components. They're numbered there one  
20 through four. So, technically how hard or easy  
21 is it to scan, to screen? And it seems like, for  
22 example, that GAMT is relatively easy because

1 you're adding to MS/MS, but there might be things  
2 that it's an entirely new technology the state  
3 has no experience with and it's going to be a  
4 huge amount of work for them to do it. So, you'd  
5 like to have a sense of that obviously. And then  
6 how many infants will need follow-up? What's the  
7 prevalence of the condition? How many false  
8 positives, how many indeterminates? So, that  
9 could be a huge issue for your follow-up program  
10 or it might be very small. Clinical resources  
11 similarly: Are there specialists readily  
12 available? There's lots of pediatric  
13 cardiologists that are eager to take on kids, or  
14 there's three clinical geneticists in your state  
15 and they're already overwhelmed.

16 And this last thing, it's hidden in there in  
17 the last line, is "is it a public health  
18 priority? So, is this new condition part of what  
19 your public health strategic plan is? For  
20 example, a lot of our states are dealing with the  
21 opioid crisis, so if you add a newborn screening  
22 condition related to that, that would fit in well

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 with what your public health system is already  
2 doing and so, therefore, would really be  
3 consonant with what your public health system is  
4 there for. Otherwise, there may be opportunity  
5 costs. A lot of state programs don't get any  
6 additional resources. They just get a mandate to  
7 add something, so there's a true opportunity  
8 cost. That means they have to stop doing  
9 something that was a priority before in order to  
10 do this.

11 Then, if you take those four sort of things  
12 where it might be easier or hard on that scale  
13 for all of them, it then gets into the real  
14 "practical" issues if the resources are  
15 available; because most state newborn screening  
16 programs would say, "well, if you gave me all the  
17 resources and all the FTE's I needed, of course  
18 we can screen for it and we can start right away.  
19 And if you're not going to give me the resources,  
20 then we're going to have to figure it out as we  
21 go." So this makes it really hard the way the  
22 questions are worded about -- "how many years

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 will it take you to implement this?" Well, in  
2 some states it's like "well, we have to do it  
3 within one year, will we have resources or not?"  
4 So, trying to figure out what that big question  
5 may be is hard to figure out. I think we tried  
6 one version of it here but we didn't get to the  
7 point of figuring it out, which is, I think,  
8 something like in the middle there: "Given your  
9 state's experience with adding new conditions,  
10 how hard will it be to add this new condition?"  
11 So, given what your experience has been like the  
12 last few years, you know, how much of a burden  
13 will this be? But that's just one sample of what  
14 it might be like and it's kind of where we ended.  
15 Thank you.

16 DR. JOSEPH BOCCHINI: Great, thank you.  
17 Kellie?

18 MS. KELLIE KELM: So, let me look at my notes  
19 again. I think a lot of the discussion that we  
20 had, and I'd really like some of your feedback on  
21 pulling some of the other factors out, but I do  
22 think that one of things that came up was the

1 fact that a lot of the questions actually ask you  
2 to say you already have authority and you have  
3 funding. And I think what we heard from a lot of  
4 the people in our group, is that that actually  
5 can be one of the biggest issues. So, a lot of  
6 the answers to the questions tend to be the same  
7 in terms of timeline from state to state, but I  
8 think many people thought that we actually do  
9 need to capture better how long it takes and how  
10 hard it is to secure funding and/or authorization  
11 which can also vary state by state by a lot.

12 So, we do think the survey should capture the  
13 impact of securing funding and authorization to  
14 screen for a new condition.

15 In general, our thoughts were that a lot of  
16 the factors and activities in those tables are a  
17 little bit too much method specific and so you  
18 could, especially since it's going to be used  
19 potentially for a lot of surveys, it should  
20 become method agnostic. So, instead of onsite  
21 genotyping as part of the second tier test you  
22 should just state second tier tests available

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 onsite if needed.

2 I think, in terms of we had one question we  
3 thought we could remove which was, "how long have  
4 you had this position?" and the other thing that  
5 we thought -- you know I think a lot of the  
6 discussion has been about what has state  
7 experience been and have we captured that? And  
8 so we heard that NewSTEPS has a readiness tool  
9 which has a lot of the questions from the survey  
10 but the idea is to actually go to states and ask  
11 them to look back, so it's a retrospective look  
12 at how they're doing in terms of implementation  
13 of things that have been added to the RUSP. So,  
14 first of all, we actually think that information  
15 that NewSTEPS has been collecting would be very  
16 useful for the community here, but the other  
17 question was whether some of those questions  
18 would be useful for us to look at as we craft the  
19 survey, and I did have that back a few pages so I  
20 might make everybody dizzy as I go back and show  
21 you a picture. So, this is just a snapshot of  
22 the authority questions and the funding questions

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 from the NewSTEPS readiness tool, and let me tell  
2 you that right now NewSTEPS has actually gotten  
3 it for three conditions: So, for X-ALD, Pompe  
4 and MPS I. They actually have gotten survey  
5 results from 45 states. Once again, this is a  
6 snapshot looking backwards at them implementing  
7 screening for those three conditions. And so we  
8 can find about, for those three, what has their  
9 experience been in terms of -- and there's more  
10 to the right here, I had to cut it off to put it  
11 on, so we could find out about states that  
12 haven't started screening, what ones have started  
13 screening, how long it took and what were the  
14 gaps and barriers for the ones that aren't  
15 screening and what they thought about this whole  
16 thing. And NewSTEPS has gotten surveys from two  
17 states on SMA, so they're starting to collect  
18 that information for SMA as well. So, I do think  
19 that finding out about what these 45 states have  
20 responded to in terms of these three conditions  
21 on and looking back and telling us what the  
22 issues have been also could help inform the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 survey, but also I think the committee would love  
2 to see the snapshot and see what the experience  
3 has been because they have that data and I think  
4 it would be useful to have it.

5       Those are just the thoughts, high-level  
6 thoughts from the committee and I do think that  
7 what we heard was that most of them do reach out  
8 and talk to other people in their group so most  
9 of them know that it's coming and when they hear  
10 that it's gone to evidence review, they know that  
11 they're going to be asked to do this survey for  
12 their state and most of them will take the time  
13 to reach out to experts, the downstream, the  
14 follow-up, and get them involved in the survey,  
15 so most of the time it's not just the lab people  
16 filling out the survey. That's it from us.

17       DR. JOSEPH BOCCHINI: Thank you very much.  
18 So, clearly there's some similar things from each  
19 of the workgroups and then some very specific  
20 differences in some of the aspects were covered.  
21 All of this is very, very helpful, so let's open  
22 this up for discussion and some views from

1 committee members first on where we are and  
2 potentially other things related to these  
3 surveys. Scott?

4 MR. SCOTT JONES: I think a couple different  
5 items, some of the guidance from \_\_\_\_\_ were  
6 around trying to keep it more high level because  
7 of the OMB process and I think what we're hearing  
8 is that there's potentially more depth that we  
9 need to get into on this document and I want to  
10 make sure that it's clear in terms of what is  
11 being asked of the committee and how much  
12 opportunity for change there is and that goes  
13 into my next two points which is everybody  
14 brought up, every workgroup brought up the idea  
15 of this system, that system's the name but that  
16 we're not really evaluating the system and so I  
17 think Cathy's point of defining the first -- I  
18 don't remember what the first bullet was -- but  
19 my recollection that this is supposed to be  
20 looking at the system and why everybody asked  
21 who's being -- where's this data coming from?  
22 Who's asking whom? Are we getting an accurate

1 picture and I think that needs to be the focus.  
2 But it's only worth the effort if we do decide  
3 that this is going to play a role in our  
4 decision, and to the transparency issue Cathy  
5 said that we need to be transparent to the Public  
6 Health Department; I think we need to be  
7 transparent to the committee because it's not  
8 clear. I don't think -- as a member of this  
9 committee it's not clear how much this is  
10 supposed to be weighted. I think it needs to be  
11 part of the process. It's a little unfortunate  
12 that we're now embarking on a review of the  
13 evidence review process at the same time that  
14 we're talking about this, and so I think that's  
15 going to make it hard because you're trying to  
16 decide how much a document that's currently being  
17 revised should play a role in the process. But I  
18 think we have to decide if it's going to be part  
19 of the process; otherwise this is just an  
20 exercise in an exercise and why are we wasting  
21 everybody's time? Throughout the last two days  
22 it's been a discussion of where are we gaining?

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 Are we burdening the system with activities that  
2 don't actually have a net contribution and this  
3 is just one of them? And it's almost like an  
4 overall number of false positives, using a survey  
5 to fill out but we're not going to actually take  
6 it to heart and use it in part of a decision.  
7 So, I think we need to decide that -- my  
8 recommendation is that it is part of the review  
9 process, it has weight, and that the time and  
10 effort it's for the public health programs to put  
11 into it to assess their system, not just a test  
12 and not just an individual program, is crucial.

13 DR. JOSEPH BOCCHINI: Yeah, that's a very  
14 important comment and I think there's no question  
15 that the goal here was to see if we could get  
16 better results. I mean, we clearly need an  
17 understanding of the impact on states when we  
18 make a recommendation, and having the data to  
19 help understand feasibility, readiness, total  
20 impact is incredibly important and so, no matter  
21 what we do in terms of making sure that it's  
22 transparent and plays a role as we do the

1 evidence review, we need to see whether we've got  
2 gaps in the information that we are now  
3 collecting and I think it's clear that it's  
4 important that we develop an approach for the  
5 system rather than just the lab or one part. So,  
6 I think you're absolutely right, Scott.

7 MR. SCOTT JONES: Can I make just one  
8 clarification about the language that I was  
9 using? Because you're right, there's the lab and  
10 then the public health system program, but I was  
11 also talking about broader things. Because if  
12 you said to me "what's the public health impact  
13 of vaccinations?" I would think about the entire  
14 population and how that changes morbidity and  
15 mortality for a population, so typically when we  
16 say what's the public health impact? You mean at  
17 a broad population level and we're not really  
18 saying what the public health impact of SCID,  
19 right? We're not saying, "well, we found three  
20 kids last year in our state and there's four  
21 million -- we're not doing that kind of  
22 calculation, so when I say the "public health

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 system" I meant more of the newborn screening  
2 public health system. What's the impact on that  
3 system? So, just to be clear what my language  
4 was.

5 DR. JOSEPH BOCCHINI: DIETER?

6 DR. DIETER MATERN: So, it's no secret that I  
7 have never been a great fan of the matrix because  
8 I always thought that if you determine the  
9 readiness and we get those results back and then  
10 it doesn't meet higher readiness, then nothing  
11 would get added on the RUSP anymore. Now, that  
12 hasn't happened and we'll see what happens to  
13 SMA. I think it is important to get that  
14 information from the states otherwise because if  
15 there are roadblocks that the states experience,  
16 individual states, because of funding, because of  
17 whatever it may be, it's important to identify  
18 those so that also those stakeholders that  
19 actually came to the committee to nominate a  
20 condition or to get it on the RUSP, so all you  
21 patient advocacy people out there, can identify  
22 which states you should go next to to make sure

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that what this committee recommends and where the  
2 secretary might agree with us is actually getting  
3 on the state's RUSP. So, from that perspective I  
4 think it's important to know where are the  
5 hurdles.

6 MR. SCOTT JONES: If I could follow up on  
7 that, I think it's really important what Dieter's  
8 saying, because if you think about the entire  
9 system that we're working in, at some point  
10 someone does have to say, "well, is it worth it  
11 for us as a society to screen for this condition  
12 if it happens one in 200,000 times?" And at the  
13 end of the day that's the responsibility of a  
14 legislature and an executive branch to decide how  
15 we do that. By having a really clear idea of  
16 what it's going to cost our state to do it -- you  
17 know, this is what we would have to do, how many  
18 FTE's, what lab would we need, what we need in  
19 terms of treatment and follow-up, then there can  
20 be a rational decision about that. It's not for  
21 us to decide it, so that's a corporate, political  
22 kind of decision in each state. So, I think

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 you're right, this information from that point of  
2 view is very helpful too.

3 DR. JOSEPH BOCCHINI: Other comments? Any  
4 discussion from APHL, or states' representation  
5 or evidence review workgroup that might help with  
6 additional comments?

7 MR. ALEX KEMPER: I'll start. So, let me just  
8 state first of all I think this is an incredibly  
9 important conversation to have. As far as I  
10 understand, the authorizing language for the work  
11 that we do states that there has to be a public  
12 health impact assessment, and in thinking through  
13 how we do the evidence review, we've really  
14 separated out the public health impact that Dr.  
15 Brosco talked about in terms of if you were to  
16 adopt screening what would be the impact on the  
17 population? So, if you were to adopt SMA  
18 screening, how many newborns with SMA would be  
19 detected and what would be the expected outcome  
20 on their health? That's the kind of thing that  
21 we presented through decision analysis with Lisa  
22 Prosser.

1           And then separate from this we've done the  
2 public health system impact assessment so the  
3 impact on the newborn screening programs and  
4 we've really been challenged to set this up in a  
5 way where we have a tool that we can use each  
6 time, because of the OMB requirements and to get  
7 the nuance that you all have been talking about  
8 and I personally don't feel like we're there yet,  
9 and so I really appreciate the conversation.

10           So, there have been things that have been  
11 brought up like you know this issue about  
12 funding. Well, when we initially put it together  
13 we were concerned that we said if we included the  
14 process for getting funded everyone would say  
15 "well, that's the major barrier and we'd never  
16 get to these other issues" but I realized that  
17 we've sort of swung too far by excluding the  
18 funding question, but I'm not sure how we do that  
19 in a way that really gets to what we need.

20           The other thing, and this is from the people  
21 that -- I think the people that run newborn  
22 screening programs are real heroes, so if we ask

1 can you do something and can you do it in a short  
2 period of time, the answer is inevitably yes, so  
3 how do we get to a meaningful expectation of how  
4 long it takes to do things, I'm not really sure.  
5 So, the bottom line I guess I'd like to express  
6 to the committee and everyone else is that we  
7 really, really appreciate that you all are  
8 struggling with these questions and I look  
9 forward to getting to 2.0 of this instrument, but  
10 I have no doubt that there's going to need to be  
11 a 3.0 and a 4.0 as we refine this and as what  
12 newborn screening is becomes more and more  
13 complicated and there's more point of care tests  
14 and that kind of thing, so let me just finish by  
15 saying again, I don't think we're really there  
16 yet and I appreciate any input that we can get in  
17 terms of how to get to where we want to go.

18 DR. JOSEPH BOCCHINI: Thank you Alex. Sue?

19 DR. SUSAN BERRY: Alex, thank you, but I just  
20 want to reiterate something Jeff said, I don't  
21 think -- this is Sue Berry and thank you -- I  
22 love this sign, we should have a happy face on it

1    though, please -- or maybe an unhappy face.  So,  
2    when Jeff talked about the system, yes he was  
3    talking about public health in the global sense,  
4    like vaccinations, but I think what we were  
5    really trying to focus on and point out is that  
6    it's more than just the public health laboratory  
7    that encompasses the newborn screening system.  
8    And that's been reiterated in what the newborn  
9    screening process is, it's a process, not an  
10   event, and then the follow-up team is part of it,  
11   and then you start moving a little further away  
12   from the public health laboratory in a state to  
13   the resources that are required to actually  
14   manage another condition, which is all of the  
15   providers, the facility for being able to have  
16   enough -- I don't know the things that come into  
17   the related activities, and we just don't touch  
18   on that if we focus only -- as much as we love  
19   our public health laboratories -- if we only  
20   focus on them we're underestimating the system  
21   costs, and I would really like us to make sure we  
22   at least pay some attention to that impact as

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 well.

2 MR. ALEX KEMPER: Can I just add in? I mean,  
3 I 100% agree with you and then you get into all  
4 these complicated challenges too where a lot of  
5 these children, and some cases adults if you're  
6 talking about late onset diseases, are going to  
7 find their way to care anyway and so you end up  
8 having to think about the delta as well. So,  
9 going back to SMA, the treatment for SMA is  
10 complicated and expensive but at which point do  
11 they interact with the healthcare system  
12 comparing newborn screening relative to what  
13 would happen with public care, so it becomes  
14 very, very complicated quickly, and I 100% agree  
15 with you that it would be nice to look at all  
16 these downstream effects, but the one other plea  
17 that I would put in is to remember that under the  
18 current legislative rules for how we operate that  
19 this has to be done within the nine months, and  
20 so that includes all the up front evidence review  
21 as well as this part.

22 DR. SUSAN BERRY: So, an element in this then

1 also becomes the speed with which you can approve  
2 outcomes. A late onset disorder, while I will be  
3 happy if that will be helpful to somebody  
4 eventually in their life that we know that, the  
5 real impact for newborn screening is how does it  
6 impact immediate outcomes for kids? And that  
7 does have an immediate downstream effect. It is  
8 temporally discoverable, I think.

9 MS. CAROL GREEN: I wanted to focus on the  
10 process. So, it sounds like lots of input and  
11 I'm struck by the 3.0 and the 4.0 and, of course,  
12 we know everything changes, and one of the things  
13 that I think I've seen over the years the  
14 committee struggle with is the challenge of, and  
15 sometimes getting criticized for, a continually  
16 changing -- like "we did it with these rules and  
17 now we've got our experience and we realize that  
18 the rules or the protocol or the practice or how  
19 we judge, needs to be improved and then we do the  
20 next two or three with another set of rules, and  
21 there's a sense in the community that it's a  
22 moving target and that's hard.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1           And it's also not possible to get away from  
2 that because newborn screening is a moving target  
3 and healthcare is a moving target and everything  
4 is changing, and you do want to do quality  
5 improvement, the committee with its practices  
6 just as much as healthcare and the labs and  
7 everything else, but you do want to try to get it  
8 as right as you can the first time. And I don't  
9 know, I assume most people in the room know that  
10 if you ask more than -- I think it's nine people  
11 -- the same question, then you have to go through  
12 OMB to get permission, so you can't even change a  
13 period. You can't change anything and once  
14 you've got it, it's stuck. So, I wonder if in  
15 the process with all of these ideas of  
16 improvements if there's any way for somebody like  
17 APHL or NewSTEPS or somebody to pilot a new  
18 draft and get a sense from people, does this  
19 improve their ability to communicate what they  
20 feel? Does it make it more clear what's being  
21 asked? Does it make it more clear what questions  
22 you -- and that it's not about a three month

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 study to get input from everybody, that it's  
2 trying to get a snapshot right now of, if we told  
3 you right now, how hard would it be? But,  
4 without going into any more details, is there a  
5 way to get somebody to pilot it?

6 DR. JOSEPH BOCCHINI: I think that's  
7 certainly an important potential step that we  
8 could take to pilot and get a better  
9 understanding and I think certainly -- are there  
10 people in the audience from the states that have  
11 either filled out one of these forms? Or been  
12 involved with it that want to speak to whether  
13 you believe that it addresses the issues that we  
14 are talking about appropriate, or what gaps you  
15 feel that it is not addressing, that might,  
16 again, be helpful as we put this together? So,  
17 Debbie and then Susan.

18 DR. SUSAN BERRY: So you're gonna get two  
19 perspectives from the same state. We have filled  
20 these out and we have tried to disseminate them  
21 as broadly as possible. We do share it with  
22 whatever specialty is involved as well as a

1 variety of other folks; however, the feedback we  
2 get from sort of outside of our internal system  
3 is limited. I mean, you have short time frames,  
4 you don't get large response rates as with any  
5 survey. So, it still feels like for us, or from  
6 my standpoint, that presumption of if funding was  
7 available really changed the whole tenor of how  
8 you would answer this because obviously if you  
9 had funding and you had space and you had FTE's,  
10 everybody's going to say, "yes, we can do it,"  
11 but the reality is that that funding is that big  
12 hurdle and also from my standpoint it seemed like  
13 this still was focused mainly on the laboratory  
14 aspect, that it did not encompass the follow-up,  
15 and granted there are some issues we can't  
16 address, like work force issues. We know there's  
17 nothing as a public health program right now you  
18 can do about what's out there in the work force  
19 to be able to take care of these kids, and the  
20 lack of geneticists and metabolic docs and all of  
21 that. I mean, we're well aware of that, but it  
22 seemed like this was really just focused on more

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 of the laboratory aspect and that the follow-up  
2 was almost like an afterthought and the broader  
3 system really wasn't being addressed and it may  
4 be beyond the scope of the committee, but that's  
5 kind of where my thoughts are on filling this  
6 out.

7 DR. JOSEPH BOCCHINI: Thank you, Susan.

8 DR. KELLIE KELM: I think there are a lot of  
9 really good suggestions that have been made by  
10 all three workgroups. One of the longest  
11 discussions we had in the lab workgroup was on  
12 the issue of the authority to screen itself. So,  
13 we didn't really talk about the funding so much  
14 except to say we really shouldn't assume that  
15 everybody has funding because that part really is  
16 a large -- takes a large chunk of time -- but  
17 there were a lot of comments about the authority  
18 to screen and that there are different processes  
19 in states, and although there are some states who  
20 are required to screen when it's added to the  
21 RUSP, there are probably more that are not --  
22 that don't have that within their law, and so

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 there are different processes within the states  
2 for that.

3 In regards to the question surrounding the  
4 follow-up aspects, the condition review workgroup  
5 consults a group of experts for the particular  
6 condition, and I've had two different thoughts.  
7 One was: Should there be a separate survey that  
8 would go specifically to specialists and then  
9 you'd get a broader spectrum within -- across the  
10 state or should we have some very specific  
11 questions that get asked of the expert panel in  
12 the condition review workgroup that might also  
13 address some of these issues. We typically talk  
14 about what are the diagnostic tests and the  
15 availability of those and such, but that really  
16 is targeted to a very specific group of experts,  
17 but we may be able to broaden the questions  
18 possibly and avoid a secondary survey.

19 The other thought I had was using the  
20 existing survey, or the 2.0 version, you know,  
21 what are the questions that we need to expand?  
22 How would we need to reword them so that we could

1 get better information from each state? And,  
2 like Dr. Freedenberg said, although we send it  
3 out to our group of specialists and we also send  
4 it to our newborn screening advisory committee,  
5 we are limited on how we respond to how they  
6 respond, and

7 sometimes the answers we get back are  
8 completely conflicting, so then we're torn as to  
9 how to respond, because we have an opinion, we  
10 have a specialist with an opinion, and then we  
11 have another specialist with a completely  
12 opposite opinion and so having to interpret that  
13 and then fill in one blank for it is difficult as  
14 well.

15 DR. JOSEPH BOCCHINI: Thank you for those  
16 comments. Debbie?

17 DR. DEBBIE FREEDENBERG: I just wanted to add  
18 a little more. So, in our state we're large and  
19 we have multiple centers and specialists for all  
20 of these and the resources at each of these  
21 centers is not equal around the state and so we  
22 do get very discrepant responses, but those are

1 the realities with those particular centers, and  
2 so it may be fairly easy and one center may have  
3 capacity in the specialty group and the others  
4 are just totally overwhelmed and say "no way,  
5 nohow, don't send me any more." And so I think  
6 that the recognition that there is this unequal-  
7 ness of resources across the state and that when  
8 you're trying to collate it and you have limited  
9 responses, it's difficult to come to really a  
10 consensus answer.

11 DR. JOSEPH BOCCHINI: Thank you. Yes, Jed?

12 MR. JED MILLER: Jed Miller, AMCHP. I'm  
13 going to build upon some things that were said  
14 about the authority aspect and what Jeff had  
15 mentioned earlier about context. I think that  
16 that part of it is just as important as thinking  
17 about the follow-up aspect the authority part  
18 seems like it's a challenge but it's also very  
19 valuable. At the same time, it seemed like there  
20 were some objective elements that could be  
21 discerned from a survey. For instance, even  
22 though there is heterogeneity across states, it

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 could be asked about if there's an advisory board  
2 of sorts. And thinking about what Kelly shared  
3 about with that matrix, but the timing, I guess  
4 beyond that it would be interesting to know from  
5 my perspective: Number one, if an advisory board  
6 has discussed it; number two, if it's come to a  
7 vote; number three, what the results of the vote  
8 were and how often -- how many times the vote  
9 came and then at that point if a recommendation  
10 was made to the state commissioner of health or  
11 secretary of health, and then from that point if  
12 it was accepted or not. So, there's all these  
13 different elements to the authority side and  
14 that's not even thinking about if things happen  
15 via legislation. But, again, even though it's a  
16 challenge to kind of gauge the context, I'm  
17 wondering if it might be a way to expand the  
18 survey in that realm.

19       The other thing I noticed in the survey is  
20 that the initial survey, the first three  
21 questions essentially say stop if the answer is  
22 yes, and given the things that I just mentioned,

1 it might be interesting to have those folks who  
2 say yes, not stop but go through and answer some  
3 of these other questions about what was the  
4 history here? Let's think about how this came to  
5 be in your state, and I think that that could  
6 inform things just as there's an answer "no"  
7 could inform things.

8 DR. JOSEPH BOCCHINI: Thank you. Joan?

9 MS. JOAN SCOTT: Joan Scott, HRSA. Actually,  
10 I wanted to also follow up on the comments that  
11 were made about authority and then funding. And  
12 one of my questions is how much does that change  
13 over time? So, is there another way to get --  
14 and maybe it's through the APHL, the NewSTEPS  
15 data, that shows us historically how long it  
16 takes to get authority and then, separately,  
17 funding, and does that really -- do we have to  
18 ask that question for every single new condition  
19 that comes on? Because does that really change  
20 quickly from state to state, or even if this is  
21 what we know about your state before, is there  
22 any differences?

1 DR. JOSEPH BOCCHINI: Let's have both, Susan,  
2 Debbie.

3 MS. SUSAN TANKSLEY: Susan Tanksley,  
4 Association of Public Health Labs. So, we  
5 discussed that also within our workgroup because  
6 the readiness tool and the information that's  
7 been gathered from that is fantastic, if 45  
8 states have filled that out for at least one of  
9 the conditions. And that really does follow up  
10 on a lot of the -- how long did it -- so the  
11 readiness tool says "how long did it actually  
12 take you to do something and what are these  
13 processes in your state, length of time, etc?"  
14 And I thought, well could we just use that  
15 information? It's recorded, it's there, but it  
16 could possibly change over time, so you could  
17 possibly ask all the questions in the survey or  
18 you could say, "has your information changed  
19 since the last time you did this? If so, fill it  
20 all out again, if not we'll use the survey data  
21 from prior." I think that NewSTEPS collects that  
22 information, and so NewSTEPS retains that for

1 the states so, therefore, we would have a way to  
2 recover the information.

3 And in regards to the comment about the  
4 states who answer yes, that they're already  
5 screening, there's a follow-up survey and so it  
6 records basically that information but more in  
7 depth for those states.

8 DR. DEBBIE FREEDENBERG: I was going to take  
9 it from the state perspective and, yes, there is  
10 a big difference because, for instance in our  
11 state, our law says that we're authorized to  
12 screen anything on the RUSP as funding allows.  
13 And that "funding allows" is a huge part of it  
14 because if you're adding a condition that you  
15 just add on to your MS/MS, that's going to be one  
16 issue and one amount of funding and it's not  
17 going to require a huge expenditure of both work  
18 as well as funding, but if you're adding on a  
19 condition that you suddenly need for RUSP eight  
20 new MS/MS machines or how many microfluidics we  
21 need, that funding becomes very different and it  
22 includes more FTE's, more follow-up, it includes

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 -- it's very different, so even though our  
2 authorizing law has not changed, the time for  
3 implementation and the time that we're going to  
4 need to do that is going to vary based on what  
5 we're actually doing per condition.

6 DR. JOSEPH BOCCHINI: Carol?

7 MS. CAROL GREEN: Just to build on it,  
8 although it's a small point and I think it might  
9 be in the survey, another step in the funding and  
10 the authorization is, in some states, you've  
11 already got your authorization to do it in accord  
12 with your funding, and then the advisory  
13 committee say yea or nay, or you can add it on  
14 RUSP, and in order to get the funding you have to  
15 change your cost for your newborn screening and  
16 you have to go to the legislature for that and  
17 they might say now. And then, even if you've got  
18 the money, you still have to go to the state for  
19 the budget that if the money's there you may not  
20 be allowed to spend it. I think that's happened  
21 in Maryland.

22 DR. JOSEPH BOCCHINI: Sylvia?

1 MS. SYLVIA MANN-WHITE: Hi, Sylvia Mann-  
2 White, Department of Health. See? I said my  
3 name. So, I fill out those surveys and I also am  
4 project director of the Western States Regional  
5 Genetics Network and our states are really good  
6 people and they fill out the surveys and I had to  
7 laugh when Cathy made the comment that hopefully  
8 this will get to the point where states feel like  
9 it doesn't matter anyway, and we always discuss  
10 the fact that as soon as it gets to evidence  
11 review with this committee it doesn't matter  
12 anyway, but we still fill out the surveys because  
13 we're good people.

14 I think one of the things that I commented on  
15 yesterday during our workgroup, was that in order  
16 to get to the follow-up survey, you have to be a  
17 state that has either piloted or are screening.  
18 So, most of the states are not piloting or  
19 screening for these disorders that you're putting  
20 through evidence review, so that means that we  
21 never would give you information more in depth  
22 because it wasn't actually on our radar, we

1 didn't have staff to do it, or whatever.

2       So, you're always getting information on that  
3 second survey mostly from the same states because  
4 everybody knows there are certain states that  
5 have a research part of their newborn screening  
6 program that go there, and so you are getting  
7 information from those states. And generally  
8 they tend to be bigger states, not the smaller  
9 states that might have more issues because they  
10 are smaller states. So, there has to be some way  
11 of being able to get the information you want  
12 from more people because I think the barriers are  
13 more from the states that aren't screening than  
14 the states that are screening, because the states  
15 that are screening obviously have put thought and  
16 money towards it, whereas the states that aren't  
17 screening are the ones that have the biggest  
18 barriers, so they're not the ones that are giving  
19 you information from the follow-up survey, and I  
20 don't know how Alex is going to be able to do  
21 this because a lot of the states are just like  
22 "I'm not thinking about it, I don't want to know,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 I don't want to go and take staff time to look up  
2 what the costs are or how this is going to  
3 happen," but just a reality of that's the  
4 information that you're getting.

5 DR. JOSEPH BOCCHINI: Thank you. Jeff ?

6 DR. JEFFREY BROSCO: I wonder if we can go  
7 back to the bigger questions that Scott and  
8 Dieter raised at the beginning and we probably  
9 can't answer this now but maybe we can begin to  
10 grapple with this idea of what are we really  
11 doing and trying to get out of this? And if we  
12 imagine a thought experiment in which a condition  
13 with AI plus perfect for everything except for  
14 public health readiness and there it was ten  
15 years before we'd be ready to screen for it,  
16 would we as a committee then say, "okay, no, it's  
17 not going on the RUSP" or would we say, "yes, it  
18 should go on the RUSP," but recognize it may take  
19 ten years for a state to do it?

20 And so I think it will be worth hearing  
21 peoples' comments about that kind of issue  
22 because it may be we say "yes, this goes on the

1 RUSP, but we recognize it's going to take ten  
2 years" and that kind of separates out a little  
3 bit if we say yes or no based on its public  
4 health readiness and gives states and their  
5 newborn screening programs an opportunity to say,  
6 "yes we want to do it but it's going to take us a  
7 long time and a lot of work." I don't know if  
8 people have comments about that.

9 DR. JOSEPH BOCCHINI: Scott?

10 MR. SCOTT: I don't know if -- I mean, I  
11 agree with you, Jeff, but is that part of the  
12 discussion around the gaps in this or is that  
13 part of how we use it, because I think that we  
14 need to address that, but how do we do both at  
15 the same time because part of that is the  
16 evidence review process. But, just give me one  
17 more second to say I think in general some of the  
18 things that we have been discussing and teasing  
19 out in the back and forth with some of with some  
20 of this, and Debbie and Sylvia brought up and in  
21 the general realm just state processes, there are  
22 some things that are not going to change. You

1 know, what's the review process? What's the  
2 cost?

3 And that's data that I don't think we should  
4 waste time collecting on this instrument because  
5 it's already collected, or supposed to be  
6 collected, through state profiles and the  
7 NewSTEPS website, so I think perhaps an  
8 encouragement of states to maintain those  
9 profiles so we don't have to ask for that data  
10 again and again, but rather use this tool to be  
11 specific to the disorder that's of interest and  
12 say, "okay, well according to the NewSTEPS  
13 profile that you've completed, it takes this long  
14 -- this is your process, given this disorder. Is  
15 there any -- because I know we talked about  
16 being metho-diagnostic and things like that and I  
17 just wonder if we could hone what we get from  
18 this instrument by leveraging other data  
19 collection mechanisms, it saves everybody time  
20 and effort and makes the data better and it goes  
21 back to this thing that I agree with Dieter on in  
22 terms of this data sharing and the less you have

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 to answer the same question, the more likely  
2 people aren't to contribute, and so if we could  
3 push that and complete profiles and grab data  
4 from that resource and then focus on this and put  
5 weight to it, which I think is a different topic.

6 DR. JOSEPH BOCCHINI: Alright, any questions  
7 or comments from those on the telephone? Any  
8 further questions, comments? Hearing none, I  
9 think this has been a very fruitful discussion  
10 and I think that we now need to take this back  
11 and start to collate all of the information that  
12 we've gotten and kind of develop a draft that I  
13 think the committee will need to kind of look at  
14 to see what we've got from that and probably some  
15 feedback back and forth with some key members to  
16 then see if we can come to a better, or more  
17 final, iteration of the surveys, certainly have  
18 the organizational reps involved in that aspect  
19 as well. Then, once the survey is drafted and in  
20 final form, it goes to the federal register, and  
21 is that when the public has an opportunity and  
22 others to comment on it? Is that correct?

1           So, we want to make sure that everybody who  
2 has a chance can have input into this so that we  
3 do get the best survey possible so that we can  
4 have the information that we need when the  
5 evidence review is done.

6           And, remember the key thing is that the  
7 evidence review workgroup has a nine month  
8 timeline within which to make a decision about a  
9 condition, that we move forward to them from this  
10 committee. So, I think standardizing things  
11 using, as Scott indicated, other databases where  
12 we don't need to repeat getting information might  
13 simplify some of the process for states and then  
14 trying to determine who are their key members and  
15 stakeholders that need to look at this to get  
16 information in a timely fashion so that the  
17 evidence review workgroup can utilize it  
18 effectively within that nine month time frame  
19 will be really important. So, thank everybody  
20 for their input. I think this has been very  
21 helpful.

22           Is there any new business that anyone wants

1 to bring forward to the committee? Carol? I saw  
2 your hand first, so go right ahead.

3 MS. CAROL GREEN: So, this is a suggestion  
4 for new business that's actually old business,  
5 that's something that had been worked on, I  
6 think, a few years ago and I thought of this as I  
7 was listening to the testimony from the families  
8 talking about newborn screening for the creatine  
9 disorders, and not to bring back up the whole  
10 issue of creatine and newborn screening, but it  
11 brings back, I think, an open question this  
12 committee is about hereditary diseases and we  
13 have talked before about education of people in  
14 general and providers and families and one of the  
15 interesting comments was made by a couple of the  
16 people presenting summaries of their experience  
17 that we would know if there was a missed case  
18 because our newborn screening laboratory is  
19 closely tied to our biochemical laboratory, so if  
20 there was a baby who had -- if there was a two-  
21 year-old, a three-year-old, with a creatine  
22 disorder we would know and we would know that we

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 missed it. That assumes that somebody is sending  
2 in the kids with autism for testing and they're  
3 not. So, newborn screening now is a discussion  
4 that is -- it will be on your radar screen when  
5 it's appropriate to be on your radar screen, but  
6 in the meantime there are kids out there who are  
7 four years old and nine years old who have  
8 creatine deficiency and the examples we heard are  
9 people who were unfortunate enough to have the  
10 experience, but lucky enough to have the  
11 diagnosis made, so that the second kid would be  
12 okay, but there are still people out there -- we  
13 heard the discussion of children still being  
14 found when they're four years old or nine years  
15 old or older and those children either never were  
16 sent to somebody for a diagnostic evaluation for  
17 genetic etiology, or, if they were having a  
18 diagnostic evaluation by a neurologist or a  
19 geneticist, it was by somebody who subscribes to  
20 the belief that you don't need to look for  
21 metabolic disorders because they're so rare. And  
22 they are rare in the general population, but

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 they're not a rare cause of autism and seizures.  
2 So, I want to bring back before the committee the  
3 notion that had been discussed before with maybe  
4 creatine disorder as a good example to develop  
5 some sort of a project -- what would it look like  
6 if you tried to educate people, not about the  
7 newborn screening, but about a hereditary disease  
8 that's out there, is missed, that children can  
9 benefit from treatment even if -- we heard the  
10 example of the seizures stopping, and I think it  
11 was with Dawn Bailey that the committee had  
12 looked at from the education point of view but it  
13 is in the purview of the committee, as well all  
14 know that it's not just a newborn screening  
15 committee, and I bring that up as new business  
16 and ask that it be explored because I think it is  
17 a responsibility that we are -- it's hard, it's  
18 really hard, and there are other things that the  
19 committee is required to do by mandate, but I  
20 think even though it's hard, I think there's  
21 nobody -- well, I shouldn't say nobody else and  
22 it's not the purview of the committee to do

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 professional guidelines, and that's not what  
2 I'm talking about. The ACMG is working,  
3 various other organizations are working, but I  
4 think that this committee is designed to have a  
5 role in that and I would like this committee to  
6 explore it.

7 DR. JOSEPH BOCCHINI: Thank you. Good  
8 comment. Any other new business to come before  
9 the committee? On the phone? Hearing none, that  
10 will conclude the agenda for this meeting. I  
11 want to thank everyone for their participation.  
12 I think we've had a really good meeting. A lot I  
13 believe has been accomplished or begun, so that  
14 we can move forward with a number of different  
15 projects. I want to thank HRSA for the  
16 organization and how this has gone. Catherine,  
17 thank you for the work that you've done, and look  
18 forward to seeing you all on the phone in August  
19 and we do want people to make comments about this  
20 survey and our public health approach, so we'll  
21 make sure that you have the website available so  
22 that you can contact us and make comments so that

1 we can get the best as we make this revision and  
2 get it through OMB. So, again, thank you all for  
3 your participation. Safe travels home and we'll  
4 see you again soon. Thank you.

5 (Whereupon, the above-entitled matter was  
6 concluded at 12:43 P.M.)

7

8

9

10