

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19

The Advisory Committee on Heritable Disorders in  
Newborns and Children

HRSA Meeting

HRSA HEADQUARTERS  
5600 FISHERS LANE  
ROCKVILLE, MARYLAND 20857

November 1, 2018

1:30 p.m. - 5:30 p.m.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1

2

## A P P E A R A N C E S

3

## COMMITTEE MEMBERS:

4

MEI BAKER, M.D., Professor of Pediatrics,

5

University of Wisconsin School of Medicine and

6

Public Health, Co-Director, Newborn Screening

7

Laboratory, Wisconsin State Laboratory of

8

Hygiene

9

SUSAN A. BERRY, M.D., Professor and Director,

10

Division of Genetics and Metabolism,

11

Department of Pediatrics and Genetics, Cell

12

Biology &amp; Development, University of Minnesota

13

JOSEPH BOCCHINI, JR., M.D., (Chairperson),

14

Professor and Chairman, Department of

15

Pediatrics, Louisiana State

16

University

17

JEFFREY P. BROSCO, M.D., Ph.D., Professor of

18

Clinical Pediatrics, University of Miami School

19

of Medicine, Department of Pediatrics, Deputy

20

Secretary, Children's Medical Services, Florida

21

State Department of Health

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 CYNTHIA M. POWELL, M.D., Professor of Pediatrics  
2 and Genetics, Director, Medical Genetics  
3 Residency Program, Pediatric Genetics and  
4 Metabolism, The University of North Carolina  
5 at Chapel Hill

6 ANNAMARIE SAARINEN, Co-Founder, CEO, Newborn  
7 Foundation

8 SCOTT M. SHONE, Ph.D., Senior Research Public  
9 Health Analyst, RTI International

10 BETH TARINI, M.D., M.S., FAAP, Associate  
11 Director, Center for Translational Science, Children's  
12 National Health System

13

14 EX-OFFICIO MEMBERS:

15 CARLA CUTHBERT, Ph.D., Centers for Disease  
16 Control and Prevention, National Center for  
17 Environmental Health

18 KELLIE B. KELM, Ph.D., Food and Drug  
19 Administration, Division of Chemistry and  
20 Toxicology Devices

21 MELISSA PARISI, M.D., Ph.D., National Institutes

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 of Health, Eunice Kennedy Shriver National  
2 Institute of Child Health and Human Development  
3 JOAN SCOTT, Health Resources and Services  
4 Administration, Maternal and Child Health  
5 Bureau

6 KAMILA B. MISTRY, Ph.D., MPH, Agency for  
7 Healthcare Research & Quality

8

9 DESIGNATED FEDERAL OFFICIAL:

10 CATHARINE RILEY, Ph.D., MPH, Health Resources and  
11 Services Administration, Genetic Services  
12 Branch, Maternal and Child Health Bureau

13

14 ORGANIZATIONAL REPRESENTATIVES:

15 NATASHA F. BONHOMME, Genetic Alliance

16 SIOBHAN DOLAN, M.D., MPH, March of Dimes,  
17 Department of Obstetrics & Gynecology and  
18 Women's Health, Albert Einstein College of  
19 Medicine and Montefiore Medical Center

20 DEBRA FREEDENBERG, M.D., Ph.D., American Academy  
21 of Pediatrics, Texas Department of State Health

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Services

2 CHRISTOPHER KUS, M.D., MPH, Association of  
3 State & Territorial Health Officials,  
4 New York State Department of Health

5 SHAWN E. MCCANDLESS, M.D., Society for Inherited  
6 Metabolic Disorders, Genetics and Metabolism,  
7 Children's Hospital Colorado

8 JED L. MILLER, M.D., MPH, Association of Maternal  
9 & Child Health Programs, Office for  
10 Genetics and People with Special Health Care  
11 Needs, Maryland Department of Health Prevention  
12 & Health Promotion Administration

13 ROBERT OSTRANDER, M.D., American Academy of  
14 Family Physicians, Valley View Family Practice

15 SUSAN M. TANKSLEY, Ph.D., Association of Public  
16 Health Laboratories, Laboratory  
17 Operations Unit, Texas Department of State  
18 Health Services

19 CATE WALSH VOCKLEY, MS, CGC, National  
20 Society of Genetic Counselors, Division of  
21 Medical Genetics, Children's Hospital of

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Pittsburgh

2 MICHAEL S. WATSON, Ph.D., FACMG, American

3 College of Medical Genetics

4 BRITTON RINK, M.D., M.S., American College of Obstetricians

5 and Gynecologists, Mount Carmel Health Systems

6

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

C O N T E N T S		
		PAGE
1		
2		
3	ROLL CALL	8
4	AUGUST 2018 MINUTES VOTE	12
5	OPENING REMARKS	14
6	PUBLIC COMMENTS	24
7	CEREBROTENDINOUS XANTHOMATOSIS CONDITION	54
8	NOMINATION	
9	BABY'S FIRST TEST	79
10	EDUCATION ACTIVITIES IN NEWBORN SCREENING	147
11	ADJOURN	238

1 P R O C E E D I N G S

2 DR. JOSEPH BOCHHINI: Good morning, everyone. I  
3 would like to welcome you to the fourth meeting of the  
4 Advisory Committee on Heritable Disorders in Newborns and  
5 Children for 2018. We will begin the meeting by taking a roll  
6 call. Going alphabetically:

7 DR. JOSEPH BOCCHINI: Mei Baker?

8 DR. MEI BAKER: Here.

9 DR. JOSEPH BOCCHINI: Susan Berry?

10 DR. SUSAN BERRY: Here.

11 DR. BOCHHINI: I'm here.

12 DR. JOSEPH BOCCHINI: Jeff Brosco?

13 DR. JEFF BROSCO: I'm here.

14 DR. JOSEPH BOCCHINI: Centers for Disease Control  
15 and Prevention, Carla Cuthbert?

16 Dr. CARLA CUTHBERT: Here.

17 DR. JOSEPH BOCCHINI: Food and Drug

18 Administration, Kelli Kelm?

19 DR. KELLI KELM: Here.

20 DR. Bocchini: Agency for Healthcare Research &  
21 Quality, Kamila Mistry?

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. KAMILA Mistry: Here.

2 DR. JOSEPH BOCCHINI: The National  
3 Institutes of Health, Melissa Parisi.

4 DR. MELISA PARISI: Here.

5 DR. JOSEPH BOCCHINI: Cynthia Powell.

6 DR. CYNTHIA POWELL: Here.

7 DR. JOSEPH BOCCHINI: Annamarie Saarinen.

8 MS. ANNAMARIE SAARINEN: Here.

9 DR. JOSEPH BOCCHINI: Health Resources and  
10 Services Administration, Joan Scott?

11 JOAN SCOTT: Here.

12 DR. JOSEPH BOCCHINI: Scott Shone.

13 DR. SCOTT M. SHONE: Here.

14 DR. JOSEPH BOCCHINI: Beth Tarini.

15 DR. BETH TARINI: Here.

16 DR. JOSEPH BOCCHINI: And our DFO,  
17 Catharine Riley.

18 DR. CATHARINE RILEY: Here.

19 DR. JOSEPH BOCCHINI: For our  
20 organizational representatives, the American  
21 Academy of Family Physicians, Robert Ostrander.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. ROBERT OSTRANDER: Here.

2 DR. JOSEPH BOCCHINI: American Academy of  
3 Pediatrics, Debra Freedenberg.

4 DR. DEBRA FREEDENBERG: Here.

5 DR. JOSEPH BOCCHINI: American College of Medical  
6 Genetics, Michael Watson.

7 DR. MICHAEL S. WATSON: Here.

8 DR. JOSEPH BOCCHINI: American College of  
9 Obstetricians and Gynecologists, Britton Rink by  
10 webcast.

11 DR. BRITTON RINK: Here.

12 DR. JOSEPH BOCCHINI: Association of  
13 Maternal Child Health Programs, Jed Miller.

14 DR. JED MILLER: Here.

15 DR. JOSEPH BOCCHINI: Association of  
16 Public Health Laboratories, Susan Tanksley.

17 DR. SUSAN M. TANKSLEY: Here.

18 DR. JOSEPH BOCCHINI: Association of  
19 State and Territorial Health Officials, Chris  
20 Kus, by webcast.

21 DR. CHRIS KUS: Here.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. JOSEPH BOCCHINI: Department Defense,  
2 Adam Kanis is unavailable today.

3 Genetic Alliance, Natasha Bonhomme.

4 MS. NATASHA F. BONHOMME: Here.

5 DR. JOSEPH BOCCHINI: March of Dimes,  
6 Siobhan Dolan, by webcast.

7 DR. SIOBHAN DOLAN: Here.

8 DR. JOSEPH BOCCHINI: National Society of Genetic  
9 Counselors, Cate Walsh Vockley.

10 MS. CATE WALSH VOCKLEY: Here.

11 DR. JOSEPH BOCCHINI: Society of  
12 Inherited Metabolic Disorders, Shawn McCandless.

13 DR. SHAWN MCCANDLESS: Here.

14 DR. JOSEPH BOCCHINI: Thank you.

15 So we're a little different format today  
16 based on availability of this room. So the  
17 workgroup met first, and I guess since it's  
18 afternoon and it's the day after Halloween, we'll  
19 sort of watch and see how many of you shared your  
20 candy -- or shared your children's candy  
21 overnight.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 (Laughter)

2 So first on the agenda is approval of the August  
3 minutes. Committee members received the  
4 draft of the minutes, of the October meeting to  
5 review prior to this meeting. We incorporated  
6 revisions submitted by Committee members  
7 Committee members and distributed the final draft  
8 of the minutes to the Committee prior to this  
9 meeting.

10 Are there any further additions or  
11 corrections to be added to the minutes?

12 Hearing none, we just need to vote on the approval  
13 of the minutes. And I don't have an  
14 approval sheet. So we'll just go down and --  
15 here we go.

16 Mei Baker?

17 DR. MEI BAKER: Approve.

18 DR. JOSEPH BOCCHINI: Sue Berry?

19 DR. SUSAN BERRY: Approve.

20 DR. JOSEPH BOCCHINI: I approve.

21 Jeff Brosco?

1 DR. JEFFREY P. BROSCO: Approve.

2 DR. JOSEPH BOCCHINI: Carla Cuthbert?

3 DR. CARLA CUTHBERT: I approve.

4 DR. JOSEPH BOCCHINI: Kellie Kelm?

5 DR. KELLIE B. KELM: Approve.

6 DR. JOSEPH BOCCHINI: Kamila Mistry?

7 DR. KAMILA MISTRY: Approve.

8 DR. JOSEPH BOCCHINI: Melissa Parisi?

9 DR. MELISSA PARISI: Approve.

10 DR. JOSEPH BOCCHINI: Cynthia Powell?

11 DR. CYNTHIA POWELL: Approve.

12 DR. JOSEPH BOCCHINI: Annemarie Saarinen?

13 MS. ANNAMARIE SAARINEN: Approve.

14 DR. JOSEPH BOCCHINI: Joan Scott?

15 MS. JOAN SCOTT: Approve.

16 DR. JOSEPH BOCCHINI: Scott Shone?

17 DR. SCOTT M. SHONE: Approve.

18 DR. JOSEPH BOCCHINI: And Beth Tarini?

19 DR. BETH TARINI: Approve.

20 DR. JOSEPH BOCCHINI: Thank you. The

21 minutes are approved.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So next slide. And one more

2           So just to remind everyone, there will  
3 soon be an announcement for nominations of new  
4 committee members for 2019 and 2020. Self-  
5 nominations will be accepted as well as  
6 nominations made by individual colleagues.

7           There will also be a call for new  
8 workgroup members. All three workgroups are  
9 looking for additional members, all three of our  
10 standing workgroups. And so this will be  
11 announced very shortly. Information on the  
12 application process and the dates that the  
13 applications will be due will be posted on the  
14 Committee website, will be sent out over a  
15 variety of list serves so that we could bring in additional  
16 individuals from a wide potential  
17 audience.

18           We also wanted to remind you that we've  
19 had a call for additional organization  
20 representatives. We will add an additional  
21 announcement for organizational representatives.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1 process will include how evidence and information  
2 are gathered for the evidence review, types of  
3 data and information that needs to be included,  
4 how the evidence is rated and presented to the  
5 Committee, and the appropriate method for  
6 determining the strength of the evidence. The  
7 matrix used for decision-making process will also  
8 be evaluated. Our aim is to update the decision-  
9 making framework with the latest approaches used  
10 in evidence to successfully develop public health policies.

11 We also have two new projects. As you  
12 know, the Committee has a Congressional mandate  
13 to follow timeliness. As such, we will examine  
14 the progress that's been made regarding  
15 timeliness and newborn screening in the United  
16 States, led by Alex Kemper and K.K. Lam. This  
17 review will serve as an update to the December  
18 2016 GAO report on the timeliness in newborn  
19 screening that covered the original data. This  
20 effort will provide up to date analysis of how  
21 states are progressing toward the Committee's

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 timeliness goals. The second is an assessment on the  
2 implementation of the more recently added conditions to the  
3 RUSP.

4           Over the next year we'll look into the  
5 impact of adding those conditions to the RUSP.  
6 We plan to conduct a retrospective analysis of  
7 how implementation of screening for these new  
8 conditions have gone and the impact they have had  
9 on public health programs. We would like to  
10 determine if the estimated time frames that were predicted  
11 are accurate. The barriers and  
12 challenges encountered were what the states  
13 anticipated, and whether there were any  
14 unexpected challenges.

15           We also want to take a closer look at the clinical  
16 and public health implications of adding conditions with  
17 known delayed onset and severity.

18           And then the last announcement is the  
19 development of a new ad hoc workgroup. We  
20 discussed this a little bit at the August  
21 meeting. So we've now established an ad hoc

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 workgroup to address the overlapping issues that  
2 were identified through our review of risk based  
3 on newborn screening test results.

4           Through the Laboratory Workgroup  
5 deliberations, interactions with APHL, and  
6 Committee discussion, two significant areas were identified  
7 within which there may be a role for  
8 Committee involvement. One is with education,  
9 and the other is with possible policy  
10 conservations for stakeholders, including states  
11 and clinicians.

12           Mei Baker has agreed to serve as Chair of  
13 this workgroup. This workgroup brings expertise  
14 from the Committee, the Laboratory Workgroup, and  
15 the Education and Training Workgroup. They had  
16 their first meeting earlier this morning, and  
17 we're going to hear from Mei tomorrow afternoon,  
18 as some of the initial considerations were  
19 brought up at that meeting.

20           Next slide. This shows our next meeting,  
21 the date of the April meeting has changed, just

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to remind everybody. The meeting was originally scheduled  
2 for April 22nd and 23rd. It will now  
3 be held on April 23rd and April 24th.

4 So our February meeting, which is the  
5 next meeting, is going to be in person and by  
6 webcast. The April meeting will also be an in-  
7 person meeting with webcast.

8 And then you see listed -- those should  
9 be the 2019 dates for August and November. Okay.  
10 And then the meeting dates through 2020 can be  
11 found on the Committee's website.

12 So meeting topics for today: We're going  
13 to review a nomination that we received on cerebrotendinous  
14 xanthomatosis, CTX. We're going  
15 to have an update on baby's first test, and a  
16 panel discussion of educational activities  
17 underway or completed in newborn screening.

18 Tomorrow we will have a panel discussion  
19 on the ethical, legal, and social implications of  
20 genomic sequencing in newborn screening, and  
21 we'll have a presentation on ethical, legal,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 social, and policy considerations for newborn  
2 screening pilot studies. And then we'll have the  
3 updates from the workgroups.

4 So now I'd like to turn this over to  
5 Catharine to go over DFO slides.

6 Catharine.

7 DR. CATHARINE RILEY: Thank you,  
8 Dr. Bocchini. And good afternoon to everyone who  
9 has joined us here in person and who is joining  
10 us via the live-streaming webcast. We are glad  
11 that you are joining us this afternoon, and  
12 hopefully you'll be joining us again tomorrow.

13 I just have a few logistics to go over.  
14 The Advisory Committee's legislative authority is  
15 found in the Newborn Screening Saves Lives Reauthorization  
16 Act of 2014. This legislation established the Committee and  
17 provides the duties  
18 and scope of work for the Committee. However,  
19 all the Committee activities are governed by the  
20 Federal Advisory Committee Act or FACA, which  
21 sets the standards for the establishment,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 utilization, and management of all Federal  
2 Advisory Committees. As a Committee member on  
3 the Federal Advisory Committee, you are subject  
4 to the rules and regulations for special  
5 government employees.

6 I want to remind Committee members that  
7 as a Committee, we are advisory to the Secretary  
8 of Health and Human Services, not the Congress.  
9 For anyone associated with the Committee or due  
10 to your membership on the Committee, if you  
11 receive inquiries about the Committee, please let  
12 Dr. Bocchini and myself know prior to committing  
13 to an interview.

14 And I also must remind Committee members  
15 that you must recuse yourselves from  
16 participation in all particular matters likely to  
17 affect the financial interest of any organization  
18 with which you serve as an officer, director,  
19 trustee, or general partner, unless you are also  
20 an employee of the organization, or unless you've received  
21 a waiver from HHS authorizing you to participate. When a

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 vote is scheduled for an  
2 activity -- or an activity is proposed and you  
3 have a question about a possible conflict of  
4 interest, please let me know as soon as possible.

5           According to FACA, all Committee meetings  
6 are open to the public. If the public wish to participate  
7 in discussion, the procedures of  
8 doing so are published in the Federal Register  
9 and are announced at opening of the meeting. For  
10 this particular meeting, the public comment is  
11 going to be coming up shortly. It's the first  
12 agenda item, and we have six individuals who have requested  
13 to provide oral public comments today.  
14 We also received two written public comments that  
15 were provided to the Committee members before the meeting.  
16 Any further public participation will  
17 be solely at the discretion of the Chair, Dr.  
18 Bocchini, or myself.

19           Just a couple reminders about being in  
20 the building: For visitors, all those non-HHS  
21 employees, visitors only have access to the

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 pavilion, cafeteria, restrooms, and meeting  
2 areas. So all other areas of the facility are  
3 restricted and do require an escort by a HRSA  
4 staff member. There are no exceptions to this.

5           If you do need to leave and reenter, you  
6 will be required to go through security again,  
7 and you will require a HRSA escort to meet you at  
8 the security front main entrance. We will have  
9 escorts out there around lunchtime and around  
10 breaks to be able to help you with that.

11           We also wanted to remind everyone that  
12 visitors are not allowed to take any video or  
13 photography in the building under any  
14 circumstances. Also in the case of an emergency,  
15 we ask that you please exit through the front  
16 doors where you entered this afternoon across the  
17 street and meet on the parking pad to the left.  
18 There will be escorts available from HRSA in the  
19 event of an emergency.

20           Also in the event of an emergency, our  
21 security officials ask to only take essential

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 items, as to expedite the exit and reentry  
2 process.

3 So those are all of the logistics. I  
4 wanted to pause and ask the Committee members if  
5 there are any questions.

6 Nope. Great.

7 Again, welcome, everyone. We are really excited  
8 about this meeting. We look forward to  
9 the next two days. So I'll turn it back over to  
10 you, Dr. Bocchini.

11 DR. JOSEPH BOCCHINI: All right. So as Catharine  
12 said, next time on the agenda is the  
13 public comments. We have six people who have  
14 requested to make public comment, and they will  
15 be speaking about cerebrotendinous xanthomatosis.

16 The first to join us today -- and we  
17 would like each of you, as you give your  
18 presentation, to come up to the microphone -- is  
19 Dr. Robert Steiner, clinical professor at the  
20 University of Wisconsin.

21 DR. ROBERT STEINER: Good afternoon.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Chairman Bocchini, Committee members, and all,  
2 thank you for the opportunity to address the  
3 Committee today. Your Committee has an awesome  
4 responsibility, and I applaud you all for your willingness  
5 to serve.

6 My name is Robert Steiner. I'm here as a private  
7 citizen and an advocate for improving  
8 care for individuals with cerebrotendinous  
9 xanthomatosis or CTX for short, patients. I'm a practicing  
10 pediatrician, geneticist, and  
11 researcher with a longstanding interest in  
12 newborn screening, having served as a member of  
13 HCMG's expert panel that recommended expanded  
14 newborn screening.

15 I take care of children and adults with  
16 CTX, and CTX is a primary area of research for me.  
17 In fact, I've cared for some of the patients  
18 you'll hear about today. I've been managing  
19 patients with CTX for more than two decades.

20 Now, CTX is a devastating disorder, but  
21 it doesn't have to be. There are numerous

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 publications describing successful treatment for  
2 CTX and treatment outcome spanning 40 years since  
3 the initial development of chenodeoxycholic acid  
4 or CDCA as a treatment by Dr. Gerry Salen.

5           I've seen the two extremes of CTX. I've  
6 seen CTX that's diagnosed quite late after a  
7 patient has already suffered complications,  
8 including dementia and cataracts. Although in  
9 that case treatment was begun when the diagnosis  
10 was finally made, CDCA has had little beneficial  
11 effect on the child's autism and dementia.

12           Second, I've seen CTX diagnosed in early  
13 childhood, allowing early and prompt institution  
14 of treatment. In that case, the child never  
15 developed autism or dementia and is healthy and  
16 well today as an adult with little evidence of  
17 disease, and in fact, has a newborn baby.

18           CTX can also present quite early in the neonatal  
19 period with severe liver disease.

20           Untreated, CTX is a devastating disease  
21 with a high probability of neurologic

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1 shorten or altogether avoid the diagnostic  
2 odyssey? Educational efforts might help, but  
3 doctors simply don't have the time to hear about  
4 another rare disease that they're not likely to  
5 see during their entire career.

6 I'm thoroughly convinced that the only  
7 way we're going to reduce the time to diagnosis  
8 in CTX is through newborn screening. Fortunately  
9 colleagues, including Dr. Andrea DeBarber, who  
10 you will hear from today, have developed methods  
11 for newborn screening for CTX using the same  
12 filter paper dried blood spots already in use in  
13 newborn screening programs. Furthermore, the  
14 method uses the same mass spectrometry  
15 instruments in use in these programs. It is  
16 reliable, accurate, and reproducible.

17 We have the opportunity to prevent the  
18 catastrophic effects of CTX and save additional  
19 patients from suffering. All the elements for a successful  
20 CTX screening program are in place. A  
21 newborn screening method has been developed.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Confirmatory testing, by way of genetic  
2 sequencing and measurement of cholestanol is  
3 widely available. A forty-year-old safe  
4 effective treatment is available, and CDCA is  
5 being used to treat nearly 100 US patients  
6 currently.

7           Research studies like one recent US study  
8 that screened approximately 170 children with  
9 bilateral cataracts for CTX and identified three  
10 with CTX make it clear that we will find cases in  
11 the US population if we look.

12           Physicians such as myself with expertise  
13 in genetics, metabolic disease, and lipid  
14 disorders are available to treat CTX patients.

15           I implore the Committee to take the next  
16 step towards recommending newborn screening for  
17 CTX. As a scientist and medical journal editor,  
18 I understand that in an ideal world all the I's  
19 would be dotted and all the T's crossed prior to  
20 such a recommendation. We would love to see  
21 large prospective pilot studies in the US carried

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 out using the new methods for CTX screening with  
2 large numbers of newborns successfully identified  
3 and treated and the results published. All that, however,  
4 takes a great deal of time and money.  
5 The funding for such studies is not immediately available,  
6 and time is precious. Every day we  
7 delay instituting newborn screening is another  
8 day that CTX patients wait for diagnosis and  
9 treatment with life-altering irreversible  
10 complications developing.

11 We know very well the biology of CTX. We  
12 understand the treatment, and we are available to  
13 take care of the patients. Let's begin to help  
14 these patients today, please. Thank you.

15 DR. JOSEPH BOCCHINI: Thank you,  
16 Dr. Steiner. And thank you for all your work in  
17 this field.

18 Next is Dr. Andrea DeBarber, research  
19 associate professor at Oregon State Health and  
20 Science University.

21 DR. ANDREA DEBARBER: Good afternoon,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Committee members. Thank you for the opportunity  
2 to speak today. My name is Andrea DeBarber, and  
3 I am lead nominated for the team submitting the nomination  
4 package for consideration of CTX for  
5 addition to the RUSP.

6 I am a researcher at Oregon Health and  
7 Science University and have been working with a  
8 number of collaborators to develop tandem mass spectrometry  
9 methodology capable of screening  
10 newborn dry blood spots for CTX.

11 Today I would like to provide an update  
12 to the Committee on the study of ongoing pilot  
13 studies to screen newborn dry blood spots as well  
14 as some recent developments to ensure the  
15 methodology to screen newborn dry blood spots  
16 would work well across different platforms and in different  
17 laboratories.

18 Newborn dry blood spots can be screened  
19 for CTX using a published negative mode tandem  
20 mass spec method with flow injection analysis of  
21 tetrol glucuronide species, biosorts (phonetic spelling),

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and the use of metabolite ratios. The low force  
2 positive rate for flow injection analysis can  
3 effectively be reduced to zero using tandem mass  
4 spec analysis with liquid chromatography.

5 Methodology that has been demonstrated to  
6 work well in my laboratory and that can  
7 incorporate analysis of an additional  
8 confirmatory marker for CTX 7 alpha, 12 alpha,  
9 dihydroxy-4-cholesten-3-one.

10 Using liquid chromatography tandem mass  
11 spec analysis of tetrol glucuronide species and  
12 biosorts, Dr. Michael Gelb at University of  
13 Washington has screened around 30,000 de-  
14 identified dried blood spots from Washington  
15 State newborns for CTX. Using dried blood spots  
16 from CTX patients, he has shown that the tetrol glucuronide  
17 marker is well elevated above the  
18 cutoff chosen for the study, and that all newborn  
19 dry blood spots screened so far fell well below  
20 the cutoff with a consequent force positive rate  
21 of zero per 30,000. The goal of this pilot study

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 is to screen more than 100,000 newborn dry blood  
2 spots.

3           In collaboration with Dr. Tzippi Falik  
4 Zaccai, my laboratory continues to screen  
5 identifiable newborn dry blood spots for CTX from  
6 the Northern Israel region where around one in  
7 four newborns are members of the high-risk Drusbum (phonetic  
8 spelling) community. Our goal is to screen at  
9 least 10,000 newborn dried blood spots.

10           Other researchers are working to make  
11 data available from additional pilot studies  
12 screening newborns for CTX. Subject to NIH grant funding,  
13 colleagues in the US are planning to  
14 perform a perspective pilot study beginning 2019  
15 that would screen more than 135,000 dried blood  
16 spots obtained from identifiable newborns born in  
17 New York State for CTX.

18           In the Netherlands where the Dutch Health Council  
19 has advised adding CTX to the Dutch  
20 Newborn Screening Program upon availability of a  
21 suitable screening method, colleagues are

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 planning to perform a prospective pilot study  
2 beginning 2019 with the goal to screen around  
3 20,000 dried blood spots from Dutch newborns for  
4 CTX.

5           Recently my laboratory has confirmed the identity  
6 of the primary tetrol glucuronide  
7 disease marker present in CTX newborn dry blood  
8 spots by comparison with custom synthesized  
9 authentic standard. The standard in stable  
10 isotope labeled internal standard has been made  
11 available to the CDC's newborn screening and  
12 molecular biology branch to aid in development of  
13 an external quality assurance system to screen  
14 newborn dry blood spots for CTX, and efforts are  
15 underway to perform between laboratory  
16 methodology comparison studies.

17           In summary, we have made great progress  
18 over the last few years to develop and validate  
19 newborn screening methodology for CTX that is  
20 sensitive specific and that has been demonstrated  
21 to work well in a number of different

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 laboratories.

2           As Dr. Steiner indicated, we don't yet  
3 have data available from large perspective pilot  
4 studies screening identifiable newborns for CTX,  
5 but we are working to make additional pilot study  
6 data available. Every day we delay instituting  
7 newborn screening for CTX additional cases are  
8 missed with the high likelihood they would not be diagnosed  
9 until much later in life when the  
10 neurological damage cannot be reversed with  
11 treatment.

12           I appeal to the Committee to move the CTX  
13 nomination forward in the consideration process  
14 to add disorders to the RUSP. And thank you  
15 again for the opportunity to speak and for your deliberation  
16 of the CTX nomination.

17           DR. JOSEPH BOCCHINI: Thank you very  
18 much, Dr. DeBarber.

19           Next we have John Wolf, board member of  
20 the United Leukodystrophy Foundation and the  
21 father of a child affected with CTX.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1                   MR. JOHN WOLF: Good afternoon. I would  
2 like to thank the Committee for giving me the  
3 opportunity to speak today.

4                   I'm here today as the father of a CTX  
5 affected daughter and as a patient resource and  
6 advocate. I'm also the CTX patient liaison for  
7 the United Leukodystrophy Foundation.

8                   My daughter Ashley was diagnosed with CTX  
9 14 years ago at the age of 10. She exhibited  
10 signs of CTX from birth, starting with chronic  
11 diarrhea.

12                  Caring for her as an infant and toddler  
13 with this presented a lot of challenges. Leaving  
14 the house with her was inconvenient and required deliberate  
15 planning around having a bathroom  
16 accessible nearby. For example, we would be in  
17 line at an amusement park and would often have to  
18 leave the line to take her to the bathroom. We  
19 would have to plan a trip through security at the airport,  
20 not knowing how long we would be in that  
21 line. These are things that most people take for granted.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 This was especially challenging when  
2 traveling and for her while she was in school and  
3 any functions away from home.

4 Over the course of several years and  
5 after seeing several specialists for this, no  
6 definitive diagnosis was given except irritable  
7 bowel syndrome. We were sent home with a  
8 prescription for a giant bottle of antidiarrheal medication,  
9 which worked for a short while but  
10 eventually stopped working entirely, despite  
11 increasing the dosage.

12 It was about halfway through her first  
13 grade school year that we were notified by her  
14 teacher, who raised the concern of Ashley having  
15 issues with paying attention in school and  
16 difficulty retaining what she had learned. This  
17 was especially puzzling because it was in stark  
18 contrast to her development as a toddler, where  
19 she had hit all of her milestones early. We had difficulty  
20 accepting this, but eventually we  
21 began to see and acknowledge it as well and

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376





1                   Now at 24, she lives independently,  
2 works, drives, and recently gave birth to my  
3 first grandchild. Without her diagnosis at 10,  
4 I'm afraid that I would be telling a very  
5 different story.

6                   Over the last 14 years, despite efforts  
7 to raise awareness in the various medical  
8 disciplines that might see a CTX patient, despite  
9 the ready availability of a simple blood test to  
10 provide an initial diagnosis, despite the  
11 isolation of the gene mutation that causes CTX,  
12 and despite clearly defined symptoms, and due to  
13 it affecting multiple systems in the body, which  
14 cause it to clinically present as other more  
15 common conditions, this can often distract  
16 medical professionals, even when they themselves  
17 have CTX-affected children. I know this because  
18 this family is in a patient community. All of  
19 this can lead them to a dead end and  
20 significantly contributes to the average age of  
21 diagnosis being the early 30s, inevitably leading

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to a devastating outcome for the patient.

2 To add CTX to the RUSP would all but  
3 eliminate the experiences that have affected  
4 individuals and their families have had to  
5 endure. I can think of no stronger argument to  
6 move forward with the CTX nomination and implore  
7 you to do so. Thank you.

8 DR. JOSEPH BOCCHINI: Mr. Wolf, thank you  
9 for sharing your family's personal story.

10 Next we have Kent Richter, who is the  
11 spouse of an individual affected by CTX.

12 MR. KENT RICHTER: Distinguished ladies  
13 and gentlemen, good afternoon. I appreciate you  
14 allowing me to speak, and I also appreciate the  
15 fact that you have the desire to want to help  
16 future generations.

17 So I'm Kent Richter, and that sleeper  
18 over there is my wife Donna. Hi, Donna.

19 MS. DONNA RICHTER: Hi.

20 MR. KENT RICHTER: And she has CTX. And  
21 we traveled from Tavares, Florida, to talk to

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 you.

2           Anyway, she's always been physical. I've  
3 known her since 17, so more physical than mental,  
4 you know. So she used to like to be touched.  
5 She doesn't like that now, but she still loves to  
6 touch a lot of things.

7           So I saw pictures of when she was maybe  
8 11 at most, and she already had the same  
9 the bumps, we just call them bumps, which wasn't diagnosed,  
10 but we know now. And at 24 -- we married at 20, and at  
11 24 she had the larger one taken out. The doctor  
12 said, "This isn't what I expected." He says,  
13 "This is actually growing out of the tendon that  
14 extends there." He says, "I didn't expect that."  
15 So he says, "I basically just shaved it. I  
16 didn't want to cut into the tendon."

17           So we were jogging in the 20s -- when we  
18 were in our 20s and 30s, and she kept having  
19 tripping problems; but we did it. And then one  
20 days she says, "I don't want to go jogging now."  
21 And she couldn't keep up anyway. She wasn't

1 gaining any speed. She wasn't getting any  
2 stamina or anything, you know. I would down a  
3 block and run back, you know, to her and so on.  
4 She didn't want to go, okay.

5           So then I realized -- I mean, her  
6 Achilles tendons were huge. I mean, they're this  
7 wide. I mean, if you want to see them, we're  
8 here. So but they're this wide. So now she's  
9 got the bumps here, which this one grew back that  
10 was operated on, and the Achilles are wide.  
11 Still no diagnosis, going to different doctors.

12           So then she started having trouble  
13 walking, so more than just the tripping. So  
14 okay. So we go to -- let's try a neurologist,  
15 okay. So the neurologist didn't know. We went  
16 back as she was getting worse, didn't know. Then  
17 said, well, wait a minute, I know a smart man.  
18 It was her teacher, Kenneth Heilman, who was head  
19 of neurology at Shadelands at the time,  
20 University of Florida.

21           So anyway, we went and saw him and

1 diagnosed that visit. Then he sent us to Bill  
2 Conner -- Dr. Conner, sorry. She gets to call  
3 him Bill and gets to call Dr. Heilman Kenneth. I  
4 just -- I need to do it right here.

5           So anyway -- so that was good. We went  
6 out there, you know. He helped us about what to  
7 eat, what not to eat, you know, and to try to  
8 keep the diarrhea not as bad. It's as bad as  
9 John says and having to go to the bathroom a lot.

10           And so, you know, it was also to help  
11 future generations. That was the idea. So  
12 basically she has a lack of abilities, you know,  
13 a lot of pain, a lot of pain. So thanks.

14           DR. JOSEPH BOCCHINI: Thank you very  
15 much, Mr. Richter.

16           Next we have Susan Stewart, mother and  
17 legal guardian of a young man severely affected  
18 by CTX.

19           MS. SUSAN STEWART: Thank you for having  
20 me. My name is Susan Stewart. I am, as he said,  
21 the mother and now legal guardian of my son Eric.

1 Eric is 27 years old, and he has CTX.

2 Eric was not correctly diagnosed and then  
3 appropriately medicated for CTX until he was 16.

4 My pregnancy with Eric and his birth were without incident.

5 I brought home a happy baby, who

6 nursed well and slept through the night at six

7 weeks.

8 Eric appeared to develop on schedule, and

9 I have no concerns. He seemed on the same

10 developmental schedule as his half-brothers.

11 When Eric was 16 months old his babysitter said,

12 "I have never seen a toddler that likes to play

13 by himself so much." That made me start paying

14 attention to Eric's development more closely.

15 Although he had a few words at 11 months, he lost

16 those words.

17 At two years I took Eric to his

18 pediatrician because now he had no words and only

19 babbled using vowel sounds. His pediatrician did

20 not seem overly concerned, but he did refer Eric

21 to an audiology who suggested tubes. Although

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 the tubes solves his ear concerned, it did not  
2 solve the developmental concerns.

3           At two and a half Eric was diagnosed with  
4 a communication disorder. At three, he was  
5 diagnosed with autism. At five, he was diagnosed  
6 with a seizure disorder, Landau-Kleffner  
7 syndrome. At six he was diagnosed with a likely  
8 immune system disorder, as his MRIs were showing decreased  
9 white matter -- sorry -- an increased  
10 grey matter in his brain. Around nine, he was  
11 identified as intellectually disabled. At 11, he  
12 was diagnosed with bilateral cataracts.

13           During Eric's diagnostic journey I sought  
14 the advice of many practitioners, and Eric  
15 participated in many therapies at great expense  
16 and time for our family. Some of the therapies  
17 were scientifically based, but I tried other  
18 therapies that were not scientifically based, as  
19 I was desperate to help my child.

20           By the time Eric was 16, he had totally  
21 deteriorated from the baby he had been. He was

1 nonverbal and mainly communicated by typing  
2 words, but not sentences, on an augmented  
3 communication device. He has never advanced to  
4 the sentence level. He can perform -- he could  
5 not perform many activities of daily living like  
6 bathing, dressing himself, or brushing his own  
7 teeth. If we went on an outing of any length, he  
8 needed to have a wheelchair. His IQ was 40 on  
9 the WISC scale.

10           Additionally, although he had previously  
11 been high energy in his earlier years, he began  
12 to have extremely low energy. He just sat on the  
13 floor and quietly played with toys. Eric was  
14 very ill, and I feared that he was dying.

15           I did a google search using the words  
16 cataracts and autism. I found that disorders  
17 related to difficulties with cholesterol -- and  
18 believe me, I had been to many doctors, many,  
19 many, many doctors. Disorders related to  
20 difficulties with cholesterol often had cataracts  
21 and autism combined, and I found out that one of

                                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 the main research facilities studying these  
2 disorders was at Oregon Health and Sciences  
3 University.

4 Eric and I were Oregon residents, so we  
5 went to OHSU; and Eric received the correct  
6 diagnosis and began treatment. I wonder if I  
7 would have discovered his diagnosis if we had  
8 lived somewhere else besides Oregon.

9 Since beginning treatment, Eric has made  
10 improvements, but he remains severely disabled  
11 and impaired. He is now able to dress himself  
12 and buckle his own seatbelt, but he can't cook  
13 for himself or bathe himself. He has more  
14 energy. His wheelchair has been given away, but  
15 Eric continues to have a profound expressive  
16 language disorder, a severe receptive language  
17 disorder, and moderate to severe autism.

18 Now I celebrate small achievements. Two  
19 years ago, at the age of 25, Eric learned to get  
20 himself a cup of water when he was thirsty. Eric currently  
21 lives in a group home for

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 developmentally delayed individuals. He receives  
2 Social Security, Medicare, and Medicaid.  
3 Additionally, he receives over \$9000 a month from  
4 the State of Oregon to pay for the caregivers  
5 that he needs.

6 Eric's recent individual service plan was  
7 three-fourths of an inch thick with protocols for  
8 everything. Eric has no job.

9 If newborn screening for CTX would have  
10 been available for Eric, his life would have  
11 certainly had a different outcome. Eric's CTX  
12 greatly impacted our family as he grew, and his difficulties  
13 continue to impact our family,  
14 community, and state.

15 I hope my children and their  
16 grandchildren will benefit from a newborn  
17 screener for CTX. And a picture of Eric when he  
18 was a baby. Thank you.

19 DR. JOSEPH BOCCHINI: Thank you,  
20 Ms. Stewart, for sharing your family's odyssey.  
21 Thank you.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           Next we have Robert Rauner, president of  
2 the United Leukodystrophy Foundation.

3           MR. ROBERT RAUNER: Hi. Good afternoon.  
4 Thank you to this Committee for taking your time  
5 to hear us tell the story that the need for CTX  
6 be added to the newborn screening program.

7           My job, I am the president of the United  
8 Leukodystrophy Foundation. I've been a part of  
9 the foundation since 1994 when my son Kevin was  
10 diagnosed with adrenoleukodystrophy. So I've  
11 been a member -- board member of this  
12 organization since 2000, and I've been president  
13 for the last four years.

14           One thing I appreciate is work of this  
15 group, meaning you, on the work getting X-ALD  
16 added to the RUSP back in 2015. As a result of  
17 that decision, I became involved with the process  
18 of adding X-ALD to the Nebraska Newborn Screening  
19 Panel. So I spent two years working through the legislative  
20 program to make that happen. So  
21 through that time worked with our Newborn

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1           In 2009, we at ULF worked with Manchester  
2   Pharmaceuticals to help gain orphan drug status  
3   for Chenodal as a treatment for CTX. So we at  
4   the ULF have been a support group for the CTX  
5   community and have supported treatments that have  
6   been made available for the benefit of that  
7   community. We've partnered up with Retrophin to  
8   find ways to work together, not only supporting  
9   the Leukodystrophy Committee but especially the  
10  CTX families.

11           We at ULF have also hosted CTX specific meetings,  
12  and so that's, over the years, you  
13  know, addressing research in the field. And  
14  lately our focus has been on Andrea DeBarber's  
15  work in newborn screening, and so we've had her  
16  and the doctors working together to get through  
17  this process as we are today.

18           One of the things we helped do is  
19  facilitate grant funds that CTX community can use  
20  to provide families funding to help pay for  
21  treatments that may be available.

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So as an organization, we will continue  
2 to help support the families. We will have  
3 meetings and travel grants and other forms of  
4 family support.

5           As a parent, I know how important it is  
6 to have the ability to identify what illness your  
7 child may be affected with. Newborn screening is  
8 a great tool, and it will help families like  
9 mine, John's, Sue's, Kent's, and those that are  
10 not in attendance today that take the time to  
11 send in letters of support.

12           Having the ability to know, when your  
13 child is born, that they may have a disease that  
14 can't have a treatment -- if they're identified  
15 by newborn screening is a very important thing.  
16 What this will do is save them from doing through  
17 the diagnostic odyssey that all of our families  
18 have gone through.

19           So I'm very confident in the work that  
20 Dr. DeBarber and Dr. Steiner have done leading up  
21 to us being here today, and I am confident that

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 what they've done would be enough for you to  
2 accept nomination to add CTX to the RUSP. So  
3 thank you for your consideration of adding CTX to  
4 the RUSP from all of us here in person and also  
5 those that have taken time to give their letters  
6 of support. Thank you.

7 DR. JOSEPH BOCCHINI: Thank you very  
8 much, Mr. Rauner.

9 So that will conclude the public comment portion  
10 of this meeting. We will now present a  
11 review of the condition nomination for CTX.

12 So in August the Committee received the nomination  
13 package from a team of nominators, led  
14 by Dr. DeBarber, and the nomination and  
15 prioritization workgroup, which is a subgroup of  
16 this Committee, is responsible for performing the  
17 initial review to determine if all the elements  
18 required for bringing this to the full Committee  
19 are present.

20 The Nomination and Prioritization  
21 Workgroup has completed its review, and Dr. Scott

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Shone, a Committee member and member of the  
2 Nomination Prioritization workgroup will provide  
3 a summary of the nominated condition and the  
4 workgroup's recommendation to the Committee.

5 Dr. Shone.

6 DR. SCOTT M. SHONE: Thank you,  
7 Dr. Bocchini, Committee members. Good to see  
8 everybody.

9 As Dr. Bocchini said, I'm going to be  
10 presenting the Nomination and Prioritization  
11 Workgroup report on cerebrotendinous  
12 xanthomatosis, and I will now call it CTX for the  
13 rest of the discussion. The Nomination and Prioritization  
14 Workgroup includes Dr. Bocchini,  
15 Dr. Brosco, Dr. Cuthbert, myself, and Dr. Tarini.

16 I want to be clear and Dr. Bocchini  
17 mentioned this, that the purpose of the workgroup  
18 is not to evaluate whether or not there's enough  
19 evidence for inclusion of CTX on the RUSP but  
20 rather if a nomination package includes all of  
21 the components necessary to facilitate or to

1 suggest that there is enough evidence to permit  
2 that review within a nine-month time frame that  
3 the External Evidence Review Workgroup must  
4 conduct.

5           So as we've heard, the nomination of CTX  
6 was led by Dr. DeBarber, and then was co-  
7 sponsored by many additional individuals, some of  
8 whom we've heard from today.

9           CTX is a progressive metabolic  
10 leukodystrophy. It is a disease of lipid  
11 storage, as we've also heard earlier.

12           The onset ranges dramatically from birth  
13 to adulthood -- and we've heard examples of that  
14 from the public comment -- with infantile-onset  
15 diarrhea, childhood-onset cataracts, adult to  
16 young adult-onset tendons xanthomas as well as deterioration  
17 of neurologic function. And then adult-onset progressive  
18 neurologic dysfunction  
19 from dementia through to seizures.

20           CTX is an autosomal recessive inheritance  
21 but does have, as I said, variable phenotypic

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 expression. It's a deficiency of mitochondrial  
2 enzyme coded by CYP27A1 gene.

3           But there are more than 57 disease-  
4 causing variance found in this gene, and there  
5 had been no phenotype genotype correlation with  
6 CTX. And the onset and presentation of symptoms  
7 is substantially variable with the same  
8 pathogenic variant causing different outcomes  
9 within even the same family.

10           It has been reported about 300 CTX  
11 patients worldwide over the last 70 years with  
12 incidents varying significantly. I listed here  
13 1:130,000 in the South Asian population to  
14 1:470,000 African. There are some where  
15 incidence is much higher. Dr. DeBarber mentioned  
16 the study in Israel where CTX is much more  
17 prevalent, but there is a wide range of instances  
18 of the disorder found in the population.

19           So that's a little background on CTX,  
20 summarized what was in the packet that was shared  
21 with the Committee.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           I want to cover the key questions that  
2 the N&P Workgroup considered when reviewing the nomination  
3 package.

4           First, is the nominated condition  
5 medically serious?

6           Is the case definition proposed and the spectrum  
7 of conditions well described to help  
8 predict both the phenotypic range of the children  
9 who will be identified based on the population  
10 screening?

11           Are there prospective pilot data from a  
12 population-based assessment available for the  
13 disorder?

14           Does the screening test analytic  
15 validity?

16           Are the characteristics of the screening  
17 test reasonable for the current newborn screening  
18 system?

19           Is there a widely available CLIA and/or  
20 FDA-approved confirmatory and diagnostic process?

21           And do the results bear clinical utility?

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 So if the spectrum of disease is broad, as we've  
2 seen here, will the screening and/or diagnostic  
3 test identify who is most likely to benefit from  
4 the treatments we've discussed and listened to?

5 And then finally, are there defined  
6 treatment protocols available, FDA-approved  
7 drugs, and is it widely available?

8 So I'm going to go one by one through  
9 each of these questions.

10 So the first question: Is the nominated condition  
11 medically serious? Yes. CTX is  
12 serious. We've heard that from the public  
13 comments. The N&P Workgroup felt there was  
14 adequate evidence to support that. Despite the  
15 range of phenotypes, it's a progressive  
16 neurologic disorder. When left untreated, it is  
17 very serious when identified clinically, which is obviously  
18 the hallmark of a newborn screening  
19 disorder, but it is very rare with only 300 cases identified,  
20 as I said, in the last 70 years with different  
21 incidences -- substantially different incidences based on

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 the subgroup.

2           Is the case definition and the spectrum  
3 of the conditions well described? The N&P  
4 Workgroup felt that, as proposed, the case  
5 definition was not well described. They refer to  
6 a suspicion index -- a public suspicion index  
7 that aids in the clinical diagnosis of CTX. It  
8 takes into account a great number of factors,  
9 including family history and systemic and  
10 neurologic features. And while the most serious phenotype  
11 is clear, there is a lack of  
12 genotype/phenotype correlation, and minimal data  
13 is available on the clinical subtext we see.  
14 Also, the case definition in the nomination  
15 packet did not have any biochemical markers or  
16 profile to aid in identification of CTX cases.

17           And as been brought up in the oral  
18 comments, are prospective pilot data available?  
19 And before I get into the answer to that  
20 question, which we've heard a little bit about, I  
21 wanted to go over the three main features of the

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 criteria for prospective pilot study data for the Committee  
2 to consider the study.

3           The pilot study proposed should have  
4 evaluated the newborn screening process from  
5 collection through diagnosis and identify at  
6 least one screened positive newborn with  
7 confirmation of the condition under the  
8 consideration.

9           The population for the pilot study as  
10 well as the screening protocol should be similar  
11 to the US population and to state newborn  
12 screening programs with respect to known  
13 prevalence of the condition, the timing and  
14 approach to screening.

15           And the modality used in the pilot study  
16 should be comparable to the method proposed in  
17 the nomination application.

18           And so the answer to this question is  
19 also no.

20           The three pilot studies identified in the  
21 nomination packet: The first was the pilot study

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 in the Netherlands population which had about 200  
2 samples tested. They were all anonymized.

3           Likewise, the population study that's  
4 ongoing in pilot study three listed by Dr. Gelb  
5 is an anonymized study -- it's ongoing. There  
6 have been no screened positive results yet as  
7 well.

8           There is an identifiable Israeli  
9 population study going on currently that  
10 Dr. DeBarber mentioned. The caveat to this: The  
11 N&P Workgroup felt this challenged the criteria  
12 of requiring a population similar to US  
13 population with respect to known prevalence. The  
14 pilot study focusing on a high prevalence  
15 population doesn't accurately affect will we be  
16 able to identify this disorder within the system  
17 -- within the United States.

18           Does the screening test have established analytic  
19 validity?

20           This was unclear. This was neither a yes  
21 or a no, but based on the information submitted

1 to the N&P Workgroup, we could not make the  
2 determination on analytic validity. There was  
3 good data provided, but it was not thorough  
4 enough for our evaluation to be completed.

5           There were two methods suggested, a  
6 published paper as well as a manuscript submitted  
7 with flow injection, single-tier assay that used  
8 the ratios that Dr. DeBarber mentioned as well as  
9 a two-tier assay that had much higher  
10 specificity.

11           For both assays, the data submitted was  
12 very limited in terms of analytic validity. The manuscript  
13 submitted for the two-tier method did  
14 not include the supplementary data that was  
15 referred to throughout the manuscript. Only  
16 accuracy and precision data was provided for  
17 between run, not throughout.

18           Linearity and interference results were discussed  
19 but not shown to us. There was no data provided for limits  
20 of detection or  
21 quantification as well as recovery of the desired analytes.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           And matrix effects were indicated. This  
2 was a quote in the manuscript: "Matrix effects  
3 indicated the need for a stable isotope internal standard,"  
4 but it was not available at the time  
5 of the study presented to the N&P Workgroup.

6           Likewise, the characteristics of the  
7 screening tests proposed were unclear in terms of  
8 their reasonableness for the current newborn  
9 screening system. The single-tier assay proposed  
10 used quarter-inch punch as opposed to the one- eighth-inch  
11 punch commonly used throughout the  
12 newborn screening system. Or they used two one- eighth-inch  
13 punches.

14           The assays varied substantially in the generation  
15 of mass spectrometry used from the  
16 Waters Premier XE to the Sciex QTRAP 5500, which  
17 spanned the gamut of sort of the lower end mass  
18 spec to the higher end mass spec where most  
19 newborn screening programs currently use a model  
20 that's somewhere in between the two.

21           The false positive rate was acceptable.



1 effectively discussed other than to say that they  
2 would -- they would screen positive for other  
3 peroxisome biogenesis disorders, cholestatic  
4 liver disease, as well as even Niemann-Pick Type  
5 C.

6           The availability of a clear FDA-approved  
7 confirmatory test. The answer to this is most  
8 certainly yes. The measurement of elevated  
9 cholestanol in blood and elevated bile alcohol glucuronides  
10 in urine is well established, the measurement of ketosterol  
11 bile acid precursors in blood, as well as genetic testing.  
12 And there are multiple CLIA-certified laboratories  
13 performing this type of confirmatory test.

14           It is unclear, however, if the results  
15 have clinical utility. Again, this wasn't a yes  
16 or a no. But based on the available data for the  
17 N&P Workgroup, we felt it was unclear.

18           There is a broad spectrum of disorder  
19 phenotypically with a few cases. The suspicion  
20 index I mentioned during the discussion on case definition  
21 is a guide for diagnosis. And while

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 the most serious phenotypes are clear, the  
2 progression of other phenotypes is uncertain with  
3 very limited data on variance.

4           Ultimately, it's really unclear how cases  
5 would be handled that have a high suspicion but  
6 limited clinical findings.

7           Again, it was mentioned by the speakers  
8 before me that there are defined treatment  
9 protocols available, and that CDCA treatment is  
10 clear though. While low risk, it has some  
11 hepatic toxicity and cholic acid has been  
12 recommended as a less hepatotoxic treatment but  
13 might not be as effective. And the FDA has not  
14 granted marketing approval of CDCA for treatment  
15 of CTX. However, it was granted orphan drug  
16 designation.

17           So to summarize for the Committee, I  
18 listed the key questions again.

19           The condition is medically serious.

20           The N&P Workgroup did not feel that the  
21 case definition was well described, nor are there available

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 pilot study data require for the  
2 advancement of the nomination.

3           The analytical validity and  
4 characteristics of the screening test were  
5 unclear to us.

6           There is a widely available CLIA FDA-  
7 approved confirmatory test.

8           The clinical utility remains unclear.

9           But there is treatment available, as has  
10 been discussed.

11           And so the N&P Workgroup found the  
12 Advisory Committee will provide guidance to the nominators  
13 regarding additional information  
14 needed to meet the Advisory Committee  
15 requirements to complete the nomination packet as  
16 well as additional areas needing clarification.

17 Thank you.

18           DR. JOSEPH BOCCHINI: Thank you, Scott.

19           So based on the decision of the  
20 Nomination and Prioritization Workgroup, it was  
21 felt that we needed to go back to the nominators

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and felt that this condition was -- this packet  
2 was not ready to be brought to the full Committee  
3 for a vote. So there will be no vote today.

4 I want to compliment the submitters. I  
5 think that it's very clear that you have met some  
6 of the standards that the Committee requires. In addition,  
7 you have some ongoing work that will  
8 provide what we hope with the data that's  
9 necessary to meet the pilot study requirement  
10 that the Committee has. And we think that some  
11 of the areas needing clarification could be done  
12 through conversation with the Committee and  
13 providing the additional data with the work that  
14 you're doing.

15 So we felt overall, this is a very  
16 positive submission, but that we still needed  
17 more work before we were ready to determine --  
18 before we had all of what was needed to go  
19 forward to bring this to the full Committee for a  
20 review for potential nomination -- bringing it  
21 for evidence review.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So I want to thank you for all you did to  
2 get to this point and all the work that you've  
3 done to help the families that are affected by  
4 CTX.

5           So with that, let's open this to the  
6 Committee to determine if there are any  
7 additional questions or comments that they would  
8 like to make concerning this submission.

9           Sue? You probably need to identify  
10 yourself.

11           DR. SUSAN BERRY: There's a sign with a  
12 smiley face that says state my name. So this is  
13 Sue Berry.

14           And one of the things that we've  
15 consistently observed in bringing new disorders  
16 onto the panel is that there are -- and it's  
17 going to be clear in this one too, a wide  
18 spectrum of presentation. And so even when we  
19 have a target for something really important,  
20 there are other conditions, not related  
21 conditions, but the very condition you're looking

1 for that are variant. It seems like this is a  
2 condition where that's going to be true given  
3 what has been described about the phenotypic  
4 variability. What I couldn't get a sense of here  
5 was how frequently this presents in the neonatal  
6 period as opposed to other times.

7 I think when you screen for things as  
8 newborns, once of the targets -- or in many ways  
9 we've tried to direct it toward early  
10 intervention in the newborn period that needs to  
11 be relatively rapid. I understand that there is  
12 some benefit of identifying disorders that will  
13 have later onset, but knowing the temporal  
14 progression and percentage, if you will, that  
15 need immediate attention is another question that  
16 I didn't get a sense of here. Is that something  
17 that can be asked as well, or could you clarify  
18 that for us, Scott?

19 DR. SCOTT M. SHONE: Scott Shone. So in  
20 terms of -- correct me if I'm wrong, but I think  
21 what you're discussing is sort of the assessment

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 of benefit of detection through -- yeah. So  
2 that's really not the --

3 DR. SUSAN BERRY: I think it's part.

4 DR. BETH TARINI: This is like urgency.

5 You have urgency because benefit does not  
6 necessarily have --

7 DR. SUSAN BERRY: I was looking at  
8 urgency but also early benefit. Yeah.

9 DR. SCOTT M. SHONE: I think -- well,  
10 what I was going to say still stands. I think  
11 that, that's really the point of the external  
12 evidence review. I don't think that, that's the  
13 purview of the N&P Workgroup. That level of data  
14 would come through the next step, and I think  
15 that it's okay for that.

16 So I think -- as I look at Dr. Bocchini.  
17 I don't necessarily think it has to be part of  
18 the nomination packet. Obviously, it helps guide  
19 the next steps or pointing out that, that data  
20 does exist. But in terms of the questions that  
21 were answered, that level of assessment is not

1 part of what we looked at or what we look at on a  
2 reapplication.

3 DR. SUSAN BERRY: This is Sue again. I  
4 would even settle for a ballpark guess, so that I  
5 could sort of put that in my intellectual context  
6 as I think about what we learn going forward.  
7 But I understand if you don't have it. That's  
8 fine.

9 DR. JOSEPH BOCCHINI: Mei, Dr. Baker.

10 DR. MEI BAKER: Mei Baker. I want to  
11 adding on this a little bit because based on my  
12 knowledge for this disorder, it's a little bit  
13 different than yours. If we talk about late  
14 onset, for example, Pompe, when it's later, and  
15 then they have very limited, mild muscle  
16 weakness.

17 But this disorder, what I understand is, actually,  
18 the symptoms actually find out early  
19 on, just non-specific. People didn't pick it up.  
20 Diarrhea - people didn't pick it up. But until  
21 you have cognitive function, at that time you

1 cannot go back.

2           So we did see an adult patient here, but  
3 it's very -- it's very different. I think this  
4 pattern is different. So I just wanted to  
5 mention that.

6           DR. JOSEPH BOCCHINI: Dr. Brosco.

7           DR. JEFFREY P. BROSCO: Yeah. Following  
8 up on Mei's point. This is Jeff Brosco. I think  
9 that's exactly right. A lot of other conditions  
10 we face, the late onset has been so much more  
11 mild. It raised questions about, well, how good  
12 is it to know early on. This is a case where the  
13 late onset is actually -- has devastating  
14 consequences very often.

15           So, yes, you're early detection may be  
16 15, 20 years ahead of time, but it's different in  
17 that way. So it really does raise an interesting question  
18 to about if it's spectrum versus, you  
19 know, just the time lead.

20           UNIDENTIFIED FEMALE: Misdiagnosed.

21           DR. JEFFREY P. BROSCO: Yeah. Yeah.

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Undiagnosed because the --

2 DR. SUSAN BERRY: So this is Sue again.  
3 What I would say about that is we don't -- I hear  
4 loud and clear, and I understand that early  
5 detection could prevent things. And that's a  
6 valuable thing, but we simply don't know about  
7 the spectrum. Is that fair? There might be an equivalent  
8 mild version that we don't know about  
9 that we pick up. I mean, we did this with all  
10 other newborn screening disorders. So I wouldn't  
11 be surprised if that happened.

12 DR. JEFFREY P. BROSCO: Yeah. This is  
13 Jeff Brosco again. And part of it is because  
14 it's such a rare condition, and there's 300 cases  
15 in the last 70 years, as far as we know. So,  
16 yeah.

17 DR. MEI BAKER: Can I add on one thing?

18 DR. SCOTT M. SHONE: Sure.

19 DR. JOSEPH BOCCHINI: So I have Mei, and  
20 then Beth, and then Kellie.

21 DR. MEI BAKER: I agree with Sue's point.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 It's just because right now we have a majority of  
2 the clinical information. If I have the  
3 spectrum, if I have a milder case, we don't know.  
4 It's why we need a pilot study. So clinically  
5 you symptomatically -- you identified. Then we  
6 can assess the course.

7 DR. KELLIE B. KELM: But would we truly  
8 assess the course if we identified them early, if  
9 they got placed on treatment early. So we will  
10 alter the course that we see, right? So we would  
11 have to identify them and watch them to see what  
12 kind of outcome. Otherwise, we won't know.

13 DR. BETH TARINI: This is Beth Tarini. I  
14 would say that in the sort of frame of screening,  
15 I think it goes -- you can pretty much place a  
16 high bet on it that your prevalence will go --  
17 you will become more -- the prevalence will  
18 become more common when you screen because you  
19 will find undetected -- yet undetected or  
20 asymptomatic disease. And I think, although I  
21 don't have the data in front of me, I'm willing

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to bet pretty highly that if you compare the  
2 nomination packets to the known prevalence after screening  
3 many of these conditions will find a  
4 rise.

5           Now, I don't have that data, but it goes  
6 -- it goes with the screening principles in  
7 general, what we know from screening in adult  
8 non-rare disease as well.

9           DR. KELLIE B. KELM: Kellie Kelm. So it  
10 was interesting talking about the benefits of a  
11 pilot in terms of course, for example. The other  
12 information that I don't know whether or not it's something  
13 that's being worked on that can be  
14 gathered that's often helpful for us is what are  
15 the benefits of early detection but also  
16 treatment. And so, obviously, we didn't hear  
17 much about that, but that might be useful  
18 information when the nominators come back.

19           DR. JOSEPH BOCCHINI: Okay. Additional  
20 questions or comments?

21           (No audible response)

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           All right. Hearing none, our goal is to  
2 get back to the nominators as soon as possible  
3 with our considerations for those things that  
4 need clarification as well as a review of the  
5 other essential elements that we need to meet  
6 before we come back with a full review. So thank  
7 you again very much.

8           So I think with that, we can take a short break.  
9 We're going to start back at 3 o'clock.

10           DR. CATHARINE RILEY: We can start a  
11 little early.

12           Okay. You want us to come back at 3:15?  
13 It's okay to take a half an hour break?

14           DR. CATHARINE RILEY: Yes. And if I --  
15 and if I could, just a reminder -- this is  
16 Catharine Riley -- a reminder the cafeteria does  
17 close at 3:00. So if anyone is interested in  
18 getting refreshments across the way, the  
19 cafeteria closes at 3:00. After that, there is a  
20 snack room that's available as well.

21           And I think we'll reconvene a little

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 earlier so we can --

2 DR. JOSEPH BOCCHINI: Yeah. I think so.

3 So we have 20 minutes until 3 o'clock, and we'll

4 just come back at 3:00? Okay. Let's come back

5 at 3:00. Thank you all very much.

6 (Recess taken from 2:40 p.m. - 3:00 p.m.)

7 DR. JOSEPH BOCCHINI: All right. So

8 welcome back. We're going to start this session

9 with a presentation by Natasha Bonhomme. Natasha

10 is the organizational representative from the

11 Genetic Alliance. She is the Strategic Strategy

12 Officer for Genetic Alliance and overseas

13 maternal and child health initiatives. Natasha

14 has led a range of programs that focus on public engagement

15 in healthcare, including most recently

16 the Newborn Screening Family Program.

17 Today she will be sharing highlights from

18 the development, implementation, and impact of

19 Baby's First Test, which served as the newborn

20 screening clearinghouse from September 2011

21 through August of this year.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1           So, Natasha, welcome.

2           MS. NATASHA F. BONHOMME: Thank you so  
3 much, and thank you for inviting me to speak with  
4 you. I know you all, who have the briefing  
5 booklets, know that I sent about a million  
6 slides. Don't worry. I'm not going to go over  
7 all of them, just a select few. But with that,  
8 we can dive right in.

9           Here is our HRSA-funded language,  
10 everything that I'll be presenting to you today  
11 was supported through HRSA funds.

12           So even after having spent an extensive  
13 amount of time talking about newborn screening  
14 education. The question of why still comes up.  
15 We still get phrases like this when we go to  
16 meetings, which says, "Why do you need to educate  
17 about newborn screening? It just happens.  
18 Parents don't have to do anything or ask for it."  
19 And I think it's important for us to always  
20 remember that, that is still very much a top of  
21 mind question when we go out, particularly when

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 we are seeing healthcare providers or people who  
2 are just in maternal and child health in general  
3 who are thinking of all the hundreds of other  
4 things that they have to educate families about.  
5 So that we were thinking of this not just within  
6 our vacuum of us here who know why this is so  
7 important but also our fellow colleagues.

8           And I think this quote really addresses  
9 kind of why it's important. This is from our --  
10 one of our Consumer Task Force members, and she  
11 said, "Thankfully we were quickly educated about  
12 MCADD by both our pediatrician and some  
13 incredible genetic and metabolic specialists at Children's  
14 Hospital. He is going to be fine  
15 because everyone knows how his body works  
16 differently and can factor that knowledge into  
17 his care."

18           And I think what we all want is that  
19 every family who goes through the newborn  
20 screening process to end up, at the end of the  
21 day, feeling this confident, knowing things are

1 going to be okay, and that there is education for  
2 them as families but also for the care team that  
3 will be around them.

4           So for those of you who do not know, our  
5 Baby's First Test is a national newborn screening resource  
6 center. It housed the Clearinghouse as  
7 laid out by the Newborn Screening Saves Lives Act  
8 and the reauthorization of that bill up until  
9 this past August, and the main goal is to inform  
10 and support families and healthcare providers  
11 throughout the newborn screening experience.

12           We've gone through a range of different  
13 iterations, and really this has been in response  
14 to the behaviors that we've seen of people  
15 actually using the site. We have condition-  
16 specific information as well as what each state  
17 screens for. You'll see through these different iterations  
18 on this screen that, that information  
19 has become more and more prominent, and that was  
20 based off of the fact that throughout the time  
21 that BabysFirstTest.org went live about 80

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 percent of our traffic goes to those two things.  
2 It's very clear that is what people are  
3 interested in.

4           And so we've built the site and  
5 rearranged it over the years to really meet the  
6 needs of people who are coming there. But we  
7 have, I want to say, about 79 different  
8 conditions. We work with all 50 states and the  
9 newborn screening programs to have their  
10 information be up to date and are always really  
11 looking to see what are people looking for; what  
12 are the questions we're getting? Did I go out,  
13 or can you -- oh, sorry. What are the questions  
14 we are getting and how do we make sure that the information  
15 that's on the site is easily  
16 accessible.

17           So I won't go through all of these stats,  
18 but this just shows basically where we have been  
19 since 2011 when we launched. We launched  
20 September 2011 and during Newborns Training  
21 Awareness month. I would say the majority of our

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 visits have actually come within the past three  
2 years, and so we've really seen kind of an uptick  
3 in the usage of the site.

4           And one thing that I think is important  
5 here to note is the use of -- or users coming to  
6 the site via mobile devices. I would say  
7 probably four, four and a half years ago that  
8 number hit 50 percent and then really just kept  
9 going up. And that, again, was one of the  
10 reasons why we thought, okay, it's really  
11 important to make sure that the information on  
12 the site, of course, is accurate but accessible,  
13 knowing that most people are coming to the site  
14 via mobile device.

15           A very concrete example of how that was reflected  
16 in the way that we presented our work  
17 was that you'll notice, if you go to the site, a  
18 lot of our pages are very long. You scroll, and  
19 scroll, and scroll, and scroll through. The  
20 reason for that is once you put in pages, each  
21 page needs to be refreshed, and so we made that

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 conscious decision to keep the pages long so that  
2 there was only one refresh. Again, just one  
3 example of really looking at where people are  
4 coming from, how they were accessing our site,  
5 and then how that led to decisions we made around  
6 our work.

7           And as I go through this -- and obviously  
8 I'll be talking about BabysFirstTest.org -- I  
9 really hope this is an example of how, when  
10 anyone else is thinking about the type of  
11 educational work that they're doing, how they can  
12 take the lessons learned from this program and  
13 really apply it to what you're doing. To me I  
14 think that's the most valuable piece of this  
15 work.

16           So we also have an app, and many people thought,  
17 well, you're already online. You  
18 already have a responsive website. What do you  
19 need an app for? So we really built the app  
20 based on -- and this is best practices in  
21 building apps; it's not that we thought of it

                                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 ourselves -- but really looking at who's coming  
2 to your information frequently and repeatedly and  
3 what are those behaviors. So it's not  
4 necessarily about is this app for parents or for healthcare  
5 providers, but more so about is this  
6 for someone who's behavior is that they're coming  
7 to the site multiple times. And that's really  
8 how we framed it, and we kept the information on  
9 the app kind of specific to that. Again, what  
10 people were coming back to time and time again.  
11 And what we heard, especially when we were going  
12 out and going to nursing conferences in  
13 particular is that people really wanted to see  
14 what was being screened for in the neighboring  
15 state, if they were seeing babies who had  
16 actually been born in that other state, so they  
17 could compare and contrast and say, okay, do we  
18 need to follow up on this or that. So we saw  
19 that, that has been helpful for them.

20 We have our interactive maps, and one  
21 thing that is important to note here is our --

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 we've had great and very involved steering  
2 committee members. And one of the pieces when we  
3 were launching our maps that our steering  
4 committee members really emphasized was you can't  
5 just have a map out there. You can't just have  
6 data on these topics in terms of what states are screening  
7 for what conditions. Right now I'm  
8 showing SMA. But you have to put some context  
9 into it, and hence, we have that header that  
10 shows when SMA was added to the RUSP.

11           And we realize that, while we may see a  
12 map without that context and understand what that  
13 means and why every state isn't screening for SMA  
14 at this point, that it was important to remember  
15 that the audiences for Baby's First Test,  
16 particularly based as the clearinghouse, was  
17 almost everyone. If you read the legislation,  
18 they list about five or six different audiences.  
19 But for us our primary target audience were  
20 families, expectant families, and new families,  
21 knowing that others would be looking at this too.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           We have our resource center, which we  
2 opened in 2016. And we had always heard, again,  
3 it's a really good example of if you build it,  
4 will they come? What will actually happen when  
5 you put something like this out there? People  
6 had often said we need a place that we can have  
7 all the resources that people want to exchange.  
8 All the times you send an email with an  
9 attachment and then -- but you remembered seeing  
10 it on that list serve, but where is it. We  
11 should have this housed someplace.

12           So we built a resource center, and what  
13 we've actually found is that the people who are  
14 using it the most are actually using it to order materials.  
15 So many of the materials that I will  
16 be showing in, I think, the next slide.

17           We have, since 2016, we have had a  
18 request for and disseminated over 60,000 pieces  
19 of educational material. Some of those coming  
20 from states. We do co-branding of our materials,  
21 and they can be ordered through here, but many of

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 them through hospital systems as well as  
2 healthcare providers. And that really, to us,  
3 showed that though -- I should say I think one of  
4 the reasons why there is such a high volume there  
5 was because up until August, those materials were  
6 free of charge. That always helps.

7           But it was really interesting for us to  
8 try to get some data back to say, okay, well,  
9 well did you hear about this? How did you know  
10 that this material existed and you could either  
11 download it -- downloading is an option -- or  
12 order it from us to be printed? And we really  
13 saw that people just had found it on the site and  
14 really said, well, you guys already do it, so now  
15 I don't have to do this. And that was generally  
16 the message that we got from the healthcare  
17 professionals that were ordering this as well as  
18 the more community based organizations.

19           So here are some examples of our  
20 materials, and I'll go through these pretty  
21 quickly. But one thing that I wanted to note is

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that when we were thinking of educational  
2 materials, we really were looking for that first  
3 line of how do you raise awareness, and some of  
4 the data I'll present a little bit later on will  
5 touch on that. But the importance of really is  
6 it always about having a parent or healthcare professional,  
7 all the ins and outs of newborn  
8 screening, or is really just about making sure  
9 newborn screening actually happened and some of  
10 the key components. So a lot of our materials  
11 really focus around there. There are three steps  
12 to it. We think it's important that people know  
13 that it happens in that 24-hour period, and that  
14 you don't need to request it at the hospital,  
15 which is why a lot of our materials, like this  
16 one, really focus in on those types of details as  
17 opposed to getting more granular.

18           One item that came up with one of our  
19 consumer taskforce members was that she said, you  
20 know, during the -- during pregnancy and during  
21 the prenatal time, you get -- you see this image

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 of all these tests and what's going to happen  
2 week to week and trimester by trimester. Why  
3 don't we just add on the newborn screening  
4 component to that? And so she crafted this, and  
5 we worked with her to get kind of the language  
6 accurate. And this has become probably our most  
7 popular resource. Again, it puts it within the  
8 context that people are already thinking about  
9 it. They're already thinking about, oh, first  
10 trimester looked like this. Second trimester  
11 looked like that.

12           And while from a healthcare perspective  
13 we often try to keep these things very different,  
14 there are different types of healthcare providers  
15 you see during that time, but from a family  
16 perspective, this makes sense because it's just  
17 the next step. It's now babies here, and now  
18 this is what we do in terms of trying to make  
19 sure that the baby is healthy.

20           And this just shows the back, again, some  
21 of our core ideas of what we think are really

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 important for people to know and then a family  
2 story.

3           So in 2015 we started -- well, I should  
4 say 2014 we really started to think about, okay,  
5 well, we get a lot of requests for information in Spanish.  
6 What would it look like to have a  
7 Spanish version of the test? Now, I was thinking  
8 about this last night when I was going over my  
9 slides, and I was like, wow, if we were to do  
10 this today, I think it would be a whole lot  
11 easier. The technology and the accuracy of  
12 translation services are much better today than  
13 they were even just three years ago in just the availability  
14 of that. But in 2014, 2015, when we  
15 were doing this, we went through and we hired a consultant  
16 to basically just translate everything altogether.

17           We launched the Spanish version of Baby's  
18 First Test, and because it wasn't just a direct translates,  
19 like just put a Google translate on  
20 it. We basically rebuilt the site again, and so  
21 we basically went from having one site to two

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 sites, which meant having one project to two  
2 projects, even just within -- just to meet this  
3 need that we really saw was really pressing.

4           So just this year we wanted to see, okay,  
5 well, who is coming to the site. Based off of  
6 work that we had done previously, we know that  
7 the traffic and the visits to Baby's First Test,  
8 the English version, is almost split evenly  
9 between healthcare providers and families, with a  
10 very small slice of people who identified  
11 themselves as advocates or industry  
12 representatives.

13           So we wanted to see what does that look  
14 like on the Spanish site, and we see here that we  
15 have a much larger percentage that identify as  
16 parents or family members, which I think you can  
17 see makes sense.

18           So all of those materials I showed  
19 earlier as well as any of the other ones that we  
20 have created as a team are available in Spanish.  
21 And I forgot to mention this on the previous



1 it's always difficult to evaluate an educational  
2 effort. Let's see what we can learn through this  
3 process and be able to share back with the rest  
4 of the community.

5 Today I'm really going to focus on the  
6 third listing. I already talked a little bit  
7 about Google analytics, and I think I presented  
8 to this Committee a couple years ago about the  
9 user survey. But the past two years we've  
10 focused with our partners at RTI on really  
11 evaluating Baby's First Test from a perspective  
12 of user knowledge and awareness as well as really evaluating  
13 our partnerships.

14 So let's start with the website  
15 evaluation with parents. As with so many things,  
16 we started to get the question of, well, what's  
17 the impact? This is great. People think Baby's  
18 First Test is nice, but what is the actual  
19 impact? What happens when someone actually goes  
20 to the site?

21 So we had 777 women and men, who are

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 either planning a pregnancy, currently pregnant,  
2 or their partner was pregnant. And we collected  
3 some information from them. We had them either  
4 visit one page on Baby's First Test or a control,  
5 and the control was a very popular, very well-  
6 known pregnancy-oriented website; and the page we  
7 picked was around nutrition because we know that  
8 is probably one of -- the number one, if not one  
9 of the top five, things that people look at when  
10 they realize they're pregnant. They want to know  
11 what they should be eating, what their nutrition  
12 should look like. And I won't go through all of  
13 this since everyone can see it, but this is  
14 really kind of the modeling for that research  
15 that we did -- or I shouldn't say research --  
16 that evaluation that we did.

17           One thing that we found that was pretty  
18 interesting is that even before seeing either the  
19 control site or the Baby's First Test site, two-  
20 thirds of participants said they had heard the  
21 term newborn screening, and that actually falls

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 in line with some of the other research that's  
2 out there when people have been asked, "Do you  
3 know what newborn screening is?"

4 We did take that a step further to say,  
5 okay, for those who said they knew what newborn  
6 screening was, could they pick out a definition  
7 in a multiple choice, and only a third of them  
8 could.

9 And I think that is really important to  
10 note when you're thinking about education. One,  
11 what does it look like to educate someone around  
12 a topic they say, wow, I don't know anything  
13 about that; I should learn more, compared to  
14 educating someone about a topic that they're  
15 like, oh, yeah, no; I know that. Are they going  
16 to pick up that brochure or look at that site if  
17 they think, oh, that sounds right; I know what  
18 that is?

19 And frankly, we even have healthcare  
20 providers who think they know what newborn  
21 screening is but have come up to us and say at conferences,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 "Oh, yeah, you know, that prenatal  
2 testing." Or, "Yeah, Apgar." We get that all  
3 the time.

4           And you know, I think we should cut  
5 people some slack. It's called newborn  
6 screening. It's pretty broad. It's pretty -- I  
7 don't want to say vague. It can be quite  
8 encompassing, but I thought that was interesting  
9 and something to think about when you are doing  
10 your own educational efforts to really think  
11 about where is your audience at, not where do you  
12 think they're at, but where do they think they  
13 are at. That's not right, proper grammar, but  
14 you know what I mean.

15           So after the groups were exposed either  
16 to Baby's First Test page, which was just a  
17 general page -- we chose one of our more popular  
18 pages -- or the control, we gave them a knowledge  
19 test, and lucky for us, happy for us, those who  
20 had seen Baby's First Test scored higher than  
21 those who had not seen it. Again, it wasn't 13

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 out of 13 correct, but they had a substantially  
2 higher knowledge index on that; and it was  
3 statically significant.

4 I'm not going to go through all of this  
5 because I want to make sure that there's time for discussion  
6 and questions, but we thought it was  
7 important to think about what is the self-  
8 efficacy that may come out of an experience with  
9 Baby's First Test. And we thought that was  
10 important because we didn't want -- we wanted to  
11 show our test. Is Baby's First Test just a bunch  
12 of information that's online, or does it do  
13 something to actually engage with families in  
14 particular who are looking at it?

15 Both the control and the Baby's First  
16 Test site scored high on all of these measures,  
17 so I think that's important. But it was  
18 definitely statistically significant when looking  
19 at some of these questions such as I feel more  
20 confident in my ability to make informed  
21 decisions about newborn health. I feel more

1 confident in my ability to talk to my doctor  
2 about issues around newborn health. I feel  
3 confident in my ability to find information about  
4 newborn screening.

5           And one thing that this notes is that it  
6 shows that there may -- they feel confident in  
7 taking the next step, whether that may be to look  
8 for more information, to have a discussion with  
9 their healthcare provider, and we think that is a  
10 success for Baby's First Test. It's not the end  
11 all, be all, but if it encourages someone to go  
12 and look at something a little bit deeper or to  
13 go and speak to their healthcare provider about  
14 it, then that is a move in the right direction.

15           And again, the last three questions are  
16 all about foods and healthy eating, and that's  
17 because that's what the control was about.

18           So some of the implications: We really  
19 wanted to have as rigorous as possible an  
20 evaluation of the site because we wanted to see,  
21 was here an increase in knowledge. I think

1 there's a lot more evaluation that could be done  
2 around that, but this was our first step in that direction.  
3 We also saw that it did show that  
4 this could be an effective tool, and that even  
5 exposure to one page. So remember, the people  
6 who we just saw, they only saw one page. They  
7 didn't tour around the site. They didn't kind of  
8 search around. They only saw the one page we  
9 showed them that has general information. But  
10 that one page exposure did have an increase in  
11 their knowledge. It showed a difference in  
12 knowledge compared to looking at another site.

13           Okay. So I'm going to jump into the  
14 healthcare professionals. And this is one thing  
15 that through the years people have often said,  
16 oh, Baby's First Test is for families. It's just  
17 for families. And while that is definitely our  
18 primary audience, like I said earlier, we know  
19 that nearly 50 percent of us -- it's 47 percent  
20 of the traffic coming to the site are from  
21 healthcare professionals. We know through the

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 emails that we get from our general contact form  
2 as well as well as through our ask the expert  
3 module. We get a lot of questions from  
4 healthcare providers. So this is why this part  
5 of the evaluation was included.

6           From the 12 that we spoke with, we heard  
7 from them that newborn screening was not a top  
8 priority to discuss. Newborn screening wasn't a  
9 top priority to discuss. They felt comfortable  
10 talking about newborn screening generally, but  
11 once you started talking about the conditions  
12 themselves or even an abnormal result, there is  
13 more hesitancy. They weren't quite as  
14 comfortable, which actually ties to some of the discussions  
15 we were having this morning in the  
16 Education and Training Workgroup.

17           We also know that they were not  
18 necessarily seeking out this resource, which,  
19 again, is the thought of when you're thinking  
20 about reaching out to a group, are they -- you  
21 may be reaching out to them, but are they

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 reaching back out to you? And what are the  
2 strategies that you have to put in place when  
3 that is the dynamic?

4           Once they went through the site, most  
5 found it to be useful and thought that it was  
6 good to educate both themselves and their  
7 patients. Oftentimes we have these discussions  
8 around education either for -- and it's important  
9 to think about who your audience is, of course,  
10 but we kind of keep providers over here and  
11 families over here. That doesn't mean -- a lot  
12 of times it is the same information that is being  
13 sought out. So I think you can kind of do a  
14 little bit of that double duty, depending on how  
15 things are structured.

16           I won't go through all of these, but it  
17 showed that the healthcare providers, their  
18 perceptions was that the site was primarily for  
19 parents, but that there could be information for  
20 them. And you may ask why are we looking at  
21 perceptions, but we know that when you have an educational

1 material, let's say, if someone  
2 perceives it's not for them, then they're not  
3 going to engage with it. So we really wanted to  
4 test, is this something that would catch the eye  
5 of a healthcare provider? Would they think to be  
6 drawn in or not? Again, it's an important piece  
7 when thinking about the different audiences that  
8 you're trying to reach out to. Does the person  
9 think this is for them? And that can be the case  
10 if there's a material that's too complicated.  
11 Then families may think, oh, that's not really  
12 for me and may pass by it. So again, just one of  
13 those lessons learned that wanting to share with  
14 the rest of the community.

15           So about half of the participants said  
16 that they reported learning something new, and  
17 that they would want to share this information  
18 with their patients. And they also wanted to  
19 look at it when they had an out-of-range result,  
20 to kind of catch up on what they should be  
21 saying.

1           One piece that came up was that they were looking  
2 for -- that they thought it would be very  
3 helpful if there was a step-by-step approach to  
4 what they should do if there is an out-of-range  
5 result; that, that would be something that they  
6 would like to see added in, again, tying back to  
7 some of the discussions that the Education and  
8 Training Workgroup is having as well as the new  
9 ad hoc group. I think I was talking about that  
10 with this slide. I'm trying to make sure we have  
11 enough time.

12           So lastly with the evaluation was our  
13 partner evaluation. We've all -- or many of us,  
14 I should say, have had those grants that have the  
15 section about collaboration. You should  
16 collaborate with your federal partners, your non-  
17 federal partners, and what does that look like.  
18 We felt that we've done a lot of different types  
19 of partnerships. Many of them were informal, but  
20 we wanted to be able to capture the effort and  
21 energy that went into that; and to really answer

1 the question of and so what? Is that just a nice  
2 to have, or was there something really tangible  
3 that came out of it? So that's how we framed the questions  
4 for that portion of our evaluation.

5           So we categorized our partners and looked  
6 at them, and then we also did in depth web survey  
7 of 15 of those partners. So when we did our  
8 inventory, we found that we had 59 organizations,  
9 and on the next slide I'll talk about what does  
10 that mean; what does a partnership mean.

11           They were split, as you can see here,  
12 with many of them being professional  
13 organizations, government agencies, government-  
14 related programs, as well as web platforms, and  
15 that makes sense. That's where a lot of our  
16 efforts went at the beginning. It was really to  
17 get buy-in for the clearinghouse. I will say,  
18 when the clearinghouse first started, there were  
19 some who were skeptical, thought why don't people  
20 just go to where the information already is; is  
21 there really a need to pull that in? So our

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 partners here really do reflect kind of the  
2 places that we were putting our energies.

3           And then in terms of the web platform and  
4 app developers, though we would love to have  
5 everyone come to Baby's First Test, we knew that  
6 it was actually better to have solid content that  
7 we can then give to other groups that already had  
8 a track record that already had -- that were  
9 already seen as trusted sources of information.  
10 And so that is another place where we put our  
11 efforts in in terms of reaching out to those  
12 parenting and pregnancy websites that people were  
13 already going to and helping them update their  
14 content around newborn screening.

15           This shows the different roles and  
16 activities that we've had with our different  
17 partners. Most of it has been, like I said,  
18 around web content contribution and linking to  
19 that. Many of them link to Baby's First Test,  
20 but the place that I think many people have not necessarily  
21 thought of Baby's First Test was

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 around education and what we mean by there are  
2 webinars and trainings and workshops, things that  
3 we've done for a range of different communities.  
4 Some around nurses.

5           We've done a range of different workshops  
6 with nursing organizations. We've done  
7 trainings. We've done trainings for state  
8 newborn training advisory groups. We've had  
9 states come to us and say the group that's  
10 supposed to be thinking about newborn screening  
11 clearly needs a crash course in newborn  
12 screening; can you provide that? So we've done  
13 that work.

14           The work that's been increasing, I would  
15 say, probably in the last 18 months is capacity  
16 building and technical assistance. So all of  
17 those materials that I showed earlier,  
18 customizing them for states and also for  
19 different healthcare systems, having people come  
20 to us and ask us to review their educational  
21 programming and their educational strategies.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 And I'll just loop in program evaluation with  
2 that. We think that's great. We think that  
3 means education is on people's radars. It does  
4 not necessarily mean the program originally was  
5 built to do that, and so again, I think that  
6 maybe just a gap or something to think about in  
7 terms of the needs that's out there.

8           So when we're looking at the value of  
9 partnership, what we saw is that many -- almost  
10 every partner survey participant emphasized the  
11 value of their access to the information. It  
12 wasn't necessarily just us, but just the fact  
13 that there was a place to go to get quality  
14 information that they can pull and form their own educational  
15 strategies and saying that in having  
16 access to this is what increased their capacity.

17           Here is just some of the data, looking to  
18 see in terms of comparing to other organizations,  
19 was this a valuable partnership and what does  
20 that look like. These were also all anonymous.  
21 So I can't say who said what, but we wanted that

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to be -- we wanted people to be as transparent as possible  
2 and to see what was the role of babies  
3 first test in accomplishing their education  
4 goals. And as you can see, everything was  
5 typically around strongly agree or agree -- or I  
6 should say the majority.

7 I won't go through these future plans,  
8 but we'll emphasize the one piece that definitely  
9 came up is that people said they were happy to  
10 continue partnering with us, but it really had a  
11 lot to do with continued funding. And that makes  
12 sense. Like anything else -- we've seen when the  
13 newborn screening community has put in both  
14 resources from people power to funding, that  
15 we've really been able to see a lot of change.  
16 And people felt that they would be able to continue  
17 and maybe build upon this partnership if they  
18 actually had the funding to do that.

19 So in this last section, thinking about  
20 lessons learned, through Baby's First Test we  
21 have done a lot, a lot of different things, a lot

1 of things I didn't even touch on today from focus  
2 groups, to billboards, to a whole range of  
3 different things to think about how do we get out  
4 to people; how do we raise awareness. And we've  
5 learned a lot in that. I think one of the key  
6 pieces that we have learned is you can't just say education  
7 and have that be blanket. It's really educating who about  
8 what and why. What are the  
9 real outcomes we want out of that and to be as  
10 specific as possible.

11 I feel like now I can't do a presentation without  
12 having some version of this slide in  
13 here. These words are not synonymous. They mean  
14 very different things. They are different  
15 strategies, and they are different metrics to  
16 determine if you are successful or not.

17 You should not go and implement awareness  
18 strategies and think you're going to get outcomes  
19 that you would get from an ongoing long-term  
20 engagement with an organization or with a  
21 community of people. And I think that is one

1 thing that Baby's First Test has been able to  
2 bring to the different partners that we've worked  
3 with in terms of asking these questions when  
4 we're doing the program evaluation or kind of the capacity  
5 building work to say, okay, what is your  
6 end goal? Do you just want people to recognize  
7 the word "newborn screening" and have some sense  
8 of what that means? Or are you actually trying  
9 to see a certain change in behavior with, let's  
10 say, you know, hospital -- with nurses in the  
11 hospital. Okay. Depending on that, we'll have a  
12 range of different strategies to fit that.

13 I won't go into too much detail about ask  
14 an expert, but one thing that I think is  
15 important is for a site that is very clearly all  
16 newborn screening all the time, we still have  
17 almost 30 percent of the questions coming in  
18 about non-newborn screening related items. And I  
19 think that's important for us to think about;  
20 well, what does that mean as a program that, yes,  
21 we're in the newborn screening world, but we're

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 in the maternal and child health role. We're in  
2 the public health world. What does that look  
3 like?

4 I think it's also telling -- this is only  
5 data up to 2017. We have not incorporated our  
6 2018 data in this slide, but the majority of  
7 those non-newborn screening questions had to do  
8 with drug testing. Will my baby get drug-tested?  
9 If my baby is drug-tested, will my baby get taken  
10 away? And I think that really reflects where we  
11 are when thinking about maternal and child health  
12 issues. And so we, obviously, orient people to  
13 their state and to their healthcare provider.  
14 But it's very clear. I think some people would  
15 say, well, if I wanted my healthcare provider to  
16 know, I would have asked them that. But again,  
17 what does this mean as we think so often just  
18 about newborn screening, but again, to a parent  
19 it's about what's going to happen with me and my  
20 baby and to really be thoughtful about that.

21 So lastly in terms of lessons learned, we

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 know that both parents and healthcare providers  
2 want to know the importance of newborn screening.  
3 While very, very few people actually take two  
4 days to sit and think and talk about newborn  
5 screening, one of the things that constantly came  
6 up when we did focus groups, both at the -- at  
7 AWHONN, which is the Association of Women's  
8 Health, Obstetric and Neonatal Nurses, as well as  
9 focus groups at the American College of Nurse  
10 Midwives. At the end they would always say, you  
11 know, I've never just sat for an hour and a half  
12 and thought about newborn screening and why it's important.  
13 I just never -- which makes sense.  
14 But then after that they said, wow, like I'm  
15 really glad I had this time to sit and think  
16 about this and to realize what happens with that  
17 filter paper is really important.

18           We know people want to know about  
19 screening procedures, what are the state  
20 requirements as well as detailed information  
21 about conditions. We know families want a copy

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 of the results, and they want to know how should  
2 they be raising certain issues or questions with  
3 their health professional.

4           And we know that the healthcare  
5 professionals want communication tools. What's  
6 the messaging? How do I say this? And, yes,  
7 even in the interviews that we did with  
8 healthcare professionals, there were a few who  
9 said, "I don't think this site is very useful for  
10 me. I know what I need to say." Great. But  
11 then there are also other people who said, "It  
12 would be really great to know what I'm supposed  
13 to communicate out."

14           So lastly, I would like to acknowledge  
15 the amazing team behind Baby's First Test. I'm  
16 happy that I got to present to you, but it has  
17 been quite a team effort and really everyone in  
18 this room. Every time someone has asked a  
19 question here, we've gone back and said is there something  
20 relevant to the clearinghouse that we  
21 should be looking at. And we've also gone back

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and said, well, what can we do to really address  
2 the questions that arise in meetings like this,  
3 at symposiums, and in different places. So it  
4 really was a community effort. I really hope  
5 that people feel that way.

6           And last but not least, it really is  
7 about families like this one who go into a  
8 situation thinking they don't know what they're  
9 going to do, and then at the end of the day they  
10 can feel grateful and feel confident that their  
11 child is going to get the care that they need.  
12 Thanks.

13           DR. JOSEPH BOCCHINI: Natasha, thank you.  
14 That was a really nice overview of all the accomplishments  
15 that have occurred during -- from  
16 2011 until now. So thank you.

17           This is now open for questions/comments.

18           Scott?

19           DR. SCOTT M. SHONE: Scott Shone. As  
20 loud as I like to be.

21           So, Tasha, great presentation. Thank you

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 so much for summarizing Baby's First Test. I  
2 have a bunch of questions in which I'll just talk  
3 to you about later.

4           But two -- the last thing that came to  
5 mind is we -- and I don't mean to steal Kellie's  
6 thunder for her presentation for the lab subgroup tomorrow  
7 where we talk about specificity. And so  
8 one of the things we talked about on the lab side  
9 of all this fun is a lot of the issues we talk  
10 about is specificity. What is our target? So in  
11 the lab, what's our target? What are we  
12 screening for? And it seemed towards the end of  
13 your presentation you talked about, well, what  
14 are we trying to educate. And you had a slide of  
15 what do they want to know. And a lot of the  
16 discussions we have here about topics that  
17 weren't always on that list.

18           So based on your experience over the last  
19 few years, how -- is it possible and how do you  
20 weave in these messages and these topics that, I  
21 guess, as a community or as a system we think

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that these groups need to know, or maybe they  
2 don't and we're -- you know, because part of me  
3 thinks that they don't -- it wasn't on the list  
4 because maybe they're not aware of it yet, right.  
5 And so, you know, thinking about -- again, Mei is  
6 going to talk tomorrow about within range results  
7 or whatever we're calling it now. How do you  
8 think about that?

9 MS. NATASHA F. BONHOMME: Yeah. That's a  
10 great question. You know, I think we have to  
11 really think about where people are, and it's one  
12 reason why I think in the past kind of couple of  
13 years I thought, you know, so many of our  
14 discussions around newborn screening are within a  
15 newborn screening bubble. And I think that's not  
16 a bad thing. I think it really shows, you know,  
17 it's a group of people who are focused in on  
18 that.

19 But then when we're really thinking about  
20 our audiences that we're trying to reach, newborn screening  
21 is one of many, many, many things that

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 they're looking at. It's kind of like how in so  
2 many different educational studies when a group  
3 has done an hour long focus group talking about  
4 newborn screening, and then at the end they say,  
5 oh, is newborn screening important. They're  
6 like, oh, yeah, it's really important.

7           But we've also never gone the next step  
8 to say, okay, now in a category of breastfeeding,  
9 sleep schedules, and things like that, you know,  
10 where is the order. And I shouldn't say we've  
11 never done that. I just may not be aware of that  
12 information. But again, I think that is  
13 something we have to look at.

14           In terms of the specifics of is it not on  
15 their radar because they don't know about it? I  
16 think that -- and it goes a little bit to the  
17 quote that I had at the beginning, this idea that  
18 newborn screening happens. It's just done. Why  
19 worry about it? I think if you peel behind that,  
20 part of it is aren't there a whole group of other  
21 people worrying about that, like once it gets to

1 newborn screening, once it's prime time, isn't it  
2 all set? And I think question mark, maybe,  
3 sometimes. And so I do think that that is  
4 something for us to think about.

5           One question I constantly would ask, and  
6 then I feel like would get asked back to me is:  
7 what is the number one thing families need to  
8 know? I think that if as a community we don't  
9 come together and have a specific answer to that,  
10 then we will kind of always have a range of  
11 different educational efforts, and they'll be  
12 kind of successful here and probably doing some  
13 good stuff here. But really the pulling it all  
14 together to say what should be, whether it's a  
15 national initiative or what have you around  
16 newborn screening education you have to ask that question:  
17 What is the top line thing that we  
18 need? And it can't be a sentence that's a  
19 paragraph long with lots of commas. It really  
20 has to be as it's just newborn screening happens.  
21 It's here for your baby's health. Is it about --

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 is it now that it's a -- what was the term from  
2 this morning -- a risk assessment. That's  
3 different language than just saying, oh, newborn screenings  
4 saves lives. It's not that it's  
5 counter or opposite to that. It's just a  
6 different approach. So I don't think I really  
7 fully answered your question, but I think it  
8 really is the fact that we really have to think  
9 about where these audiences are at and not just  
10 where we may or may not wish they could  
11 understand.

12 DR. BETH TARINI: This is Beth Tarini.  
13 Thanks, Natasha. That was very helpful, I think,  
14 to see the robust work you put together and the thoughtful  
15 approach to tailoring it, presenting  
16 it, evaluating it, how it can be better, how it  
17 can be -- meet essentially the needs of the  
18 users.

19 My question -- or actually my comment is  
20 to this issue of specificity -- this issue that  
21 Scott brought up, specificity being one issue.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 And two points to consider. One - I think that  
2 the answer is somewhere in between. I think that  
3 the parents -- let's use parents as an example --  
4 on one level sort of do know. I wouldn't say  
5 they understand, but the concept of specificity  
6 does come up. It just doesn't come up as  
7 specificity. It comes up as the fact that this  
8 is -- the highest, highest level, 100,000 feet  
9 of, you know, the test -- the screening test is  
10 not the end-all, be-all. It can be wrong. We  
11 need to do confirmatory testing. Like on some  
12 basic level, I feel like that is -- that it is a sensitive  
13 but not specific test.

14           And so while we don't get into the  
15 numbers and how is it, at the highest level it  
16 comes up in a way in which the parents can and  
17 perhaps need to understand. That gives them a  
18 sense of what the information means and what  
19 their actions then have to do to respond to it.

20           The other point is something that I  
21 learned in my decade now being and attending in

1 the nursery, which is there is this need and  
2 compulsion and it's real, to when you have the  
3 person in front of you, want to tell them  
4 everything that there is to be about the issue so  
5 that they -- that you and they feel informed and  
6 both as deep as you need to go but as wide. And  
7 an example I use is -- and this happens, both in  
8 the nursery and the first visit. And the parents  
9 are like -- can barely see you; can perhaps  
10 remember 1 of 10 words you've said to them. And  
11 I've watched the trainees go through this list of  
12 like, okay, so when you go home, here's the  
13 things I want you to know. And I sit in the  
14 rocking chair because it's so long, and I just  
15 rock and wait for them to finish. And it's like  
16 10 minutes, and the parents are like "uh-huh" and nodding.  
17 They don't remember but one of it.

18           And it's this whole concept of meeting  
19 them where they are. We can go over broad  
20 spectrum, broad topics on a list, and then I come  
21 back and focus on the one that's the most

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 important to come back on when they come to  
2 clinic. I don't talk on the first visit about everything,  
3 just what I just want them to know,  
4 and I keep going over it. So it builds -- now I  
5 have the luxury of continuity because I'm primary  
6 care to be build a bit of a seam, if you will.  
7 But I would argue, we do have a system of  
8 handoffs, so in some ways we can build that, if  
9 done correctly in the system. But I can't trust,  
10 of course, that the next person is going to do it  
11 because I know I'm going to, of course, always do  
12 it when they come back to me.

13           But there is this sense of when we  
14 overload them to some degree, we actually -- we  
15 lose more than I think we gain. And I wouldn't  
16 say we do that always, but that tendency I don't  
17 think bears out as much success as we would like  
18 to be. So I think in some cases, less is more.  
19 The question is what is that less and how do you  
20 frame it. But that I think is an important  
21 point.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1                   MS. NATASHA F. BONHOMME: Yeah. I mean,  
2 I would add to that, that even with your category  
3 of parents, that looks really different depending  
4 on what you mean by "parents." Do you mean  
5 someone who is expecting and in the third  
6 trimester and really is trying to figure out car  
7 seat, and clothes, and like what's the plan going  
8 to be compared to someone who is like I have the  
9 baby; they're healthy; it's fine; can I go home?  
10 To someone who is like, why did I just get a  
11 phone call about a condition I can't even spell;  
12 what are my next steps? Those are all really  
13 different.

14                   And I think within that I think you're absolutely  
15 right, you know, hoping that there's  
16 that continuity of not just care but of  
17 communication. But then being able to point  
18 people to what's called, you know, just in time information.  
19 And a lot of what we've built  
20 through Baby's First Test is that just in time.

21                   Yes. We would love everyone to read

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 this, you know, the second they're like, oh, I'm pregnant.  
2 Let me go learn about newborn  
3 screening. But then we go back to reality and  
4 realize that people are going to look at this  
5 when it's just in time for them, and that may be  
6 a little bit different per person, you know.  
7 Some people are information and knowledge  
8 seekers, and will want to know everything up  
9 ahead. And then there are other people who are  
10 like, what do I need to do tomorrow. Okay. And  
11 then when I get to tomorrow, I'll ask you what I  
12 need to do after that.

13 DR. JOSEPH BOCCHINI: So Cindy is next,  
14 but before she talks, could I ask the operator to  
15 open the lines for the org reps, the  
16 organizational representatives on the call. And  
17 then we're going to open this up to the  
18 organizational representatives as well.

19 Cindy?

20 DR. CYNTHIA POWELL: Cindy Powell.

21 MS. NATASHA F. BONHOMME: Wow, so

1 powerful. I'm now worried about this question.

2 DR. CYNTHIA POWELL: It's a great  
3 resource that I utilized on many occasions. I  
4 would imagine that some of the questions you get  
5 might be better addressed ideally, in an ideal  
6 world, at the local level. So I wonder, do you  
7 have contacts, you know, among the state newborn screening  
8 programs --

9 MS. NATASHA F. BONHOMME: Yes.

10 DR. CYNTHIA POWELL: -- that you can talk  
11 to, not only to help the family but also maybe as feedback  
12 to where they might be able to do a  
13 better job?

14 MS. NATASHA F. BONHOMME: Yes. We -- for  
15 many of the questions that come in, we usually  
16 respond back and say, you know, what state are  
17 you in, and have you reached out to your  
18 healthcare provider. And then based off of the  
19 answers to that, we will either connect someone  
20 with their -- with their state. We will give the  
21 state program -- usually it's a follow-up

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 program, but -- or just usually whoever I talk to  
2 the most there and say, hey, we have this  
3 question. How do you want us to handle it?

4           And depending on the question, sometimes  
5 the state will just give me a pretty direct  
6 answer, and then I can communicate that back to  
7 the person who sent in the question, I don't want  
8 to say family because, like I said, we do get  
9 questions from healthcare providers. Sometimes  
10 it's, oh, just make the connection between the  
11 two of us, and we're happy to do that.

12           But, yes, I think it does show that there  
13 are these connections that may be there on paper  
14 and maybe for many people, but not for every  
15 single person.

16           And then there are certain questions --  
17 this would be an interesting way to analyze the  
18 questions that have come in, that it's clear --  
19 the answers are out there. They're either on the  
20 site or what have you. They really just want a  
21 person either to verify or to kind of have that

1 more -- I don't know -- not necessary one-on-one connection,  
2 but feel like they're asking a person  
3 a question. So there are a range of different  
4 approaches to that.

5           But, yes, when a question should be  
6 handled by either someone at the state level or a healthcare  
7 provider, we ask the questions to try  
8 to direct the person to that, again, because we  
9 also don't want to give misinformation. So it's  
10 really more so about guiding the person to the information  
11 that they need, but many states have  
12 had many, many an email from me and phone calls  
13 about, hey, I have this question. What do you  
14 want me to do with it?

15           DR. KELLIE B. KELM: Kellie Kelm. I  
16 admit I also have looked at the site and find it  
17 very informative.

18           It's interesting the way that you  
19 describe and think about people analyzing it, and  
20 I wonder whether or not you look at the data  
21 versus someone who looks at it once versus people

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that might be -- people who return a lot.  
2 Because I know we've heard from other products  
3 that we regulate that sometimes people really  
4 only can sit and digest, you know, up to maybe 20  
5 minutes at a time. They may come back and do  
6 more, and I think also about sometimes when I've  
7 gotten, you know, complex medical visits, and I  
8 get those people who joke about those discharge  
9 papers. But sometimes I need them because I can  
10 only -- I can only get past what I need to do on  
11 the first day, and then I'll come; and I'll look  
12 at the other days and sort of tackle that when I  
13 get there.

14 MS. NATASHA F. BONHOMME: Yeah.

15 DR. KELLIE B. KELM: But I'm wondering  
16 how often -- you know, and obviously, maybe your  
17 target mainly is people that look at it once and  
18 get a snapshot, but you may also have people that  
19 are looking for a lot more over time.

20 MS. NATASHA F. BONHOMME: So we've seen  
21 pretty consistently -- I would say for the past

1 three years -- that 80 percent of the visits to  
2 the site are one-time visits. And so we've built  
3 things based off of that, based off of that  
4 behavior that we've seen. But we do have that 20  
5 percent that's not -- it's not nothing. That's  
6 something definitely there.

7 I think we could probably -- it would be  
8 a really interesting project to look and try to  
9 decipher, okay, what are those two different  
10 groups? What's their makeup? What does that  
11 look like? That was one thing that we definitely  
12 learned from working with our web vendor in terms  
13 of trying not to -- they tried to pull us away  
14 from thinking about, oh, it's a parent, or it's a healthcare  
15 provider but really looking at this is  
16 someone who needs information on multiple  
17 occasions for whatever reason, or this is someone  
18 who is coming once and then leaving and building  
19 things out of that.

20 But I agree, I think that would be an  
21 interesting kind of next phase of really looking

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 at our data and looking at the traffic and  
2 saying, okay, well, what does this mean? What  
3 are they looking for, and are there tools or  
4 improvements that we could make to better meet  
5 them?

6 DR. SCOTT M. SHONE: Scott Shone. So I  
7 have a point, but to that -- to what Kellie just  
8 said in your response, you had a stat. I think  
9 it was the average during was like 90 seconds,  
10 and so I wonder if -- it was more eloquent when  
11 you said a minute and a half. It sounds longer  
12 than 90 seconds. So --

13 MS. NATASHA F. BONHOMME: Thanks.

14 DR. SCOTT M. SHONE: But my point is I  
15 wonder if you can tease that apart too, you know,  
16 sort of the -- because when I go back, I know  
17 what I'm looking for now. So I'm in. I'm out  
18 and I'm done. So that will skew the people, you  
19 know, the people with repeat views, but that  
20 contradicts what you just said as these 80  
21 percent are one-time visits.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 MS. NATASHA F. BONHOMME: So one of the  
2 things that we saw and we talked to our web  
3 developer about that saying does this mean people  
4 are coming in and then just leaving or what? And  
5 they said, you know, to do a full review of that  
6 you'd actually have to watch people actually go  
7 through -- go through the site and leave. But  
8 short of that, that minute and a half or 90  
9 seconds is actually pretty average.

10 I think a lot of times when we think  
11 about going to a site, we either think when we're  
12 doing more in-depth research and looking for  
13 something or we're on our on phones playing a  
14 game and that lasts more than a minute and a  
15 half. But really when people are looking for  
16 just general information like what is this  
17 newborn screening. You can go to our home page information  
18 and get that information and leave.  
19 It's right there.

20 So again, I think that's something that  
21 there could be some really interesting fancy

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 computer science analysis of. But when we  
2 started to see that, we did go back to our web  
3 vendors to say is this something we should be  
4 concerned about and talked with our steering  
5 committee and the team at HRSA who has been so  
6 supportive of this work. And we kind of said --  
7 we said, no, this makes sense. People are  
8 finding what they're looking for. They're  
9 clicking once or twice, seeing what they need and  
10 then going. And it actually does align with when you  
11 look at the fact that 80 percent of the traffic  
12 going to the site is either looking for state-  
13 specific information or condition-specific  
14 information. So they're looking for things that  
15 are pretty targeted and would come up on that  
16 initial search.

17 DR. SCOTT M. SHONE: Just one last point.  
18 You know, being part of the Committee but also  
19 prior to being part of the Committee sort of  
20 hearing your group talk and then others about  
21 education, I certainly learned a great deal about education

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and communication. I think one of the  
2 stats you showed really summed it up nicely for  
3 me, which is the 66 percent had heard the newborn screening  
4 but only 33 percent could actually  
5 define it.

6           And I've heard some people just say --  
7 I'm sure you've heard it way more than me -- just educate.  
8 Just do education, and education is not  
9 the 66 percent. It's the 33 percent, the people  
10 who know. So I translate that to a three-year-  
11 old too.

12           But I think that's something important  
13 for us to bear in mind when we're thinking about education.  
14 It's just not -- yeah. It might be  
15 reward -- not rewarding at all. It might be  
16 fulfilling to say, well, 66 percent of people  
17 have heard newborn screening, but it doesn't make  
18 a damn difference if they think it's something  
19 completely different than what we --

20           MS. NATASHA F. BONHOMME: Right.

21           DR. SCOTT M. SHONE: -- want them to

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 know. And so I think it's -- you know, it's  
2 essential that we realize that the 33 percent  
3 statistic is what we should strive for. I mean,  
4 we should strive for it be higher, but I mean,  
5 that's the measure.

6 MS. NATASHA F. BONHOMME: Right. And I  
7 think -- and I didn't have the questions in the  
8 section around like what is newborn screening,  
9 but we actually went pretty specific. So you  
10 could say is it good if 66 percent of people know  
11 that babies get screened for something because I  
12 think if that had been one of the options and we  
13 had said that was the right option because it's  
14 accurate, then that number would have been much  
15 higher. So again, it's about what are we really  
16 looking for? What do we want people to  
17 understand? Do we want them to know that babies  
18 get tests before they leave the hospital, or do  
19 we want them to know that, you know, some are  
20 metabolic, some are metabolic. Some are genetic.  
21 Some are this. Some are that. There may be a

1 second screen depending on what state you're --  
2 you know, like just what is that granularity.  
3 And I don't think one is right or wrong. I think  
4 we just always have to be really -- when we're  
5 doing education to say what is it that we're  
6 really -- what is the real aim here, both with --  
7 whether it's families, the public, as well as  
8 with health professionals.

9 DR. JOSEPH BOCCHINI: Org reps?

10 DR. JED MILLER: Jed Miller, AMCHP.  
11 Curious if you have any thoughts about the  
12 scenario where parents decline a newborn screen,  
13 in particular if you feel the current content  
14 would suffice if applied a certain way or if you  
15 might, you know, develop specific content for  
16 that kind of scenario and also just in general,  
17 if that topic came up at all during the focus  
18 groups or other activities.

19 MS. NATASHA F. BONHOMME: Yeah. I could  
20 do a whole other hour-long talk on that in  
21 particular. So the way that the information was

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 framed on Baby's First Test was that we wanted  
2 people to have access to all the information  
3 that's available. So there is information there  
4 about refusal. But we really frame it as this is  
5 a public health program. This is really  
6 important -- you know, it's a good thing to have.

7 I will say that we have seen an increase  
8 in the number of questions, whether it's through  
9 our contact form, but more so through our Ask the  
10 Expert, about different types of opting out. So  
11 that may be I believe in newborn screening; I  
12 think it's really great. But I don't want my  
13 state to have the blood spot. Do you know a  
14 company that will just do newborn screening for  
15 me? That's one type of -- they're opting out of  
16 the system -- maybe not newborn screening in  
17 their mind, but out of that system.

18 I think that is a place that we haven't  
19 had -- you know, there was a question once of  
20 should we have the opt-out forms on each state's  
21 profile page. I didn't even bring that up

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 because I knew the reaction that I would get from  
2 this community about that -- like is that really  
3 the most important thing that should be there.

4           But again, that, to me, would be  
5 something that would be on a case-by-case basis.  
6 You know, a state would need to look and see, are  
7 you seeing trends go up in refusal, and then do a particular  
8 educational campaign and program  
9 around that, that we could help pull the right  
10 content for and then really have it be targeted  
11 in that way, because even refusal, that looks  
12 different. Again, it depends on what you're  
13 talking about. Is it someone say, I don't want  
14 newborn screening at all? Is it someone saying,  
15 I want newborn screening but not storage and use?  
16 Or is it, I want newborn screening, but I don't  
17 want the state involved; I want a company. Those  
18 are all different. But yes, definitely, I would  
19 say an emerging issue.

20           DR. JOSEPH BOCCHINI: Other questions  
21 from organizational representatives? On the

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 phone?

2 Annamarie.

3 MS. ANNAMARIE SAARINEN: Hi. Annamarie  
4 Saarinen, the Newborn Foundation. Thank you so  
5 much for this. It's been really fun to watch,  
6 since I was at the very first meeting after you  
7 got your funding and started doing some of this  
8 work.

9 MS. NATASHA F. BONHOMME: Yeah.

10 MS. ANNAMARIE SAARINEN: So two points.  
11 One, my daughter had a surgery 13 days ago. And  
12 to your point made earlier, I literally couldn't  
13 remember like when the suture bandages were  
14 supposed to fall off versus when I'm supposed to  
15 like peel them off, versus when we're supposed to  
16 come back in for a follow-up appointment versus  
17 when she's supposed to get Tylenol. I literally  
18 -- and that's someone who's kind of -- we've been  
19 through the mill, right? And we should know how  
20 to do this stuff.

21 But there is a eyes-glaze-over sort of component

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that happens when you're in the  
2 clinical setting. And this wasn't a new  
3 diagnosis yet, but it was still a new and sort of somewhat  
4 overwhelming -- a new procedure for us.  
5 So I always think we're going to get better at  
6 it, but then I'm constantly reminded of where  
7 your head space is as a family.

8           And I think, you know, as a foundation,  
9 we certainly refer a lot of families to Baby's  
10 First Test as a great place to get the overview  
11 of what newborn screening is. I haven't known  
12 whether I thought Baby's First Test is the place  
13 to send people if they're, you know, recently  
14 diagnosed or they're just new to something that's  
15 been picked up through newborn screening.

16           And I'll tell you, even if you try to  
17 direct them to what we think might be the best  
18 either foundation or advocacy organization, or  
19 even clinical site -- like a center of excellence  
20 around a disease -- I find, in talking to  
21 families, that they need a little bit of both the clinical

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 piece and the community piece. And as I  
2 was looking through the site, again, a little bit  
3 after you finished your slides, I wondered about  
4 doing a little bit better job of giving them a  
5 platform to engage with their peers, because I  
6 know for me, with this new diagnosis for Eve,  
7 really, the first place I went was to Facebook.  
8 And I found those two or three communities of  
9 families that have had same diagnosis, around the  
10 same age, and the same course of treatment, so  
11 that I could feel less alone, and also just to  
12 get like the straight talk, right? You want  
13 another mom or another dad to say, well, this is  
14 what happened to our daughter, you know, two  
15 weeks post-op or what have you.

16           And so I wonder how Baby's First Test --  
17 if not to take on more scope than is required,  
18 but just to like do the kind of handoff that you  
19 were talking about.

20           And then with regard to data on opt-outs,  
21 I was in Manila a few weeks ago, and I was

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 reminded once again how different countries use  
2 different tool sets to promote newborn screening  
3 -- both education, advocacy, and awareness -- but  
4 in the Philippines, they're very -- I don't know  
5 what to say, like very -- and I say this with  
6 deep respect for Dr. Padilla and all those who  
7 have worked on newborn screening in Southeast  
8 Asia, because their program has truly impacted  
9 all of Southeast Asia.

10           But I mean, they're very out there about showing  
11 children, with the consent of the  
12 families, that were late diagnoses and what their  
13 path has been compared to those who were  
14 diagnosed through newborn screening. And that  
15 has been their most impactful tool to both  
16 explain what newborn screening is and why it's  
17 important.

18           And I know we don't tend to do that here,  
19 show a lot. And there may be 10 really good  
20 reasons why we don't. But I just wanted to raise  
21 as one of the things like, huh, that's an

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 interesting lesson learned in terms of, you know, adoption  
2 and driving -- because their decline  
3 rate among those eligible is even lower than --  
4 we have a pretty low decline rate, and theirs is  
5 even lower.

6 MS. NATASHA F. BONHOMME: Yeah.

7 MS. ANNAMARIE SAARINEN: But thank you  
8 for all your work.

9 MS. NATASHA F. BONHOMME: Yeah. I think  
10 those are both really good points. To the first  
11 one, we actually recently revised, refreshed our  
12 Family Experiences section, with the idea of  
13 being able to build that out a little bit more as  
14 we get family stories that come in, to help build  
15 that community.

16 I will say it's really -- I think your  
17 point is just right. I think in a lot of  
18 discussions, we'll hear people say -- you know,  
19 I'll say, you know, "health professionals" in  
20 that space, to say, "Oh, well, then they're just  
21 going to go online." And I always cringe a

1 little bit about that because I'm like online is  
2 where they found their community. Online is what  
3 got them through it, and we really shouldn't be dismissing  
4 that.

5           Also knowing that there can be  
6 misinformation online, but there can also be that  
7 person, like you said, who's really going to  
8 answer the question that you have as a parent,  
9 that isn't just the clinical piece, but like what  
10 does this look like when we get home? When will  
11 get home -- all of those pieces.

12           On each Condition page -- so all of our Condition  
13 pages are also reviewed by an advocacy organization as well  
14 as a clinical expert on the condition. So we do link out.  
15 I will say that's something that we put a lot of effort into  
16 when  
17 we were first starting up, but something that  
18 we're starting to look at again to see what makes  
19 sense in this frame; what does that handoff look  
20 like. And also for us, how do we determine which  
21 group is the right or groups to hand families off

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to. It's something that we discuss a good bit.

2 But I agree. I think there's definitely more  
3 that can be done there.

4 Also, raising awareness around healthcare  
5 providers to say, actually, going online, if  
6 you're finding the right community, and you know  
7 where to plug those families in could be a really  
8 big, positive change for that family. So that  
9 can be good, so I agree with that.

10 And then your second point about --  
11 again, that's about what is the strategy? What  
12 is our goal? I bet if you asked the people in  
13 Manila "What is the goal?" It's that "Every baby  
14 gets screened." That is a different goal than  
15 every parent feels like they had an informed  
16 decision about whether their child gets screened  
17 or not. Those are different, and there are  
18 different strategies that go towards that. And  
19 again, it's about identifying: Which is it?

20 And since you brought up the Philippines,  
21 one of the slides I took out is that, though our

1 traffic has been increasing, which is great, the percentage  
2 of people coming in from outside the  
3 US is also increasing. I would say probably two  
4 years ago, it was closer to 10 to 15 percent.  
5 And now we are getting close to 20 or 30 percent  
6 of the traffic coming to the site are from other countries  
7 that have, you know, good, robust  
8 newborn screening programs. The UK, India, and  
9 the Philippines really make that up. So it  
10 doesn't all just stay here.

11 DR. JOSEPH BOCCHINI: So Beth, I'm going  
12 to give you the last -- yeah. Okay. All right.

13 Well, I want to thank you, Natasha.

14 Well, we have to move on to the next segment. So  
15 thank you. So thank you for the presentation and  
16 great discussion afterwards. And I'm sure we'll  
17 hear more about the evolution of this over time.

18 But that brings us to the next section  
19 pretty nicely. We're now going to talk a little  
20 bit more about educational activities and newborn screening.  
21 Certainly, in the last few minutes,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 we've highlighted communication, educational  
2 tools that were developed by the Education and  
3 Training Workgroup. And these tools are now  
4 online, or available on the Committee's website.  
5 So that's a great accomplishment to get them in  
6 there.

7           The Committee also identified that it  
8 would be helpful to know the landscape of current educational  
9 activities related to newborn  
10 screening. So to begin addressing this, we put  
11 together an excellent panel for you today that's  
12 going to highlight some of the work that's being  
13 done out in the field. And I believe this  
14 panel's going to inform us and inform the  
15 Committee's discussion from this topic, in  
16 particular the work of the Ad Hoc Committee that  
17 we just formed related to potential education  
18 issues related to risk communication.

19           So Dr. Beth Tarini, Committee member and  
20 Chair of the Education Training Workgroup, is  
21 going to provide an overview of the educational activities

1 and give us some background for the  
2 context of why this is an important topic to be addressing  
3 now. And she will introduce our  
4 esteemed panel members.

5 So Beth.

6 DR. BETH TARINI: Thank you,  
7 Dr. Bocchini. Thank you to the Committee, and  
8 especially to the individuals who I will  
9 introduce to you who will share their activities  
10 related to education with us today.

11 So my job here today is to introduce you  
12 to the panel and also moderate a question-and-  
13 answer at the end. And the goal for the panel is  
14 for us to highlight the critical role of  
15 education in newborn screening, if we've not  
16 convinced you of it already; feature the  
17 achievements in ongoing activities in newborn  
18 screening education.

19 Now, these are only some. I'm not saying  
20 -- this, by no means, is a comprehensive list,  
21 but these are ones that we are aware of and who

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 have membership, have been active through, in  
2 some way, our Committee or workgroup; and also to  
3 foster discussion and spark ideas for future collaborations  
4 and projects.

5           And so some background. So I looked up "educate,"  
6 because having worked with Natasha on  
7 Baby's First Test, I have heard many of these  
8 tenets before of what's our goal, and what is the  
9 goal of education; what are we trying to do. And  
10 it's been helping in teaching me along the way.

11           And so I will tell you this: It's very  
12 hard to find definitions that are actually  
13 consistent, which probably is not an accident,  
14 given the conversations we have here. And a lot  
15 of them focus on sort of just handing -- like the  
16 one here in Merriam-Webster -- just handing  
17 someone -- like to provide you with information.

18           And just this morning, in our Education  
19 and Training Workgroup, we talked about how  
20 simply giving someone information, (a) doesn't  
21 mean they're actually going to take it --

1 actually going to input it, digest it, and/or  
2 change their behavior. So I think that what  
3 seems like a simple topic in concept, at face  
4 value, has additional layers of complexity that I  
5 hope that we'll continue to appreciate through  
6 this panel and after.

7           And so here are two examples that I  
8 wanted to point out of ways in which we have used education,  
9 and at every point in the newborn  
10 screening process. So on your right, the handout  
11 is from Amy Gaviglio in the Minnesota Department  
12 of Health. This came out of an infographic of  
13 trying to point out how newborn screening works.

14           And I would say that -- why I wanted to  
15 use this is, one, I've always liked this  
16 infographic; and two, it talks about educating  
17 every piece of the process, right? It's not just  
18 about what's the disorder, or what's the out-of-  
19 range test mean.

20           There are pieces of it that are talking  
21 about what's happening along the way. Now, I'm

1 not saying that -- again, based on my previous  
2 comment -- that everyone has to be told this at  
3 one point in time -- but to appreciate that  
4 education happens at each -- can be done and  
5 apply to each step in the process that is newborn screening.

6           And the handout on the left is from  
7 Baby's First Test. I didn't pick this; it was  
8 Google Images. So I didn't go to Baby's First  
9 Test first. It just came as one of the nice  
10 options that I could have. And this talks about  
11 different -- this is an infographic that I think  
12 also points out the issue of communities,  
13 bridging people of different language and  
14 culture. This is -- if you can't see it in the  
15 back -- an infographic about newborn screening in  
16 general that is done in Spanish.

17           And this I wanted to point out -- now, I  
18 didn't have to say, I didn't anticipate finding  
19 this in the first paragraph when I reread this --  
20 this blueprint for the future that we talked  
21 about this morning and also in our workgroup.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 And in this call for a national agenda on newborn screening  
2 programs -- I believe this is from the  
3 early 2000s, from the AAP -- the American Academy  
4 of Pediatrics -- they talk about laying out sort  
5 of newborn screening moving forward and what they  
6 feel are the issues to be addressed.

7           And in the first paragraph, you can see  
8 that they -- in the red box, they say -- this is  
9 the second sentence of the entire paper: "This  
10 screening takes place within the context of a  
11 newborn screening system. It involves the  
12 following components: Screening, short-term  
13 follow-up, diagnosis, treatment and management,  
14 and evaluation." And the last sentence of the  
15 paragraph says, in yellow: "Inherent to each of  
16 these components is an education process."

17           So, again, to reinforce that education is  
18 part of every step of this process. We tend to  
19 often focus on the communication and the result.  
20 That is fine, but just to have an appreciation of  
21 the education that goes on at all layers of the

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 process to a variety of stakeholders.

2           And this is to highlight that this  
3 Committee has addressed formerly, in the past  
4 January 10 -- Dr. Kemper and colleagues --  
5 members of this Committee at the time,  
6 Dr. Howell, the chair -- setting forth a  
7 blueprint for maternal and child health primary  
8 care education, Gen-X and genomics and the recommendations  
9 that came out of this Committee.

10 So although many of our recommendations, the vast majority,  
11 are based on disorders, we have a  
12 history of addressing issues related to  
13 education, especially as it relates to healthcare providers.

14           And so a little bit, then, about the  
15 workgroup that represents -- or tries to focus  
16 and identify issues for the Committee-at-Large.  
17 We are one of the three Committee workgroups. We  
18 have a history that is, in fact, I think -- I  
19 wanted to point this out -- instrumental in  
20 moving federal funds.

21           My understand from Dr. Trotter was that

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 this workgroup was instrumental in garnering the  
2 interest and support for the funding of the Gen-X  
3 and Primary Care Institute, which was an award  
4 made through HRSA, and the mission of which was  
5 to increase primary care provider knowledge and  
6 skills and providing genetic-based services -- so  
7 that what the workgroup does has ramifications  
8 not only for deliverables as it relates to the  
9 providers or the stakeholders, but also as it  
10 relates to generating new knowledge and resources  
11 for the greater population that we serve.

12                   And also to highlight that we are a  
13 diverse membership. We span a number of  
14 organizations, a number of disciplines, and a  
15 myriad of stakeholders. And I think this is our  
16 greatest strength. We had this this morning, and  
17 I have to say, ironically, one of our best  
18 meetings ever, because when someone brings up an  
19 issue related to a project or a challenge they're  
20 facing in education, we invariably have a  
21 stakeholder in the room that can speak to how

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 their community may or may not have a need, or  
2 they may or may not have a value to provide and  
3 connect them with someone who has these resources already,  
4 or to outreach to their organization.

5 So the identity and expertise and the connections  
6 of our membership are quite rich and an  
7 exceedingly valuable resource.

8           So our recent projects -- which I'm sure  
9 you remember because you hear about them -- well,  
10 maybe not because we continue to inch slowly  
11 towards the end. This is one of our two products  
12 that is some time in coming, but all good things  
13 take time. And so this is the communication  
14 guide that was spearheaded by Amy Gaviglio and  
15 others, which is now live -- right? -- it's live  
16 on the HRSA website.

17           And this guide is meant to help provide a starting  
18 point and a framework -- it's by no  
19 means comprehensive -- for providers as they  
20 speak with parents about an out-of-range newborn screening  
21 result. It is based upon research done

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 by a genetic alliance, Dr. Carol Greene, as well  
2 as expert opinion from stakeholders with  
3 expertise and experience in the area. And it is  
4 meant to complement and enhance the work done by  
5 the ACMG and others on the ACT sheets, which are  
6 another resource for providers when speaking with  
7 parents about out-of-range results.

8           So this guide is now live, I can say, on  
9 the website and available to be used by others.  
10 And hopefully, we can talk about if there are  
11 other ways in which they might say -- useful.  
12 And it will be brought to now the ACMG and the  
13 ACT Committee to see if there are ways in which  
14 they think elements of this might be helpful with  
15 the ACT sheets.

16           And this, also known as the matrix -- not  
17 the movie, but the tool. What else have we  
18 called it? It's now called the Newborn Screening Education  
19 Planning Guide, for those who are  
20 wondering. This document is a document that's  
21 meant to be used as a resource to individuals who

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 are creating educational materials related to  
2 newborn screening. It is meant to be broad and  
3 comprehensive in its scope of stakeholders as  
4 well as topics.

5           And it is a guide to say -- based on  
6 expert opinion and input from the stakeholders themselves,  
7 groups of the stakeholders. On the  
8 column on your left -- yes, on the column on your  
9 left, you'll have the list of stakeholders that  
10 are here, that we've listed -- include parents,  
11 prenatal educators, doulas, primary care  
12 physicians, condition-specific advocates.

13           And then, going across the columns, you  
14 will have different domains, if you will, of  
15 information, such as the benefits of screening;  
16 where will the newborn screening results reside permanently;  
17 how and when will they be received.

18 And these domains and whether or not they may be  
19 germane or of immediate, intense interest to the  
20 stakeholders themselves. I should say that this  
21 plan and guide -- which is not live, but is soon

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 to be -- was spearheaded by Cate Walsh Vockley as  
2 well as Jeremy Penn. And they've done a  
3 tremendous job in getting this to where it is  
4 now.

5           And this guide is meant as a starting  
6 point. It is not meant to discuss the content of  
7 what would be provided under each of these  
8 domains, nor the frame that's most effective in  
9 communicating it to the stakeholder, but merely  
10 to serve as a foundation and a framework as  
11 someone starts to create these educational tools.  
12 It would help as a planning guide; hence its  
13 name.

14           So this morning, the Ad Hoc Workgroup met  
15 -- that Dr. Bocchini referenced and that members  
16 of the E & T Workgroup are participating in. And  
17 this is a joint effort, and there are members of  
18 the Committee, the Education and Training  
19 Workgroup as well as the Laboratory Standards and Procedures  
20 Workgroup, and this effort chaired by  
21 Dr. Baker, in our goal to address opportunities

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and challenges related to interpreting newborn  
2 screening results, particularly related to  
3 communicating the strengths and limitations as  
4 well as educating the different audiences that  
5 are involved: Providers, parents, and the public.  
6 And so Dr. Baker will talk about that tomorrow;  
7 we won't talk about that today.

8           What we will talk about today -- you will  
9 hear from Jackie Seisman, who is the Assistant  
10 Director of Maternal and Child Health at Genetic Alliance.  
11 And she'll provide a summary from the Education and  
12 Engagement Summit.

13           We'll hear from Dr. Debra Freedenberg,  
14 who is the Medical Director of Newborn Screening  
15 and Genetics at the Texas State Department of  
16 Health Services. And she will provide us with a background  
17 on the creation of the X-ALD education materials,  
18 particularly a retrospective  
19 comparison of the process used with the framework  
20 that was developed post this summit I mentioned.

21           Dr. Susan Berry is a Committee member and

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Professor and Director of the Division of  
2 Genetics and Metabolism at the University of  
3 Minnesota -- will discuss development -- the who,  
4 what, why, and how of the newborn screening, more  
5 than a PKU screen educational tool, and the user  
6 of it in the field.

7           And Kim Piper, who is the Executive  
8 Officer for the Center for Congenital and  
9 Inherited Disorders in Iowa, will discuss the use  
10 of a deliberative community engagement process to  
11 inform Iowa's newborn screening processes and  
12 education efforts.

13           And then we'll have a brief period for  
14 Q&A afterwards, when the panel will sit here,  
15 about 10, 15 minutes.

16           DR. CATHARINE RILEY: Hi. Catharine  
17 Riley. Dr. Tarini, I was going to see if we  
18 could invite the panel members to all come up at  
19 this time.

20           DR. BETH TARINI: Okay.

21           DR. CATHARINE RILEY: Thank you.

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           MS. JACKIE SEISMAN: My name is Jackie  
2 Seisman. I'm the Assistant Director of Maternal  
3 and Child Health Programs at Genetic Alliance.  
4 Thank you for the Committee for inviting me to  
5 speak today. Today I'll be giving a quick  
6 overview of the Beyond the Bloodspot Education  
7 Engagement Summit, which happened in June 2017.  
8 And this is just a quick disclaimer that this  
9 program was supported by HRSA and does not  
10 necessarily reflect the views or endorsement by  
11 HRSA.

12           Before I get started, I did want to give  
13 a brief acknowledgment to the Summit Task Force.  
14 They were instrumental in the planning process,  
15 and really, the support and guidance they gave us  
16 really helped shape the summit. And also a  
17 special thank-you to the 2017 cohort of Consumer  
18 Task Force members, who served as family leaders throughout  
19 the summit.

20           So just to give you a little bit of  
21 background, the Beyond the Bloodspot Summit took

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 place over two days, in June 2017, held in  
2 Washington, DC, and hosted by Baby's First Test.  
3 We did receive a -- this was one of the first, if  
4 not the first, first national meetings really  
5 focused on newborn screening education.

6           We received a really diverse number of attendees.  
7 So we had state representatives, so  
8 30 representatives from 22 states. We had  
9 healthcare professionals, families, industry  
10 representatives, and other health communication  
11 experts, as well as national organizations and  
12 programs.

13           We had over 107 people register; 90  
14 people attended. And at least one state from  
15 each of the seven regional genetics networks  
16 attended. And the full list of states should be  
17 in your briefing book.

18           And this is just a snapshot of the organizations  
19 that attended. As you can see, we  
20 had a lot of healthcare associations as well as  
21 public health organizations and maternal and

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 child health organizations, and family-led.

2           The goals of the summit were to identify  
3 and evaluate best practices to improve family and healthcare  
4 provider understanding of newborn  
5 screening, to identify best practices to increase  
6 family and healthcare provider involvement in the  
7 newborn screening system, and finally, to  
8 evaluate family and healthcare provider  
9 involvement in the newborn screening system.

10           Now, these, of course, were very  
11 ambitious goals that we had. And I would say  
12 that by the end of this process, we did not  
13 achieve all these goals. But what we found at  
14 the end of the summit is that we were able to  
15 really show the starting place and accurate representation  
16 of that starting place for many  
17 people in the newborn screening system around  
18 education and engagement. And the summit really  
19 served as, you know, a place to start having  
20 those conversations. And I'll go through that a  
21 little bit more.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           We covered a range of topics. So from  
2   engaging families through priority target  
3   populations, educating in a crisis, as well as  
4   priority setting in education, just to name a  
5   few. And these topics were chosen and selected  
6   by our summit task force as well as through our experience  
7   as a program.

8           Now, I won't go through this in-depth  
9   because Natasha already touched on it, but one of  
10  the major key takeaways and learnings from the  
11  summit attendees was really this newborn  
12  screening touchpoints, and what is the difference  
13  between education engagement, as well as  
14  education. And that was like the number one  
15  thing that attendees had mentioned as their key  
16  learning takeaway.

17           So as we wanted to give, you know,  
18  attendees an option to really focus on what  
19  actually is the best practice, we gave them a  
20  worksheet of two pages; this is the front sheet.  
21  And as they were discussing these educational



1 this was helpful, but it wasn't necessarily  
2 geared to the newborn screening community. And  
3 so a lot of these checkmarks, they said they  
4 couldn't check. So they wanted something more  
5 geared and a more tailored approach for newborn  
6 screening education.

7 We did conduct an evaluation of the summit  
8 with RTI International. Overall, it was very  
9 positive. And so this is just regarding whether  
10 the summit was well organized, and that the  
11 objectives allowed opportunity for connections  
12 and networking. And this is more of the  
13 logistics in terms of positive feedback around  
14 the hotel location, meeting materials, etcetera.

15 These are just a few more reactions from  
16 attendees. I will focus on a few, just saying  
17 what they appreciated most was the mixture of  
18 people -- the families that were very powerful,  
19 and it was great to have them alongside the state programs  
20 and the clinicians -- as well as helping  
21 to see potential partnerships, and of course, the

1 key fact that newborn screening people have not necessarily  
2 been taught to teach.

3           A few benefits of the summit -- these  
4 were the top three from attendees -- was the  
5 focus on education. So there's lots of newborn  
6 screening conferences, and there's even newborn  
7 screening conferences that have sessions on  
8 education. But it was completely different to  
9 have a complete conference or summit completely  
10 dedicated to education.

11           The ability to connect with diverse  
12 audiences. This was a huge one for many that --  
13 you know, having laboratory, having clinicians,  
14 having state programs, having families all in the  
15 same room really allowed for different  
16 perspectives.

17           And then, of course, networking. That  
18 was one of the key pieces from the summit was  
19 that a lot of these people would not be able to  
20 connect otherwise, because there's others that  
21 attended that were not part of the newborn



1 and programs, what they found was they also  
2 learned some things -- so involving key target  
3 audiences and material development and evaluating  
4 those materials. And that family education is  
5 important, but it's also important that  
6 healthcare professionals are trained to have  
7 meaningful conversations with families. And then finally,  
8 being able to connect with partners to  
9 conserve limited resources, and know where to  
10 find those resources.

11           So in our evaluation, we also asked  
12 summit attendees: Having to get those takeaways  
13 and learnings, how are you now going to adapt  
14 those or contribute to your professional learning  
15 or your personal life? And what we learned was  
16 that they had more confidence to make education engagement  
17 an organizational priority. There  
18 also is more potential for connection and  
19 collaboration. So the networking that was made  
20 at the summit, they really were able to take  
21 those back home and see how can we work with

1 those different partners, particularly at the  
2 national level.

3           And then better engagement of consumers  
4 in the development and evaluation of materials,  
5 meaning that they learn from the beginning that  
6 families particularly, as well as health  
7 professionals that may be a target of their  
8 materials must be involved from the very  
9 beginning.

10           So the primary theme for the summit was  
11 that it really served as a place to bring  
12 together diverse groups to connect and share, and  
13 that one of the key feedbacks that we received  
14 was that Baby's First Test was an important part  
15 of that connection for various stakeholders in  
16 education. And that some of what the suggestions  
17 were from attendees were that to provide more opportunities  
18 like the summit, to develop more  
19 materials and resources, as well as provide  
20 technical assistance around educational  
21 activities.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So in conclusion, the summit indicated a  
2 tremendous need for resource and strategy  
3 sharing, increasing need for a process towards  
4 best practice and a more guided approach to  
5 education.

6           The summit did not reach all of its  
7 intended goals, but it did showcase a more  
8 accurate representation of where people are  
9 starting in education. It provided a space for  
10 diverse groups to connect and share. And  
11 finally, it inspired confidence for communicating  
12 those benefits.

13           There are several outcomes that many  
14 people have mentioned kind of throughout the say  
15 that came from the summit, the first one being  
16 the summit monograph. This is something that  
17 will be designed and disseminated by December of  
18 this year. But essentially, that gives an  
19 overview of what was learned from each of the  
20 sessions at the summit as well as including  
21 information on educational and health

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 communications models and frameworks. So this  
2 would be useful for anyone outside of newborn  
3 screening as well. And then it will conclude  
4 with Baby's First Test newborn screening  
5 educational best practices framework, which I'll  
6 get to in a minute.

7           And the, finally, we had a couple of  
8 outcomes coming from our State Workgroup and our  
9 Best Practices Workgroup. So the State Workgroup  
10 members met while attending the summit. And  
11 through the conversations at the summit -- and  
12 this is just acknowledging those members on the  
13 State Workgroup And it was also led by Annie  
14 Evans, who is the program coordinator for Baby's  
15 First Test and so she led many of these  
16 initiatives.

17           But one of the key conversations that was having  
18 is that there needs to be plain-language recommendations for  
19 reporting lab results, as  
20 well as messaging around using the term -- well,  
21 not using the term "PKU." And so through that --

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and these should be available in your briefing  
2 book. They are being reviewed by HRSA and should  
3 be available shortly.

4           But today I'm just going to give a brief overview  
5 of at least the "More than a PKU Screen"  
6 fact sheet, or tool. There's many components of  
7 this fact sheet. But we wanted to make sure that  
8 when we're talking about newborn screening that  
9 we're not just talking about the blood test. And  
10 so this was an important focus from the State  
11 Workgroup, making sure that the heel, heart, and  
12 hearing was all included on the front pane and  
13 evident. Also, the State Workgroup also thought  
14 it was important that the states would be able to  
15 their state.

16           Another key component was including a  
17 story. So this is from a state newborn screening  
18 of why, really, using newborn screening instead  
19 of the term "PKU testing" is really important.

20           And then, finally, some key takeaways.  
21 Through this process, we did have the Michigan

1 Department of Health test this fact sheet. And  
2 so these were some of the questions. When they  
3 went to a hospital for an educational session and included  
4 our PKU fact sheet, they included these  
5 three questions. And focusing on the second  
6 question: After reading this handout, do you  
7 recognize the importance of refraining from  
8 calling a PKU screen? And 100 percent identified  
9 as "yes," although they did admit that other  
10 people in the hospital do use the term "PKU."  
11 And through the return of those result, all  
12 positive feedback. And I believe Michigan has  
13 more events scheduled to test this fact sheet.

14           And then finally, to the Best Practices Workgroup.  
15 I mentioned this earlier in the  
16 presentation about attendees really wanting a  
17 more guided approach to education around newborn screening.  
18 And so this kind of the Best  
19 Practices Workgroup came out of that. And I do  
20 want to acknowledge Dr. Aaron Goldenberg and Keri  
21 LeBlanc for being co-chairs of this workgroup,

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and of course, Annie Evans, our program  
2 coordinator, who helped lead it as well.

3           And through this workgroup, the idea was  
4 to take the foundational knowledge that we  
5 already have of health education and health communications  
6 frameworks and adapt it to the  
7 newborn screening needs and community. And so  
8 this is just showing a very brief picture of the  
9 guiding questions that will lead into the  
10 framework. And that framework is within your  
11 briefing book. And I believe Debbie will be  
12 talking about that a little bit further.

13           And that's it. I just want to  
14 acknowledge the Expecting Health staff as well as  
15 the Summit Task Force, the Consumer Task Force  
16 members, who served as family leaders, and the  
17 Health Resources and Service Administration.  
18 Thank you.

19           DR. DEBRA FREEDENBERG: Hi. Thank you  
20 for inviting me to participate in this great  
21 educational panel. I do have some disclosures.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 One is that I'm representing Texas, not the  
2 American Academy of Pediatrics, in this  
3 presentation. And the other is that we did  
4 receive funding from APHL for this implementation  
5 grant, which helped us with our educational  
6 activities.

7           So in Texas, we're big, and we've got  
8 lots of folks, and we have a lot of geographic  
9 diversity, and our educational processes reflect  
10 all of this. We take a very broad-based approach  
11 to reaching all stakeholders for our educational  
12 efforts. And this includes external. We have  
13 about 12,000 folks on a newborn screening  
14 LISTSERV. We think it's probably more than the submitters,  
15 which that was originally meant to  
16 serve, but it's gotten much bigger.

17           We target primary care providers,  
18 specialists, families, and parents, professional societies,  
19 including Texas Pediatric Society,  
20 Texas Hospital Association, Texas Association of  
21 Health Plans, Texas Academy of Family Physicians,

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Texas Medical Association, and March of Dimes.

2 And that's through generally existing stakeholder meetings  
3 and scheduled calls.

4 We target information for legislatures  
5 and policy analysts, although many of them are  
6 already on board by the time we plan  
7 implementation. And we also target education as  
8 far as our State Newborn Screening Advisory  
9 Committee, external.

10 Internally, we also have a lot of folks  
11 who have an interest in the education about  
12 newborn screening. So we have education for  
13 laboratory personnel, for clinical care  
14 coordination, which is our follow-up personnel.

15 But we also design education for our  
16 administrative folks, which includes division and  
17 section chiefs, briefing documents to the  
18 commissioner. So we're really trying to reach  
19 all levels, both within our program as well as externally.

20 So I was going to go through about how we develop  
21 some of our educational plans for X-ALD.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 And really, for us, it starts with funding being identified  
2 for implantation and screening,  
3 because we're not going to undertake the very  
4 labor-intensive and a lot of the efforts unless  
5 we know that we'll be implementing within a  
6 reasonable time. So for us, really, it starts  
7 with knowing that there will be implementation  
8 and screening.

9 In Texas, both the lab and clinical care  
10 coordination are housed within the Department of  
11 State Health Services on the same campuses,  
12 although we are in different sections, with CCC  
13 and Community Health Improvement in Laboratory  
14 and Infectious Disease Services. And although  
15 the majority of the educational responsibility is  
16 with clinical care coordination or the follow-up,  
17 all planning is done jointly with the laboratory.

18 So when we thought about X-ALD, we  
19 realized this was different. We'd implemented a  
20 number of other conditions, and we felt like the differences  
21 were going to need a slightly

1 different approach. So for X-ALD, we knew that a  
2 number of the -- first of all, it was X-Linked,  
3 which in itself is different. We knew there'd be multiple  
4 family members affected, impacted by  
5 this. We also knew that we had a significant  
6 number of those identified who would be expected  
7 to have late-onset conditions.

8           And also, internally, we knew that this  
9 was going to be a condition that would require  
10 multiple specialists. So previously, our model  
11 had been metabolics, metabolic docs, pulmonary,  
12 CF. We knew that this was going to require us to  
13 have both metabolics, neurology, and  
14 endocrinology all working together to provide the  
15 appropriate treatment and follow-up for these  
16 children that are identified and who will  
17 eventually be adults.

18           So to start with, we surveyed what was  
19 out there. Texas is not an early adaptive state,  
20 which sometimes can work to our benefit. So we  
21 looked around to see what's out there. And we

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 did use some information from the New York State  
2 Newborn Screening Program, with permission. And  
3 we do thank them. But we spent a lot of time  
4 looking. We didn't want to reinvent the wheel if  
5 it was already there.

6           So on the first step, what we did was we brought  
7 together national experts and the Texas specialists. So we  
8 had a meeting that included  
9 Texas pediatric neurologists, pediatric  
10 endocrinologists, metabolic docs, and our  
11 national experts on year one. We educated them  
12 on the natural history, therapeutic  
13 interventions, and underlying etiology of X-ALD.

14           We also educated them on the Texas  
15 newborn screening system. And some were new to  
16 the system and hadn't involved or had any  
17 interaction with newborn screening. And we  
18 helped discuss design for preliminary algorithms.  
19 Laboratory methodology was discussed as well.  
20 And that was kind of memorable, because that was  
21 the day of the solar eclipse. So lucky I don't

1 have slides, but I can blackmail a lot of folks  
2 with the solar eclipse slides we have.

3           And then one year later, we reconvened.  
4 And again, this was funded by the APHL grant, as  
5 was the first meeting. And this time we brought  
6 together an all-Texas team -- a Texas biochemical  
7 geneticist, a neurologist, the endocrinologist --  
8 and we refined laboratory and follow-up flows, algorithms,  
9 protocols. We also brought in a  
10 genetic counselor to provide educational  
11 sessions, which turned out to be mainly to follow  
12 up staff about the challenges that could be  
13 expected by families impacted by newborn screens.

14           We developed a brochure for families  
15 about newborn screening and X-ALD, in English and  
16 Spanish, which is what you see up. I do have  
17 several copies with me if anybody wants them.  
18 They're hot off the press; they showed up from  
19 the printer yesterday. So pretty good timing on  
20 these. And then, for us, our planned  
21 implementation date for X-ALD screening statewide

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 is September 2019, as funding allows.

2           So, in addition to these activities, we  
3 also undertook additional activities. So, for  
4 instance, I gave grand rounds at the Texas  
5 Neurological Society, Texas Pediatric Society,  
6 and I'm still scheduled to give some grand rounds  
7 at some of the Texas Medical Schools related to  
8 X-ALD.

9           And to be honest, we were very fortunate  
10 in that the leadership of the Texas Neurologic  
11 Society and the Texas pediatric neurologist  
12 realized newborn screening was coming down the  
13 pipe for them, and wanted to come into the  
14 newborn screening tent with their eyes open and  
15 as educated as possible. And they actually  
16 reached out to us before we ever reached out to  
17 them, and that's turned out to be a great  
18 partnership, with more to come in the future. We  
19 also invited a national expert to come in to do  
20 our Texas newborn screening grand rounds on X-ALD,  
21 and that's now archived.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           One of our educators goes out to  
2 professional meetings around the state, at an  
3 exhibitor of booth at a variety of meetings, and continues  
4 to provide one-on-one education. And  
5 then we also partnered with Texas Health Steps,  
6 which is a free online CME, to include X-ALD  
7 education in our newborn screening modules.

8           So we did it as broadly as we can. We  
9 use State funding, grant funding, and we brought  
10 in as many folks as we could.

11           So having done all of this, we then went  
12 back and we beta-tested the Educational Best  
13 Practices Framework Guide retrospectively. So  
14 we'd already done what we were going to do up  
15 until that point. And we went back and we looked  
16 to see how closely we fit with the guidelines.  
17 And we looked at the guiding questions as well.

18           So for Texas, the "what" and "why," for  
19 us, the really first step was the decision to add  
20 X-ALD onto our newborn screening panel, which  
21 meant that many of the "what" and "why" questions

1 were answered before formal educational efforts  
2 began. But we still had lots of discussion about  
3 how to implement this education. And we went  
4 wild. Most of it we figured we couldn't do, but  
5 we were talking about developing game apps and  
6 all sorts of things, to make it more relevant to  
7 the reproductive-age population than some of our  
8 more traditional educational outreaches.

9           The second level of the "who," we had  
10 many target audiences with different time lines  
11 for education, different approaches, and as I  
12 said, a large geographic area.

13           Internal, as I said, it was lab  
14 personnel, follow-up personnel, administrative  
15 personnel, external with families and patients,  
16 primary care provider specialists, professional  
17 partners, and policymakers, administrators, and  
18 our Advisory Committee.

19           So one of the things in education when  
20 you put out printed matter is that you really  
21 want to have it at a sixth-grade level. For us,

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 we have statewide guidelines. So everything we  
2 put out gets reviewed for both plain language and  
3 for accessibility to minority and disabled  
4 populations. And the reason that this brochure  
5 is not up on the web yet is it's still undergoing  
6 the formal review. It's passed for the printed,  
7 but the online version is getting reviewed right  
8 now. And as I said, we had lots of discussion  
9 about the modalities to be utilized.

10           The third level is the "when" and "how."  
11 For us, we developed a comprehensive time line,  
12 working backwards from the anticipated  
13 implementation date time line developed. And the  
14 time line was developed simultaneously with the discussion  
15 of target audiences. And really, a  
16 lot of the education was dependent on available  
17 funding, and we needed multiple funding sources  
18 for the education.

19           So for the future, we're fortunate to  
20 receive the CDC grant on newborn screening, new condition,  
21 and implementation, capacity-building

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and quality improvement through data  
2 harmonization. And we are planning on developing  
3 an X-ALD webinar.

4 We had some discussion whether we're  
5 developing or revising a communication plan -- a  
6 comprehensive plan, but we're in the midst of  
7 that.

8 And in addition, there's an X-ALD tutorial  
9 for Texas Health Steps that's being developed.  
10 Texas Health Steps is actually Medicaid, where  
11 they do provide an online education. It's CME,  
12 it's free, it's open to anyone. It's not  
13 restricted to Texas; it's not restricted to  
14 licensure. So it's available. And so that's now  
15 being developed, and it's a tutorial because it's  
16 a shorter time frame than a full one-hour CME  
17 module for information.

18 So, really, in summary, we've gone broad  
19 as we can. We've needed lots of help and lots of  
20 folks, and we're fortunate in that we've had buy-  
21 in from our partners and our stakeholders. And

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 as I said, a couple of these around if anybody  
2 wants them. Thank you.

3 DR. SUSAN BERRY: All right. Thank you  
4 so much for the opportunity to share some work.  
5 I'm Sue Berry, and I'm actually speaking today on  
6 behalf of the Midwest Genetics Network. Just a  
7 word to the wise: I'm not actually going to talk  
8 about the product that you mentioned, Beth. I'm  
9 talking about a different one.

10 But I wanted to highlight a project that  
11 our Midwest Network Provider Education Workgroup  
12 has undertaken, which is to do education  
13 regarding return of newborn screening results.  
14 We also have our disclaimers. See, we're being  
15 very good about putting those disclaimers on.

16 I also want to specifically highlight my  
17 collaborators in this activity, most notably  
18 mentioning Whitney Thompson, who's a medical  
19 student, who had a requirement in our medical  
20 school to undertake a quality assurance project.  
21 And she has gone so far beyond the expectations,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that if you can sign her up as one of your  
2 residents, you better do it. Okay.

3           So what do families want regarding  
4 newborn screening results? And I think we've  
5 heard a lot about that. Natasha really carefully explicated  
6 that. And this is what we also ended  
7 up finding. Of course, most of the time, what  
8 we've all talked about -- and here I'm even going  
9 to worry about the word -- we focus on the  
10 positive results. And even that throws you off,  
11 because "positive" sounds good. But in newborn screening,  
12 the positive results are bad. So we  
13 don't have the right words, and we focus  
14 primarily on the positive, which is a rare event.

15           There's a pretty well-established process  
16 for dealing with those abnormal results. That's  
17 what we focus on trying to convey. But most of  
18 the results people get back are not positive.  
19 They're normal or are in range or whatever term  
20 you now want to use. And what do we do about  
21 those?

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So the quality assurance process that  
2 Whitney initiated was to learn from families  
3 about newborn screening results return. And so  
4 she, and partnership with the Minnesota  
5 Department of Health, undertook a survey of  
6 families regarding the receipt of their results.

7           In part, this was stimulated by a mom,  
8 who told us this: She said she didn't remember  
9 receiving her child's results. She had no recall  
10 of -- knowing that he'd had a newborn screen --  
11 but sort of being told that no news is good news  
12 from somebody in the hospital. And despite a  
13 newborn screen that was in range or normal, this  
14 child had a diagnosable newborn screening  
15 disorder. It turns out it's a hard one to  
16 diagnose, and he was very severely affected by  
17 his condition at presentation, despite his  
18 newborn blood spot screen, which had been normal.  
19 Okay. So thank you, Leo. This is, in part, for  
20 you.

21           So what we initiated the project to do

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 was to see if families received and understood  
2 their newborn screening results -- the normal  
3 ones. We wanted to find out how those negative  
4 newborn screening results were handled. And we  
5 were doing clinics in Minnesota, because that was  
6 our job. And we wanted to know what improvements  
7 were needed for efficient and effective  
8 communication of those results to providers and  
9 to the families.

10           So we did a survey. And this is a little  
11 copy of the survey. It was a paper survey we  
12 sent to parents four weeks after birth, via the Department  
13 of Health. Patients were selected --  
14 or the families were selected on people that had  
15 negative newborn screening results, and they were  
16 in a specific clinic, so we could characterize  
17 those clinics.

18           So we actually sent out more than 1600  
19 surveys. We received a relatively low  
20 percentage. And it turns out that it's not that uncommon.  
21 I thought that seemed kind of low, but

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 I am assured that that's not as low as I thought  
2 it was. It was 15.6 percent. And what we found  
3 out was that more half of the families really  
4 didn't get the results back, or didn't know if  
5 they'd received the results back. And that was,  
6 to us, disconcerting. It turns out that it's  
7 probably worse than that. I think were better  
8 receiving the results in this group than some.

9           Okay. So with that in mind, we were able  
10 to work with the Midwest Genetics Network, and  
11 our Provider Education Workgroup. We brought  
12 this information to that workgroup, and that  
13 workgroup, which is constituted of primary  
14 providers, families, and specialists, decided  
15 that one of their priorities for education was to  
16 give education to providers regarding the return  
17 of newborn screening results.

18           The vehicle we chose to do this was an  
19 MOC quality improvement activity. And the  
20 planned activity was to assess return of newborn screening  
21 results. So we developed a training

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 activity that included a virtual learning  
2 collaborative, which was a series of three  
3 modules regarding newborn screening and return of results.  
4 And there are three sessions. This is  
5 a work-in-progress. We've actually presented two  
6 of these three sessions thus far. And so we're  
7 in the first round of our MOC4 quality  
8 improvement activity.

9           The first session was: "What is Newborn  
10 Screening?" And it seemed at first that we might  
11 not need to do that. But as you have all heard,  
12 that is not true. We do need to tell providers  
13 what newborn screening is, and it's not drug-  
14 testing, necessarily.

15           We needed to have a session about the  
16 return of normal screening results, an activity  
17 that receives little attention.

18           And then finally, we felt that a return  
19 of borderline or positive results was essential.  
20 That's the place where we will be using one of  
21 the tools.

1           So just a reminder what MOC4 is. There  
2 are four parts for maintenance of certification  
3 in professional activity. The first one is  
4 licensure, or professional standing -- that's  
5 MOC1.

6           MOC2 is what you may be -- more  
7 familiarly called as continuing medical education  
8 -- or lifelong learning is the priority for that.

9           In most board certification, there's a cognitive  
10 expertise, which is primarily  
11 explicated with an exam.

12           And then finally, most have an  
13 expectation for improving professional practice,  
14 or quality improvement, which is the MOC4  
15 activity.

16           So you have to have a quality improvement activity  
17 to get Part 4 credit. These can be  
18 small or large group, collaborative, web-based;  
19 there's lots of different ways to do it. And we  
20 ended up doing our initial accreditation through  
21 the American Board of Pediatrics for a lot of

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 reasons.

2 All right. So we offered our MOC4  
3 through the American Board of Pediatrics. This  
4 was a snapshot of the very earliest pieces of information.  
5 We'd originally, essentially, paid  
6 for 50 pediatricians, and we ended up with 82 pediatricians  
7 enrolled. So it was way beyond our expectations, and we are  
8 absolutely thrilled that  
9 that many people were interested. These are  
10 primarily from the upper Midwest, because that  
11 was the target -- who's in the Midwest genetics  
12 work, but not all. They're from about -- I think  
13 it was 26 different practices -- some large, some  
14 small. We were very impressed with the broad  
15 scope of involvement.

16 So two of the three learning sessions  
17 have been presented thus far. And I'm just going  
18 to show you this tiny little snapshot from the  
19 first seven clinics that entered data. And I  
20 will tell you that the larger data set that we're  
21 now accumulating from the initial intake from the

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 clinics is not much more encouraging.

2           At first, the gray bars on this are the  
3 -- they surveyed to see how many of their  
4 patients that were coming to clinic over a  
5 certain period of time -- that was the initial  
6 screening process -- had actually had screening  
7 testing done. And most of the kids had been  
8 screened, which was very encouraging, at least.  
9 Even in this, you can see that there was one that somebody  
10 found nobody had screened. So we went,  
11 "Ah." Even one kid lost is one kid at risk.

12           More concerning to us is the percent of  
13 newborn screening tests that are communicated to  
14 parents. And one clinic was doing magnificently  
15 and conveyed 90 percent of their results back.  
16 But most of them were doing very few, and many of  
17 them, none, which we found shocking. And it has  
18 not improved in that initial phase as we've  
19 accumulated more clinics. It's something like  
20 this. There are a few clinics where it's a  
21 practice, but many of them, nobody gives the

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 result back.

2           Okay. So we actually, in Minnesota,  
3 extended our Quality Assurance project by  
4 creating a newborn screening fact sheet. And we  
5 went to just a couple clinics. The student  
6 couldn't do everything, so she actually went to  
7 just two or three clinics that expressed an  
8 interesting in trying to improve their practices,  
9 and took the data from those clinics initially.  
10 And those were -- it's slightly different, the  
11 numbers, than the ones I showed you because those  
12 this is only the numbers from those two clinics.  
13 But you can see that the distribution's not that different  
14 from what I showed you initially, which  
15 was many of the people whose parents were from  
16 that clinic had not received or didn't know about  
17 their results.

18           We gave a brief introduction about using  
19 this newborn screening fact sheet that basically  
20 has some information about what the screen is,  
21 what screens are and what they're not, what it

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 means to have a normal screen, to be somewhat  
2 reassuring, but also to remind people that you  
3 should never assume that the screen is the only  
4 thing that gets the -- you have to strike that  
5 tone; we've heard about that.

6           Afterwards, we surveyed those same  
7 clinics and the babies that were born in those  
8 clinics, and found a remarkably increased number  
9 of patients who had received or believed that  
10 they understood their newborn screening results  
11 more effectively. So we were really encouraged  
12 by that, as we move forward with MOC4 activity,  
13 that it won't take a lot of effort to get a big  
14 impact in sharing that information, because we  
15 were really pleased, even in this little snapshot  
16 pilot survey.

17           All right. So our Session 3 that we'll  
18 be doing is return of positive results. And I'm preaching  
19 to the choir when I talk to the folks  
20 here about the utility of education in these. An  
21 idea is to improve the sense of engagement and

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376





1                   MS. KIMBERLY NOBLE PIPER: I'm Kim Piper  
2 with the Iowa Department of Public Health, and  
3 I'm going to share with you a little bit about  
4 something we've been working on for the past  
5 couple of years: A Deliberative Community  
6 Engagement project. And I'm going to  
7 specifically speak to the recommendations we got  
8 from the participants in that project -- the recommendations  
9 we got from them for  
10 communicating newborn screening information and  
11 reporting results.

12                   By way of background, when we talk about  
13 adding genetic and inherited conditions with  
14 late-onset types, potentially higher false  
15 positive rates, and treatments with a high cost  
16 and potentially devastating side effects, this  
17 poses challenges that the Iowa Newborn Screening  
18 Program needed to address, as it provides  
19 mandatory newborn screening for Iowa's newborns.

20                   Advocates, providers, commercial  
21 interests, and families affected by these

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 conditions have a prominent voice. And these  
2 voices are compassionate and compelling about  
3 what their recommendations are for screening for  
4 these conditions.

5           So in Iowa, our leadership wanted to hear  
6 from Iowans that weren't directly affected by  
7 these conditions, didn't have anything invested  
8 in newborn screening programming in particular,  
9 in order to obtain objective, deliberative recommendations  
10 for Iowa's newborn screening  
11 processes that best reflect the values of Iowans.  
12 So hence, we undertook the Iowa Deliberative  
13 Community Engagement for Newborn Screening  
14 Project.

15           So a little bit about what is  
16 deliberative community engagement. A definition  
17 is that deliberative community engagement is a distinctive  
18 approach to involving people and decision-making. And it's  
19 different from other  
20 forms of engagement, like focus groups or town  
21 halls, in that it's about giving participants

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 time to consider and discuss an issue in-depth  
2 before they come to a considered view or to  
3 consensus.

4           It is deliberative, obviously. That's  
5 purposeful and thoughtful consideration. And deliberative  
6 processes can improve the quality of decision-making and  
7 engage the broad community  
8 and the policy development process. They can be  
9 also used to resolve divisive issues and generate discussion  
10 about big-picture policy issues, such  
11 as adding conditions to newborn screening panels.

12           And there's a quote from Dr. Michele  
13 Gornick, our lead DCE facilitator, that "DCE is  
14 possibly the closest that everyday people can get  
15 to actually influencing policy." And it's  
16 powerful because policymakers receive educated  
17 recommendations from their own constituents. And  
18 I have to say that I've been contacted by  
19 legislators about conditions that they're  
20 considering introducing legislation for. And  
21 when we say, "Hold off a little bit. We're

1 talking to your constituents. We're already  
2 reaching out to them. Let's wait until we have  
3 some information from what they think." And  
4 they're like, "Oh, that's wonderful." So, so far  
5 we've been able to work through that process.

6           Deliberative community engagement in  
7 Iowa. We recruited a sample of 30 Iowans from  
8 across the state. We ended up having two no-  
9 shows. They came together at a location in  
10 Central Iowa over a weekend. So we had 28 people participate  
11 in a weekend event, where they were  
12 charged with deliberating newborn screening and  
13 making recommendations specifically about Pompe,  
14 MPS 1, and X-ALD.

15           We asked them to consider three specific questions  
16 through their deliberation. Question 1  
17 was: What are important factors to consider when  
18 planning for future additions or changes to  
19 Iowa's Newborn Screening Panel?

20           The second question is: How should the  
21 Iowa Department of Public Health and the Newborn Screening

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Program change communications to  
2 families?

3 And then: How can the department continue engaging  
4 the public to provide ongoing feedback  
5 for the Newborn Screening Program?

6 And what we heard -- so I'm going to talk  
7 specifically about question 2, and that was: How  
8 should the Iowa Department of Public Health and  
9 the Newborn Screening Program change  
10 communications to families?

11 So we did receive several recommendations  
12 about the timing of education. And as no  
13 surprise to everybody here, overwhelmingly, they  
14 felt that that information should be provided  
15 prenatally. They also gave us recommendations  
16 about the medium that we should use to provide  
17 that education, different approaches that we  
18 could take, and then specifically, the content of  
19 that information. And this was about general  
20 newborn screening in addition to those three  
21 specific conditions.

1           They also gave us suggestions for  
2 provider education and information, such as who  
3 should communicate abnormal results or positive  
4 results; how to communicate results in general;  
5 and that we should report all results, not just  
6 the positive results. And that echoes what we've  
7 heard from everybody so far today.

8           As far as the content of communication  
9 goes, they wanted us to provide information about  
10 early versus late onset, what that is. They  
11 wanted us to talk about false positives. They  
12 wanted us to talk about opting out. And we heard  
13 a lot about that, that -- with these new  
14 conditions that are more complex, they felt that  
15 families needed to make sure that they had the opportunity  
16 to make an informed decision about  
17 whether to participate in the Newborn Screening  
18 Program or not. So they said we ought to be  
19 informed that we can opt out, rather than just  
20 finding out, "Oh, by the way, you can opt out,"  
21 because we don't include that in any of our

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 educational materials right now.

2           And they also wanted information about  
3 the availability and effectiveness of various  
4 treatments and how much things were going to  
5 cost.

6           And a couple of quotes from the  
7 participants. One woman said, "We also need to  
8 include this in prenatal information."

9           And "Right now, it's like how they give  
10 that packet of information to the new mothers,  
11 but then the new mothers are probably stressing  
12 about their newborns, and don't have time to read  
13 that packet. So I think that should be stressed  
14 and have that information be provided while I'm pregnant."

15           And then another person said, "I think it should  
16 be done a couple of times, even if it gets  
17 close to delivery. And then ask if they have any questions  
18 because people are not educated enough  
19 at that level."

20           So that was something that we did hear  
21 was document that you provided the information to

1 them; document any questions or follow-up  
2 conversations that you've had with them as well.

3           The who, what, where, when, and how of  
4 general newborn screening education, some things  
5 that we heard: Specifically, the "who" -- who  
6 can communicate general newborn screening  
7 education. Of course, the Newborn Screening  
8 Program staff, prenatal educators, prenatal care providers,  
9 local maternal and child health  
10 programs, such as the State Title V Public Health  
11 Agency WIC programs.

12           We had a participant who got a new job in  
13 a WIC clinic, and she said, "There's no reason  
14 why we can't tell our clients about newborn  
15 screening as well, and we want to. And then, of  
16 course, the hospital staff.

17           And what should they communicate? Again,  
18 early versus late-onset types, what does that  
19 mean; false positive; the ability to opt out, at  
20 least in Iowa; the availability and effectiveness  
21 of treatment; how much things are going to cost;

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 and specifically that newborn screening is not  
2 intended to screen for late-onset types. And  
3 their discussions about, where when you're  
4 talking about screening for late-onset types,  
5 that's not newborn screening. "So you shouldn't  
6 screen for late-onset types, right?" Is what they  
7 said.

8           And then, when we speak to "where," in  
9 the public setting, through PSAs, flyers,  
10 presentation to civil organizations, high  
11 schools. We heard that more than once, that you  
12 should be talking about this in high schools,  
13 even middle schools. And we're like, okay.

14           And of course, social media -- we would  
15 be encouraging that. But they said the general  
16 public needs to be made aware of this. And I can  
17 say that we now have 30 more newborn screening  
18 advocates across Iowa through this process.

19           Where should this information happen?  
20 Again, it goes on to the prenatal provider  
21 clinics, childbirth education classes, and the

1 hospitals, and not just in the maternity ward,  
2 but everywhere through the hospitals, and health  
3 organizations.

4           And then they also mentioned an  
5 outpatient lab while getting their glucose  
6 tolerance testing done. So they said you're  
7 sitting there, waiting for, you know, your next  
8 lab draw. Why can't you get the information  
9 while you're waiting there?

10           When? Obviously, prenatally. That was  
11 the big one. And do it more than once, and  
12 document that the information was delivered.

13           And then how? They were okay with the brochures.  
14 They liked the brochures -- not  
15 specifically the way they were written out, but  
16 the idea of using brochures as the primary means  
17 to communicate the information.

18           They like online. And it should be  
19 interactive, with the ability to get questions  
20 answered.

21           And videos were fine too.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           Some of them said, "No more apps. We  
2 already have too many apps. Nothing else will  
3 fit on my phone." What we are trying to do in  
4 Iowa, then, something that we have in process  
5 that's dependent on resources, is we are  
6 enhancing our web page for newborn screening that  
7 will have education information materials for  
8 parents and families as well as providers.

9           And it's going to be formatted for  
10 viewing on mobile apps. And we decided to do  
11 that instead of an app, because apps cost money  
12 to update, and you have to have them on more than  
13 one platform, etcetera, etcetera. So we thought  
14 we would just use our web pages, so people can  
15 visit those websites as well as view them on  
16 their app.

17           And then we're going to hand out almost  
18 like business-size cards that have a QR code and  
19 the IP address for the websites, that the  
20 prenatal care providers can give to their clients  
21 and say, "Here. Go to this site. Learn about

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 newborn screening education. I'm going to ask  
2 you about it your next visit." So that kind of  
3 takes the responsibility off them for knowing  
4 everything that they feel they're expected to  
5 know about newborn screening. No more apps.  
6 That surprised me, especially after hearing from  
7 Natasha, when the majority of their visits were  
8 on mobile devices. So there you go.

9           So we're going to move on to reporting  
10 results -- the who, what, where, when, and how of reporting  
11 results.

12           So when we asked them about who should communicate  
13 abnormal or positive results, they  
14 said the PCP. And the reason they said the PCP  
15 was because they already have a relationship with  
16 their primary care provider, and they trust their  
17 primary care provider. But they should that they  
18 should have a specialist, or have information  
19 from someone knowledgeable about the condition as  
20 a backup.

21           And they specifically don't want to be

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 passed around to speak with different providers.  
2 They don't want to hear from a PCP, then hear  
3 from a specialist, then hear from someone calling  
4 to schedule appoints and that type. They need to  
5 know everything then that they need to know. And  
6 it needs to be someone with good communication  
7 skills and empathy. And they even suggested  
8 requiring providers to attend classes in  
9 delivering bad information or bad news, and how  
10 to be compassionate and empathetic. So we can  
11 all get right on that.

12           What should be communicated. They said  
13 we should know: "What does an abnormal result  
14 mean for us?" Is there risk for late onset? And  
15 they should know that treatment will or will not  
16 be started while we're waiting for confirmatory  
17 testing or diagnostic testing. They want to know  
18 the resources available to help the family  
19 navigate the system or to learn factual  
20 information about the condition.

21           So this goes into a lot of what we said

1 and heard here today. And they said, "You know  
2 we're just going to go to the website -- to Web,  
3 the -- right, the internet, and learn this  
4 information -- and that may or may not be good.  
5 So even if they're going to be directed to the  
6 website, make sure you give them a website that  
7 has factual information on there. And again,  
8 Facebook is another good one. And it would be  
9 great if you could know those support groups that  
10 are on Facebook or social media that you could  
11 refer that patient to, to help them navigate.

12           Normal results should be reported, for  
13 sure, or negative results. And if you're not  
14 going to do that, then at least the family should  
15 be told that no news is good news, and have that  
16 be the case, they said, rather than just not  
17 hearing anything about if the testing was even  
18 done, or the results of that testing.

19           And where should the communication take  
20 place? They preferred by phone, but definitely  
21 in person if you need to collect another specimen

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 right then or if you need to do other testing.  
2 And provide hard copies of information when it's available.  
3 And the screen tool is a great one to  
4 use for that, and you could also give that to the families,  
5 or some kind of information checklist,  
6 so they have something they can refer to going  
7 forward.

8           When should the results be reported.  
9 This is pretty much understood that it should be  
10 as soon as possible, and emergently if it's a time-critical  
11 condition.

12           And then how should the results be  
13 reported. They said that you should have a  
14 support person present with that family, or try  
15 to make sure that they have a support person --  
16 family. Understood that that would take some  
17 finesse when you're talking to them about  
18 abnormal conditions, and how much they're going  
19 to freak out because you said "Make sure you have somebody  
20 with you" or kind of like, "Are you  
21 sitting down?"

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           You know, what does that do to people's  
2 heart rate? So they said that ideal situation  
3 would be with a support person present because  
4 you're not going to be remember everything that  
5 you were told.

6           It should be delivered with compassion  
7 and empathy. Don't pass them around to different providers  
8 or different healthcare providers.  
9 Provide hard copies of the information, and use standardized  
10 communication guides for checklists.  
11 They talked about that a lot, and it was really interesting  
12 that Minnesota had been working on a  
13 the screen tool ahead of time. And so that was a  
14 very nice segue and a dovetail into what we were  
15 hearing from our participants. And give a copy  
16 to the parent, again.

17           And that's all I have from our  
18 communication part. Thank you for the  
19 opportunity to present. And again, our work was supported  
20 from a sub-award from APHL through  
21 HRSA. Thank you. (Applause)

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 DR. JOSEPH BOCCHINI: All right.

2 Well, I want to thank the four of you for excellent  
3 presentations. It's started to give us  
4 a feel for what's going on in the community.

5 So as Beth comes back, let's go ahead and  
6 open up the phones for the organizational  
7 representatives again. And we'll see if there's questions  
8 from the Committee or the  
9 organizational representatives.

10 DR. SCOTT M. SHONE: Scott Shone. Excuse  
11 me. Yeah. I think this question came on the  
12 NewSTEPS LISTSERV at some point. I don't  
13 remember what the answers are. And Piper, you  
14 might have posed it; I don't know. Or because of  
15 your work, you might remember. The question  
16 is: How many programs or how many people are  
17 engaging with communications scientists when  
18 they're developing these messages?

19 You know, I think about when we're  
20 developing laboratory tests, we're talking to  
21 chemists, microbiologists, etcetera; follow-up,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 genetic counselors, medical geneticists. And  
2 then the same people are developing the  
3 communication strategies.

4           And I don't mean like press release  
5 people. I mean people who are working in the  
6 health communications field, people -- you know,  
7 like Baby's First Test has worked with -- is that  
8 going on? And if not, how do we maybe encourage  
9 that? Or let's have another toolkit. Maybe this  
10 group or these -- you know, identify this  
11 specialty as, hey, when you're developing these  
12 new things, these are the people to talk to while  
13 you're also developing your assays and your  
14 follow-up algorithms.

15           MS. KIMBERLY NOBLE PIPER: I'm not aware  
16 of any states that are doing anything like that.  
17 But that is a good point that you raise.  
18 Everything that we put out has to go through our  
19 chief information officer. And basically, they  
20 just make sure there's not a misplaced comma and  
21 things like that.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           I did like what Texas is doing, and I was  
2 going to ask you, who does your review of your information  
3 for readability? I mean, that  
4 doesn't get specifically to the content -- oh,  
5 this is Kim Piper; I'm sorry -- specifically to  
6 the content of the education materials. But --

7           DR. DEBRA FREEDENBERG: Debbie  
8 Freedenberg. So the reviews that are done are  
9 done agency-wide, and there's one -- I actually  
10 don't know who they are. One of our educators is  
11 a web-based educator, and so everything goes off  
12 to these folks. And then it comes back either  
13 modified, or it's, you know, okay to post or okay  
14 to release. So all of it is reviewed by one  
15 entity within that agency, within the Department  
16 of State Health Services.

17           Right now it may even be Health and Human Services.  
18 To be honest, I'm not certain exactly  
19 where it goes. All I know is it goes off, and  
20 then it comes back. And the time between going  
21 off and coming back may not be close. But I can

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 check into that for you. I think we probably  
2 have a -- the office probably has a specific  
3 name. I just don't know what it is.

4 MS. JACKIE SEISMAN: This is Jackie. I  
5 would say from our work-with states, we know from  
6 hearing from that education and communications  
7 work is the least-funded work, and so that kind  
8 of gets left on the wayside. And so a lot of  
9 states will come to us asking for that  
10 assistance. Of course, that takes time and money  
11 for us as well. So that's just something that  
12 needs more funding behind it.

13 DR. BETH TARINI: And then I want to  
14 comment on this same topic because, back to my  
15 original point about education in each process --

16 Oh, sorry. Thank you. This is Beth  
17 Tarini. Thank you, Sue.

18 -- that Dr. Gornick, who led the  
19 deliberative session, works -- she and her  
20 mentor, Dr. Zickmund-Fisher at the University of Michigan,  
21 just actually are experts in risk

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 communication regarding laboratory results. And  
2 so they have two projects. One was about  
3 redesigning laboratory results as they appear in  
4 a portal for EMR so that they can actually be communication  
5 more effectively to the providers  
6 and the patients.

7           And in addition, Dr. Gornick has worked  
8 through the NHGRI CSER group on designing  
9 laboratory reports, so when that they come out of  
10 the laboratory to providers, they are user-  
11 centered design so that that education piece has framework  
12 to it that is informed and designed  
13 based on communication principles. So my point  
14 is to also just reflect back that there is design  
15 of the -- I agree with you in -- communication  
16 principles here. But there's communication  
17 principles also of the lab reports that come out  
18 to the providers and/or to the patients.

19           MS. JOAN SCOTT: Joan Scott, HRSA. First  
20 of all, I congratulate on doing a deliberative  
21 democracy approach. It is labor intensive,

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 having done it in other previous lifetimes. But  
2 you are able to unpack a lot of stuff when you've  
3 got people engaged over a long period of time --  
4 be it a weekend or over a couple of sessions or  
5 whatever.

6 But what had struck me is that what the messages  
7 that we hear, regardless of the  
8 methodology that we are using to query people --  
9 whether it's, you know, an intensive deliberative democracy  
10 approach or a survey or a focus group  
11 -- I feel like I'm ringing --

12 DR. BETH TARINI: No. You're radiating  
13 something.

14 MS. JOAN SCOTT: Well, now I lost my  
15 train of thought. And so we are still hearing  
16 the same messages about the what, the when, the  
17 how, you know. And so it leads me to the  
18 question -- and I don't know if you were able to  
19 tease this out of the summit -- and getting back  
20 to Scott's comment about communication  
21 specialists, about, you know, evidence around

1 best approaches for informing around -- not  
2 maternal health issues, even not necessarily just  
3 newborn screening -- but around all, you know,  
4 maternal and child health pregnancy-related  
5 issues.

6           And you know, is there evidence around  
7 best approaches or best practices? Or does that  
8 evidence really need to be generated about which  
9 is the best approach for what kind of -- and in  
10 what setting, if that made sense.

11           MS. KIMBERLY NOBLE PIPER: That was one  
12 of the pleasant surprises of doing this process  
13 was that these were 30 Iowans, across  
14 demographics -- I mean, we had males who had less  
15 than a high school education level; we had PhDs  
16 on the Committee -- so wide representation for  
17 Iowa -- I mean, as wide as it gets. And they  
18 were provided information about the three  
19 conditions and the newborn screening system ahead  
20 of time to review. And then, when they came  
21 together and deliberate, everything they were

1 talking about -- almost everything -- was stuff  
2 that we have spent years trying to reach some  
3 kind of consensus or agreement on.

4           And I was really pleasantly surprised --  
5 I mean, it was affirming to us that we're on the  
6 right track. But I think it does warrant -- I  
7 mean, this just gives us suggestions and  
8 recommendations, and we're still unpacking; we're  
9 still doing analyses. So we don't have all of  
10 the recommendations ready for public consumption  
11 yet. But I do think it's something that could  
12 play a part in developing some kind of best  
13 practice.

14           DR. CYNTHIA POWELL: Cindy Powell. My  
15 real question is: How do we compete with Google?  
16 You know, Mei related a story this morning, and  
17 we had a similar experience with our MPS 1 pilot  
18 in North Carolina, and Mei's was with the Pompe  
19 disease screening.

20           And I mean, we developed all these, you  
21 know, educational resources, pamphlets. We had

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1 I'm sure there are a lot of search engines --  
2 agencies that would like to know how to do that  
3 too. You wouldn't be saying, "google it."

4 DR. SUSAN BERRY: I take that as a bit of  
5 a rhetorical question, unfortunately.

6 MS. KIMBERLY NOBLE PIPER: Yes.

7 DR. BETH TARINI: Although I have to say  
8 I don't, because there are times I'll use Google  
9 because -- and when I use -- it's not the Google  
10 as much as it is the Google to get to what you're  
11 getting to. Like just because you google it  
12 doesn't mean you necessarily get that  
13 information. In fact, sometimes I will google  
14 things and explicitly look -- this happens in  
15 clinic around formula mixing -- and I will say,  
16 "I want to know what Cincinnati Children's  
17 Hospital has" -- because I know they must have  
18 something. And so I will look for Children's  
19 Hospital formula -- so I will google it because  
20 it's not at hand, and I don't have it, or my  
21 clinic doesn't have it.

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           And so I would say, first, it's -- to  
2   unpack it a little -- when you say "just google  
3   it" because I can't -- I don't know, I don't  
4   care, I don't have any information to tell you;  
5   I'm desperate as the provider -- that's not good.  
6   But when I have said -- when someone's googling  
7   with a purpose, with a -- and I'm not saying  
8   everyone's doing this -- but Google and searching  
9   for these resources is not necessarily a bad  
10   thing if it's done in the absence of anything  
11   else, or if it's also -- and the appreciation of  
12   the information you're getting. But I share your  
13   exasperation also.

14           DR. JOSEPH BOCCHINI: Scott.

15           DR. SCOTT M. SHONE: All right.

16           Scott Shone. We might be in this  
17   generational thing right now where it's a matter  
18   of -- I agree with you, Beth, that I think  
19   googling is a wonderful resource to use. But  
20   what happens now is at least -- in second grade,  
21   my seven-year-old and his -- whatever -- I was

1 going to say informatics, whatever computer class  
2 he's in --

3 DR. BETH TARINI: Yeah. Yeah. It's not  
4 about the --

5 DR. SCOTT M. SHONE: But yeah. But they  
6 teach him -- but they're teaching what is a good  
7 search --

8 DR. BETH TARINI: Yeah.

9 DR. SCOTT M. SHONE: Like it's part of  
10 the education now for a seven-year-old --

11 DR. BETH TARINI: Even --

12 DR. SCOTT M. SHONE: -- when you look  
13 something up --

14 DR. BETH TARINI: Yeah.

15 DR. SCOTT M. SHONE: -- what's a resource  
16 that you can use versus not. So, you know --

17 DR. BETH TARINI: There's a gap. Yeah.

18 DR. SCOTT M. SHONE: -- maybe the 20-  
19 something-year-old now who are having children --  
20 that wasn't part of the curriculum then.

21 DR. BETH TARINI: Yes.

1 DR. SCOTT M. SHONE: And so maybe this is  
2 something that's an issue now. And the target  
3 isn't getting away from Google, but somehow  
4 educating people what's -- you know, that not  
5 everything on Wikipedia is gospel, or on, you  
6 know, Dr. Whatever.

7 DR. BETH TARINI: But I guess, when they  
8 google -- where are the handouts, Cindy? Or they  
9 keep --

10 DR. CYNTHIA POWELL: They were online.  
11 We faxed them copies. You know, we --

12 DR. BETH TARINI: So when you google --  
13 when I google like whatever, MPS 1, this -- it  
14 wouldn't --

15 DR. CYNTHIA POWELL: Oh, I mean, I don't  
16 know.

17 DR. BETH TARINI: Oh, okay.

18 DR. CYNTHIA POWELL: I don't know. I  
19 mean, clearly, like the North Carolina --

20 DR. BETH TARINI: Yeah.

21 DR. CYNTHIA POWELL: -- pilot study

1 didn't pop up to the front.

2 DR. BETH TARINI: Pop up. All right.

3 Well, I will say this, also, about the -- you  
4 faxed it with the newborn screening result?

5 DR. CYNTHIA POWELL: Yes.

6 DR. BETH TARINI: Yeah, yeah. So when I  
7 have worked in the primary care clinic, if it's  
8 not in my hand when I walk in the door, or I  
9 can't click to get it -- I can't take -- because  
10 I'm 10 minutes, 10 minutes, 15 minutes, right?  
11 If I can't reach it or click it, it's not there.  
12 If I don't have it, and it's really important, I  
13 will get up and go get it, if I know it exists,  
14 if it's a handout.

15 So there's this thing about accessibility  
16 in these -- and the fax machine, no one sees  
17 because it's in the back, and like no one -- and  
18 so I get it. But I think that things don't come  
19 from the fax machines to the providers. And so  
20 there's this other whole piece of the mode of communication  
21 and moving the -- in the clinic

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 that doesn't often happen, which is unfortunate,  
2 because the valuable piece is sitting on the fax  
3 machine.

4 DR. DEBRA FREEDENBERG: This is Debbie  
5 Freedenberg. So some of the EMRs that are in use  
6 now do have pop-ups, and they do -- often, they  
7 go to -- UpToDate or just for the minute or  
8 whatever -- one of those online databases. And  
9 that appears to be a resource that is heavily  
10 utilized because it pops up in their EMR --

11 DR. BETH TARINI: Yeah.

12 DR. DEBRA FREEDENBERG: -- as they're  
13 using it. So if that hospital system or clinic  
14 system or whatever subscribes to that and it's in  
15 their EMR, that is helpful for the provider.  
16 It's not going to help the family per se, because  
17 they won't have access to that information  
18 originally. It'll have to come through a health  
19 professional.

20 DR. BETH TARINI: Sure, sure. But as  
21 opposed to a fax, if you can click it and print

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 it -- it's a process.

2 DR. DEBRA FREEDENBERG: Right, right,  
3 right.

4 DR. BETH TARINI: And some of it is a  
5 availability and process issue.

6 DR. DEBRA FREEDENBERG: And so I think  
7 getting it integrated into the regular flow is  
8 something that will be helpful in the future if  
9 it happens -- or globally.

10 DR. JOSEPH BOCCHINI: So we're going over  
11 a little bit, but I want to get Natasha, Mei, and  
12 then Bob Ostrander questions.

13 MS. NATASHA F. BONHOMME: Okay. I know Jackie's  
14 been trying to chime in, and she's  
15 smarter than me on the --

16 DR. BETH TARINI: You concede.

17 MS. NATASHA F. BONHOMME: -- not just  
18 this, but many topics. So you should go.

19 DR. BETH TARINI: You -- she's ceding you  
20 -- ceding the floor.

21 MS. JACKIE SEISMAN: I'll start, and then

1 you can follow my lead. I was going to say, in  
2 terms of reframing your question of how can we  
3 beat it and how can we use it, similar to what  
4 Scott was saying is that there needs to be some  
5 type of relearning of how to use it, what's a  
6 trusted resource, what's a not-trusted -- Genetic  
7 Lines does have a Trust it or Trash It tool that  
8 we often direct people in terms of what's  
9 actually trustworthy.

10 But I would also say there's different  
11 ways to -- you know, Google has changed in a lot,  
12 and it does take some relearning of what worked  
13 10 years ago, what worked 5 years ago, what  
14 worked 1 year ago no longer is the case. And so  
15 right now, it used to be that the number of  
16 people that used to hit your link used to pop up  
17 sooner in the search results.

18 That has now changed with ads. And now  
19 people that are paying for it -- and non-profits,  
20 I will say, have up to a limit that they can use  
21 for Google ads, and those are the people in the

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 search results that are coming up quicker. But  
2 my suggestion would be, really, around  
3 partnerships, and go where people are going. It  
4 took a long time -- and I'm saying not me --  
5 Natasha and Melissa were building up Baby's First  
6 Test, and we put Baby's First Test linking out to  
7 other organizations that are going to -- we know  
8 that people aren't always going to Baby's First  
9 Test, but they are going to Baby Center, for  
10 instance.

11           And so going where people are searching  
12 for information. So it's not as easy as just  
13 putting a link -- not saying that you just did  
14 that; you put a lot of work -- but just putting a  
15 link on a website and hoping it gets traction.  
16 So it does take a lot of that outreach and  
17 partnership would be my suggestion. And then  
18 I'll let Natasha follow that.

19           MS. NATASHA F. BONHOMME: Yeah. And then  
20 the only other thing I wanted to add, really,  
21 speaking to what Joan was asking about in terms

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 of best practices, I think that there is still  
2 more digging we need to do to see, you know, what  
3 has already been laid out there. But one of the  
4 key pieces that we know is that for people to get  
5 a message to stick in their head, they need to  
6 see at least seven times.

7           And so, really -- and like seeing that  
8 very consistent. It's one of the reasons why we  
9 really push making sure healthcare providers are  
10 using very similar, if not the same, messages as  
11 what we're sending to families, so that it's  
12 seeing it all the time. And then you don't  
13 really realize when you learned it; you just all  
14 of a sudden realize you know it. But I think  
15 there's a lot more that we could do in that  
16 space.

17           DR. MEI BAKER: I just want to quick --  
18 adding on -- I think, as these things are popping  
19 up already, when we say compete with Google, it  
20 really is we want to tell them which site to go,  
21 so -- because in the information -- but I think

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Beth say something make me thinking it is  
2 accessibility, because if you have a print copy,  
3 say this address, use it, then they have it --  
4 they don't want to do that.

5 I think maybe in the future -- I was  
6 thinking is electronic report, a newborn  
7 screening report. You -- and then report it electronically,  
8 you have the link. They can just  
9 click down that. I think the family maybe  
10 appreciate primary care physician, at the time,  
11 who know something, even have address -- they can  
12 do -- look more, I think, right.

13 DR. ROBERT OSTRANDER: So I absolutely  
14 agree. I think the just-in-time thing for the  
15 primary care doctor is going to be better than  
16 trying to disseminate education because most  
17 primary care doctors are not going to use their  
18 education time for something they're going to see  
19 once in a million years, when they're challenged  
20 every day by the same thing over and over again.  
21 But UpToDate is a need -- you know, kind of

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 become a lot of folks' go-to.

2           And since Alex Kemper wrote the Newborn Screening  
3 section in UpToDate, it's pretty good.

4 And it also has links to -- I just looked it up  
5 -- it has links to Baby's First Test and the ACT  
6 sheets right there under Resources. So even  
7 though we haven't planned to do this, we've done  
8 a lot of this. So there is a good -- if docs go  
9 to UpToDate, and they go to the Resources  
10 section, Baby's First Test will be there, and the  
11 ACT sheets will be there -- I mean, these  
12 particular documents are. I also -- just for  
13 kicks while I waiting to talk -- looked up X-ALD  
14 on UpToDate, and it's not bad.

15           So if docs go there, if they take the  
16 extra time to do that -- so I think, really, one  
17 of the big things is, more and more, to make sure  
18 that these reliable things are pop-ups, or in the  
19 little window, like it is on my computer, where  
20 you can get there, because in five -- you know,  
21 the time I was waiting to talk between the time

          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 he identified me, yeah, I looked up ELSI and the  
2 X-ALD. And that's how we need to function in a  
3 primary care practice.

4 DR. BETH TARINI: And your point is  
5 exactly -- go where they go. The doctors will go  
6 to UpToDate. And while was going on, I was like,  
7 well, where do I go? I go to UpToDate. And so  
8 your point is very well taken.

9 DR. JOSEPH BOCCHINI: All right. Well,  
10 with that, again, I want to thank the panel. I  
11 want to thank Beth for leading this, and thank  
12 everybody for their comments and their  
13 participation in today's meeting. We're going to  
14 meet again tomorrow morning, 9:30. Any other information?

15 (No audible response)

16 Okay. All right. Thank you all very  
17 much. That'll conclude today's meeting.

18 (Meeting concluded)