

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

The Advisory Committee on
Heritable Disorders in Newborns and Children

Virtual Meeting

10:00 a.m.

Friday, February 12, 2021

Attended Via Webinar

Page 1 - 162

Reported by Garrett Lorman

1 **Committee Members In Attendance**

2

3 **Mei Baker, MD**

4 Professor of Pediatrics

5 University of Wisconsin School of Medicine and

6 Public Health

7 Co-Director, Newborn Screening Laboratory

8 Wisconsin State Laboratory of Hygiene

9

10 **Jeffrey P. Brosco, MD, PhD**

11 Professor of Clinical Pediatrics, University of

12 Miami

13 Title V CYSHCN Director, Florida Department of

14 Health

15 Associate Director, Mailman Center for Child

16 Development

17 Director, Population Health Ethics, UM Institute

18 For Bioethics and Health Policy

19

20 **Kyle Brothers, MD, PhD**

21 Endowed Chair of Pediatric Clinical and

22 Translational Research

1 Associate Professor of Pediatrics University
2 of Louisville School of Medicine

3

4 **Jane M. DeLuca, PhD, RN**

5 Associate Professor

6 Clemson University School of Nursing

7

8 **Shawn E. McCandless, MD**

9 Professor, Department of Pediatrics

10 Head, Section of Genetics and

11 Metabolism

12 University of Colorado Anschutz

13 Medical Campus

14 Children's Hospital Colorado

15

16 **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

17 Professor of Pediatrics and Genetics

18 Director, Medical Genetics Residency

19 Program Pediatric Genetics and

20 Metabolism

21 The University of North Carolina at

22 Chapel Hill

1 **Annamarie Saarinen**

2 Co-founder

3 CEO Newborn Foundation

4

5 **Scott M. Shone, PhD, HCLD (ABB)**

6 Director

7 North Carolina State Laboratory of

8 Public Health

9

10 **Ex-Officio Members in Attendance**

11

12 **Agency for Healthcare Research & Quality**

13 Kamila B. Mistry, PhD, MPH

14 Senior Advisor

15 Child Health and Quality Improvement

16

17 **Centers for Disease Control & Prevention**

18 Carla Cuthbert, PhD

19 Chief

20 Newborn Screening and Molecular Biology Branch

21 Division of Laboratory Sciences

22 National Center for Environmental Health

1 **Food and Drug Administration**

2 Kellie B. Kelm, PhD

3 Director

4 Division of Chemistry and Toxicology Devices

5 Office of In Vitro Diagnostics and Radiological

6 Health

7

8 **Health Resources & Services Administration**

9 Michael Warren, MD, MPH, FAAP

10 Associate Administrator

11 Maternal and Child Health Bureau

12

13 **National Institutes of Health**

14 Melissa Parisi, MD, PhD

15 Intellectual and Developmental Disabilities Branch

16 Eunice Kennedy Shriver National Institute of Child

17 Health and Human Development

18

19 **Designated Federal Official**

20 Mia Morrison, MPH

21 Genetic Services Branch

22 Maternal and Child Health Bureau

1 Health Resources and Services Administration

2

3 **American Academy of Family Physicians**

4 Robert Ostrander, MD

5 Valley View Family Practice

6

7 **American Academy of Pediatrics**

8 Debra Freedenberg, MD, PhD

9 Medical Director, Newborn Screening and

10 Genetics, Community Health Improvement

11 Texas Department of State Health Services

12

13 **American College of Medical Genetics & Genomics**

14 Maximilian Muenke, MD, FACMG

15 Chief Executive Officer

16

17 **Association of Maternal & Child Health Programs**

18 Jed Miller, MD

19 Director, Office for Genetics and People with

20 Special Care Needs

21 Maryland Department of Health Maternal and Child

22 Health Bureau

1 **Association of Public Health Laboratories**

2 Susan M. Tanksley, PhD

3 Manager, Laboratory Operations Unit

4 Texas Department of State Health Services

5

6 **Association of State & Territorial Health**

7 **Officials**

8 Christopher Kus, MD, MPH

9 Associate Medical Director

10 Division of Family Health

11 New York State Department of Health

12

13 **Association of Women's Health Obstetric and**

14 **Neonatal Nurses**

15 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,

16 IBCLC

17 Vice President, Research Officer University of

18 North Carolina Health

19 Board Director, Association of Women's Health,

20 Obstetric & Neonatal Nurses

21

22

1 **Child Neurology Society**

2 Jennifer M. Kwon, MD, MPH, FAAN

3 Director, Pediatric Neuromuscular Program

4 American Family Children's Hospital

5 Professor of Child Neurology, University of

6 Wisconsin

7 School of Medicine & Public Health

8

9 **Department of Defense**

10 Jacob Hogue, MD

11 Lieutenant Colonel, Medical Corps, US Army

12 Chief, Genetics, Madigan Army Medical Center

13

14 **Genetic Alliance**

15 Natasha F. Bonhomme

16 Vice President of Strategic Development

17

18 **March of Dimes**

19 Siobhan Dolan, MD, MPH

20 Professor and Vice Chair for Research

21 Department of Obstetrics & Gynecology and

22 Women's Health

1 Albert Einstein College of Medicine and Montefiore
2 Medical Center

3

4 **National Society of Genetic Counselors**

5 Cate Walsh Vockley, MS, CGC

6 Senior Genetic Counselor Division of Medical
7 Genetics

8 UPMC Children's Hospital of Pittsburgh

9

10 **Society for Inherited Metabolic Disorders**

11 Georgianne Arnold, MD

12 Clinical Research Director, Division of Medical
13 Genetics

14 UPMC Children's Hospital of Pittsburg

15

1	C O N T E N T S	
2	WELCOME AND ROLL CALL	11
3	EDUCATION AND TRAINING WORKGROUP UPDATE	15
4	FOLLOW UP AND TREATMENT WORKGROUP UPDATE	26
5	LABORATORY STANDARDS AND PROCEDURES	38
6	WORKGROUP UPDATE	38
7	DISCUSSION: WORKGROUP IDEAS	49
8	BREAK	66
9	INNOVATIONS IN LONG TERM FOLLOW-UP	70
10	NEW BUSINESS	160
11		

1 P R O C E E D I N G S

2 **WELCOME AND ROLL CALL**

3 CYNTHIA POWELL: Good morning, everyone.

4 Welcome to the second day of the February 2021

5 committee meeting. We will

6 begin by taking roll. I'll start with committee

7 members, Kamila Mistry.

8 KAMILA MISTRY: Here.

9 CYNTHIA POWELL: Mei Baker.

10 MEI BAKER: Here.

11 CYNTHIA POWELL: Jeff Brosco.

12 JEFF BROSCO: Here.

13 CYNTHIA POWELL: Kyle Brothers.

14 KYLE BROTHERS: Here.

15 CYNTHIA POWELL: Jane DeLuca.

16 JANE DELUCA: Here.

17 CYNTHIA POWELL: Carla Cuthbert.

18 CARLA CUTHBERT: Here.

19 CYNTHIA POWELL: Kellie Kelm.

20 KELLIE KELM: Here.

21 CYNTHIA POWELL: Michael Warren.

22 MICHAEL WARREN: Here.

23 CYNTHIA POWELL: Shawn McCandless.

1 Melissa Parisi.

2 UNIDENTIFIED MALE SPEAKER: She's on the
3 phone in the audience. We're getting her promoted
4 right now.

5 CYNTHIA POWELL: Okay, thank you.
6 I'm here, Cynthia Powell. Annamarie Saarinen.

7 ANNAMARIE SAARINEN: Here.

8 CYNTHIA POWELL: Scott Shone.

9 SCOTT SHONE: Here.

10 CYNTHIA POWELL: And our organizational
11 representatives, Robert Ostrander.

12 ROBERT OSTRANDER: Here.

13 CYNTHIA POWELL: Debra Freedenberg.

14 DEBRA FREEDENBERG: Here.

15 CYNTHIA POWELL: Maximilian Muenke.
16 Steven Ralston. Jed Miller.

17 JED MILLER: Here.

18 CYNTHIA POWELL: Susan Tanksley.

19 SUSAN TANKSLEY: Here.

20 CYNTHIA POWELL: Chris Kus.

21 CHRISTOPHER KUS: Here.

22 CYNTHIA POWELL: Shakira Henderson.

1 SHAKIRA HENDERSON: Good morning, here.

2 CYNTHIA POWELL: Jennifer Kwon.

3 JENNIFER KWON: Here.

4 CYNTHIA POWELL: Jacob Hogue.

5 JACOB HOGUE: Here.

6 CYNTHIA POWELL: Natasha Bonhomme.

7 NATASHA BONHOMME: Here.

8 CYNTHIA POWELL: Siobhan Dolan.

9 SIOBHAN DOLAN: Here.

10 CYNTHIA POWELL: Cate Walsh Vockley.

11 CATE WALSH VOCKLEY: Here.

12 CYNTHIA POWELL: Georgianne Arnold.

13 GEORGIANNE ARNOLD: Here.

14 CYNTHIA POWELL: And anyone who wasn't
15 able to get through who has joined in the interim?
16 Okay, thank you.

17 So, we'll begin with updates from the
18 workgroup meetings that were held yesterday
19 afternoon. The workgroups convened to consider
20 processes for the review of conditions on the RUSP
21 and potential updates to the committee's condition
22 nomination form. After their presentations, the

1 committee will have the opportunity to engage in
2 discussion. Our final session of the meeting will
3 be a panel on innovations in long term follow-up.
4 I'll now turn it over to Mia Morrison, Designated
5 Federal Official, to provide guidance for
6 participating on the webinar.

7 MIA MORRISON: Thanks, Dr. Powell.
8 Members of the public, audio will come through
9 your computer speakers, so please make sure to
10 have your computer speakers turned on. If you
11 can't access the audio through the computer, you
12 may dial into the meeting using the telephone
13 number that was in the E-mail with your Zoom link.
14 This meeting does not have an all-attendee chat
15 feature. But there was a period for public
16 comment yesterday.

17 Committee members and organization
18 representatives, audio will also come from your
19 computer speakers, and you will be able to speak
20 using your computer microphone. If you can't
21 access the audio or microphone through your
22 computer, you may also dial into the meeting using

1 the telephone number that was in the E-mail with
2 your Zoom link.

3 Please speak clearly and remember to
4 state your first and last name to ensure proper
5 recording for the committee transcript and
6 minutes. The chair will call on committee members
7 first and then organizational representatives.

8 In order to better facilitate the
9 discussion, please use the raise hand feature,
10 which should be located on the bottom of your
11 screen. Depending on your operating device or
12 operating system, this may appear in a different
13 location.

14 I'll now turn it back over to
15 Dr. Powell.

16 CYNTHIA POWELL: Thank you, Mia. First,
17 we have the Education and Training Workgroup
18 chaired by Dr. Jane DeLuca. Dr. DeLuca.

19 **EDUCATION AND TRAINING WORKGROUP UPDATE**

20 JANE DELUCA: Good morning, everyone.
21 Mia, do you have slides, or should I put them up
22 on my end?

1 MIA MORRISON: They'll be putting them up
2 momentarily.

3 JANE DELUCA: Okay. Good morning.
4 First, I wanted to acknowledge all of our members
5 in our Education and Training Workgroup, and if
6 I've left anybody off this slide, I apologize.
7 Next slide.

8 So, in terms of our two questions, we
9 concentrated mostly on the first question; What
10 range of issues related to education should the
11 advisory committee consider when a condition is
12 added to the RUSP? Next slide.

13 And these were the types of topics that
14 we came up with in our discussions, and it was
15 really a very, very fruitful discussion. So, we
16 started off thinking about what are we trying to
17 achieve or improve in terms of screening through
18 our informational efforts? What do we mean when
19 we say education? Is this awareness, training,
20 should it be directed towards parents, providers,
21 or other stakeholders? Are there increased risks
22 in screening for certain populations? What does

1 the diagnostic and treatment process look like?
2 Do we have a roadmap? How do parents and
3 providers navigate this? What are some of the
4 aspects of providing support to parents upon
5 notification of an abnormal newborn screen? What
6 types of tools and in what languages are needed so
7 we can appropriately educate different
8 stakeholders?

9 So, there are many different learners
10 involved throughout the newborn screening system,
11 but we do not want to reinvent the wheel. We
12 discussed an existing tool, what stakeholders need
13 to know, and this was a grid that was constructed,
14 and it's actually posted on the advisory committee
15 website on 2018. So, considerable work went into
16 this tool, and it brings together 31 different
17 stakeholders, and that's matched with what they
18 need to know in 28 categories of knowledge, which
19 is specified for each stakeholder, and at the end
20 of the slideshow, I'll show you an example of
21 that. But it's -- it's really quite a useful
22 tool, and it was good that we revisited this and

1 brought this back into the forefront.

2 So, along the lines of education, there
3 are excellent sources of educational materials
4 that already exist -- the resources in Baby's
5 First Test. There are broader organizations that
6 are putting information together for screening
7 conditions and bringing that into the public
8 sphere. But to answer this question, we need a
9 better foundation to begin with, not just focus on
10 disease-specific information.

11 So, we discussed considering early
12 education in the nomination process. This can be
13 a bidirectional dialogue to increase awareness
14 across different stakeholders. Early dialogue
15 between stakeholders from the nomination point to
16 implementation can really aid in getting the word
17 out in terms of what people can expect. Getting a
18 perspective on education that's needed early in
19 the nomination phase rather than waiting until a
20 disorder is implemented could really be useful.
21 What role can the advisory committee play within
22 its framework and limited resources? What is the

1 advisory committee set up to do?

2 One suggestion was that in lieu of
3 reading the entire report when a condition is
4 nominated or added after the Secretary approves,
5 there could be a one-page description of why this
6 disorder was added and what happens next. The
7 goal of education by the advisory committee could
8 be to tell what is being done as a particular
9 condition is added to it at a particular time
10 breaking down the information about the
11 nomination. This might help improve public
12 understanding as parents and providers may not be
13 aware of the process. People do not often times
14 know when a particular disorder is chosen.
15 Explaining this may give perspective to those who
16 are asking the questions as well as those who are
17 posing the questions.

18 An example of this in the group was that
19 there's a website in the UK where all conditions
20 are considered -- that were ever considered for
21 newborn screening are listed, and you can click on
22 the condition that did not go forward and learn

1 why. It seems to aid in helping people understand
2 the general process.

3 So, can we consider creating something
4 new that will capture important facets of the
5 questions that are being asked? For example, how
6 long would it take in a particular state for a
7 screen for a new disorder to be implemented? Why
8 was a disorder decided upon? What are other
9 states doing? Why is it taking so long, for
10 example, to have a disorder come to a particular
11 state?

12 Education during decisions about a
13 condition for the RUSP. When is education
14 considered during the decision-making process for
15 a condition nominated for the RUSP, or does this
16 figure at all in the process? The group thought
17 that this was not considered very much as far as
18 we know, but it's an excellent point and it may be
19 captured in the APHL survey of the states or not.
20 But this is an excellent suggestion that came
21 forward.

22 The group discussed benefits and harms.

1 There is no agreement among stakeholders for what
2 constitutes benefits and harms in different
3 newborn screening conditions, so this is diverse
4 opinions. One group may feel preventing mortality
5 may be sufficient, but for another, this is not
6 sufficient benefit to consider screening.

7 Advocates have differing views, as do states, and
8 even within the advisory committee itself. There
9 are different thresholds for benefit and
10 screening.

11 How can we define physical versus
12 psychosocial harms and benefits and the magnitude
13 of each? Potential harms in newborn screening
14 have been present since the very beginning of the
15 screening system. How do we educate about
16 potential harms? Is there a way to communicate
17 this within the nomination process? Should it be
18 requested of a nominating group, for example, that
19 they consider benefits and also harms of screening
20 from their perspectives? This is a very difficult
21 topic and defining harms can be challenging for
22 all of us, but it certainly is warranted at

1 inspection.

2 Variety of -- different varieties of
3 state screening systems. There is a wide variety
4 of state screening systems, and some take the
5 federal recommendations as is, some have their own
6 RUSP process or decision-making mechanisms. There
7 is a federal layer and then there is a state layer
8 of policy. There are issues considered important
9 to states but maybe less important to the advisory
10 committee. We debated that it could be important
11 to educate the public of these existing
12 differences between states, that not all states
13 were exactly the same and there are differences in
14 approach to screening and available resources.

15 Of note, there are limited resources in
16 states for screening as well, and costs are not
17 discussed as a rule as an education point. This
18 is especially important now since COVID has seized
19 health departments and new disorders may not be
20 added.

21 Start with those who are least informed.
22 If we are thinking of a one-page sheet for

1 education about advisory committee activities,
2 such concerns regarding benefits and harms or
3 differences in state screening systems and panels
4 exist but who should we target? Do we want to
5 make a professional sheet and a nonprofessional
6 single sheet? It was suggested that at least
7 information -- that the least informed
8 stakeholders might benefit the most. Educating
9 newborn screening staff was suggested because not
10 everyone gets to the advisory committee meetings
11 or perhaps policy-makers and health care providers
12 may be the least informed. Perhaps legislators
13 because they are often involved in these decisions
14 at a state level. State advisory committees,
15 because they make these decisions, may not know
16 all aspects of newborn screening.

17 What types of information? So, for our
18 second question, what types of information and
19 resources would be most helpful when a condition
20 is added to the RUSP? So, there were a few
21 thoughts on this. And the education issue might
22 be useful as conditions as added to the RUSP.

1 Information could be made available on a variety
2 of targeted conditions such as the identification
3 of late-onset disorders or secondary conditions.
4 What about case definition? What are we screening
5 for versus what we might pick up, and conversely,
6 what might we miss?

7 Education could help mitigate some of the
8 harm and support families through the process, and
9 education may closely be tied to the nomination
10 and evaluation process, and we can examine
11 barriers to that process.

12 The group discussed the communication
13 guide during the review process. This, again, was
14 a tool that was created and posted to the website
15 in 2018. So, this is for disorders to help think
16 about how initial notifications of newborn
17 screening can occur and guide the discussion about
18 the conditions.

19 So, to summarize, the committee focused
20 on education about the advisory committee and the
21 nomination review processes, acknowledging
22 differences in the many stakeholders and state

1 systems, avoiding reinventing the wheel, and
2 revisiting stakeholders grid and communication
3 guide resources, the topics of harms and benefits,
4 cost, early education in the nomination process,
5 informing the public providers about the
6 nomination process, and informing the least
7 informed among them, and the possibility of
8 creating one-page informational guides or answers
9 to address the questions posed to the Education
10 and Training Committee. Can you just turn to the
11 next slide or the last slide?

12 And this is just an example of the tools
13 that I have talked about -- the communication
14 guide and the grid.

15 CYNTHIA POWELL: Thank you,
16 Dr. DeLuca. As you said, there was a lot of
17 discussion yesterday during that workgroup meeting
18 and certainly building upon the previous work of
19 the Education and Training Workgroup will be very
20 helpful as we move forward with this.

21 So, as a reminder, we're going to hold
22 questions and comments until all three workgroups

1 have presented.

2 Next, we have the Follow-up and Treatment
3 Workgroup chaired by Dr. Jeffrey Brosco and co-
4 chaired by Dr. Christopher Kus. Today,
5 Dr. Brosco will present the workgroup update.
6 Jeff, you're muted.

7 **FOLLOW UP AND TREATMENT WORKGROUP UPDATE**

8 JEFFREY BROSCO: Oh, my apologies. And
9 what I said was brilliant, I'm sorry you guys
10 missed it. It's always interesting to see how
11 much the content of what the different workgroups
12 come up with. Even though we have different
13 charges, it's so similar and I think you will hear
14 that as we go through this.

15 There is no way we can cover the richness
16 of the discussion in our ten minutes, but I'm
17 lucky in that our panel discussions starting at
18 11:10 is on the same topic. So, I'm going to
19 spend most of my time reviewing what the Follow-up
20 and Treatment Workgroup has been doing over the
21 years because, as Jane pointed out, we've done so
22 much of this work before. Next slide, please.

1 So, it's interesting even though we have
2 a new group of folks, there's some turnover, that
3 a lot of the discussion we did yesterday led to
4 many of the same places where we were in 2018 and
5 2019. And I want to particularly acknowledge
6 Chris Kus for helping organize our very unruly
7 group at times. It was wonderful discussion.
8 Next slide, please.

9 So, just to remind everyone what our
10 charge is, we are responsible for working on
11 barriers, recommendations, and who is responsible
12 for screening, implementation, short- and long
13 term follow-up, and that includes treatment that
14 are relevant to newborn screening results. Next
15 slide, please.

16 And just a couple words on what we mean
17 by follow-up and treatment. These are very
18 strange things, but we had discussion where we've
19 been using the work follow-up, and for clinicians,
20 this implies treatment. I'm a pediatrician. If
21 I'm following up a patient, that means I'm going
22 to treat that patient every time I see them. But

1 for many others, for researchers, they may say
2 well, you know, in five-year follow-up, the
3 survival rate was blank. And so, one of the
4 things we want to make sure is that when we say
5 follow-up, we actually imply treatment and its
6 part of our workgroup name.

7 The other thing is the word long term.
8 When we looked at this really closely a couple of
9 years ago, we realized this means very different
10 things to different people. For some people, five
11 years -- age 5 is long term follow-up. Most of us
12 would agree, well, that doesn't take into account
13 things like learning disabilities and stuff that
14 happens after that. So, we've been trying to use
15 the word longitudinal, which includes lifespan.
16 So, instead of saying long term, I'm going to try
17 to remember to say longitudinal. Next slide,
18 please.

19 And what are some examples of
20 longitudinal follow-up? So, there are three main
21 categories, and I'll talk about this more later.
22 But what the researchers are primarily interested

1 in, did early treatment make a difference? Then,
2 there's the quality improvement/assurant, return
3 on investment, which we talked about a lot
4 yesterday and tends to be the purview of
5 particularly larger organizations. And then
6 perhaps most importantly for families and each of
7 us as clinicians at the bedside is how is that
8 particular child doing. So, we want to know over
9 time. And there's obviously overlap among the
10 three. Next slide, please.

11 So, what have we done to try to get here?
12 I'm going to -- let's skip this slide for now,
13 because we'll talk about it more later.

14 Starting in 2006 was sort of the first
15 major publication where Alex Kemper and the
16 workgroup laid out some of the central components
17 of what follow-up should look like over the years,
18 and that included care coordination, evidence-
19 based treatment, and quality improvement, and they
20 said there are certain features that were really
21 important. And you see at least, you know, over a
22 decade ago, they laid out most of what we need to

1 know. Next slide, please.

2 And then, Cynthia Hinton and the
3 workgroup at that time followed up, emphasized
4 those central components, and then talked about
5 those different perspectives, and we'll deal with
6 this a little bit this afternoon. Both state and
7 nation, primary care, specialty providers, and
8 families have different views on this, and it's
9 kind of went through and laid that out. Next
10 slide, please.

11 And then, perhaps, most useful is the
12 work of Cynthia Hinton and that workgroup, which
13 created this framework in 2016. So, go to the
14 next slide, please.

15 I just want to stay on this for a minute
16 because in some ways, as Jane was saying, things
17 have been laid out in previous workgroups. Well,
18 things have been laid out pretty nicely for us.
19 So, you can see on the left side, there are these
20 outcomes, and they are the major outcomes we all
21 think would be important for any condition. And
22 then they talk about the primary drivers. What

1 are the ways we get to those outcomes? And then
2 even put in place what could be some of the --
3 conceptually at least -- what should we measure to
4 know whether we've reached those outcomes. Next
5 slide, please.

6 So, a couple years ago, our workgroup
7 tried to work on those measures in particular, and
8 Alan Zuckerman and others really helped lead us
9 through this to say well, these quality measures
10 are a crucial part of our health care system
11 nowadays. They are the way we know we're getting
12 where we need to get. There are lots of different
13 kinds of quality measures. We talked a little bit
14 about how this would all fit together. And I'll
15 spend more time on this this afternoon when we
16 talk about long term follow-up in that context.

17 So, and this as well, we'll talk about
18 this afternoon, this idea of a federated system.
19 The United States health care system is highly
20 fragmented. It's just the nature of the beast.
21 There's no way around it. So, if we wanted to
22 create a way of keeping track of all these

1 different components, we need to kind of federate
2 different pieces of it. Next slide, please.

3 So, here are the questions that we were
4 discussing yesterday, which fit in with the work
5 we've been doing really over the last decade.

6 So, the first one, what kind of
7 longitudinal follow-up information should be
8 considered when a condition is added to the RUSP.
9 Next slide, please.

10 I almost didn't have to change at all our
11 slide from a couple years ago, and we discussed
12 this yesterday morning as well. So, we would
13 argue that when a condition is considered, we
14 should be thinking about longitudinal follow-up
15 right from the very beginning. And I love the way
16 that Shawn McCandless talked about this
17 relationship that the nominating group sort of
18 proposes some ideas and that begins relationships,
19 not just with HRSA and MCHB, but with state
20 newborn screen labs and so on. It creates an
21 opportunity for us to learn from each other.

22 And the key components that we all agreed

1 on yesterday -- and this was the bulk of our time
2 -- was that we want to make that we have some
3 access to treatment -- I'll come back to this
4 later this afternoon -- because there are some
5 really tricky issues about what we mean by equity
6 and how far we can go. But there should be at
7 least some ideas about if we screen for a
8 condition, will children who are identified have a
9 chance of actually getting treatment, because if
10 not, that's a real problem.

11 A second key idea is what is the best
12 outcome measure? How do we know we've been
13 successful in newborn screening? What really
14 matters? And we think the nominating group is in
15 a good position to participate in that discussion
16 and should say these are the kinds of things that
17 are really important to us as researchers, as
18 clinicians, as family members, as youth and adults
19 who have the condition, and they should have a say
20 in what is the important outcome.

21 And then lastly, that there should be at
22 least a plan, some idea, a prospect of how we

1 might collect this data over time. Is it a
2 patient registry? Is it going to be through the
3 approaches we're going to hear later this
4 afternoon from NewSTEPS or others?

5 Now, we -- it's important to say that we
6 think that this process should not be part of the
7 scoring, you know, we shouldn't say yes, everyone
8 has access, and therefore, it should be on the
9 RUSP or not. But that we should at least begin
10 thinking about longitudinal follow-up from the
11 beginning. This has become -- some groups have a
12 lot more access to resources than others, and we
13 don't want to create a bar that people can't reach
14 just because of resources. Next slide, please.

15 The second question was about systematic
16 review of conditions on the RUSP and when that
17 should be done and then what information would be
18 important, and here are some of the main ideas
19 that came out of our discussion yesterday.

20 One is the idea that during evidence
21 review, Alex and his team come up with these
22 models that say here are what we think is going to

1 happen, here is the ratio of benefits to harms,
2 and maybe we can use that as an organizing system
3 for thinking about conditions five, ten, fifteen,
4 twenty years later, and there's also the value
5 that we get some lessons learned. What did we
6 learn from our modeling and how it actually played
7 out?

8 The equity, population health issues came
9 up a fair amount yesterday in our workgroup. Did
10 everyone benefit from newborn screening state
11 programs equally, or were there disparities that
12 we need to address?

13 Following up on Mei Baker's comments
14 yesterday, a number of folks talked about well,
15 what actually is the condition? What's that range
16 of diseases once we start doing newborn screening?
17 We have secondary targets, late onset, what's the
18 real prevalence? And that's information that's
19 going to be really important for informing
20 decisions in the future.

21 And I think you heard Bob Ostrander
22 yesterday talk about harms as a way to prioritize.

1 What are the red flags and maybe one way to think
2 about when we should review a condition is if the
3 ratio of benefit to harms changes, maybe it's time
4 to do a closer review?

5 And then Jed Miller had a really nice
6 idea talking about barriers and systematically
7 collecting information in these common categories
8 so states could learn from each other over time.

9 And then lastly about this, what
10 conditions to review and when. Should it be
11 three, five, ten years? One idea is that it could
12 be sort of a two-step process, and maybe there is
13 a routine where, you know, ten conditions are
14 reviewed every year in a very brief way. So, what
15 does the published data say, a quick survey, and
16 identifying ones there the ratio of benefit to
17 harm has changed significantly and therefore
18 requires a much more close look. Next slide,
19 please.

20 The third question for us was the cost of
21 treatment, and everyone agreed that this should be
22 something we need to look more closely at, but

1 there was a lot of feeling that this probably
2 should not influence whether something is put on
3 the RUSP or not, and Annamarie Saarinen made a
4 really critical point, which we all rapidly agreed
5 with, which is it's probably not right to think
6 about this as cost of treatment. You know, if you
7 say something costs, you know, \$700,000 a year to
8 implement, that's out of context. And really,
9 what we should probably be thinking about is
10 access, and she suggested the WHO definition,
11 which here -- we'll talk about this a little bit
12 later this afternoon when we talk more about
13 equity. So, we think that cost is worth thinking
14 about, but we really just began our conversation
15 yesterday. Next slide, please.

16 So, this is just a little hint of what we
17 are going to talk about later in terms of cost and
18 access and equity. And that is, if you think
19 about newborn screening programs at the state
20 level, we do a really good job probably regarding
21 diagnosis in terms of equity, but probably not so
22 much in treatment. And so, that's really one of

1 the bigger issues we'll talk about as well later
2 this afternoon. We only briefly touched on it
3 yesterday, but these are things we think we can be
4 focusing on more in the future.

5 And I think that's my last slide, and I
6 will turn it over to Kellie.

7 CYNTHIA POWELL: Thank you, Dr. Brosco.
8 I look forward to your presentation this afternoon
9 -- you and the others. Certainly, this is such an
10 important area, as you know, one that I'm very
11 interested in and think and hope we can move
12 forward. So many other countries do a much better
13 job than we do in terms of the longitudinal
14 follow-up tracking. So, thank you.

15 And now, I will turn it over to
16 Dr. Kellie Kelm, who is chair of the Laboratory
17 Standards and Procedures Workgroup and will give
18 us their update.

19 **LABORATORY STANDARDS AND PROCEDURES**

20 **WORKGROUP UPDATE**

21 KELLIE KELM: Good morning and thank you.
22 Yes, we had a fantastic discussion yesterday. It

1 was great to see everybody again. It feels like
2 it's been forever, and I want to thank Susan for
3 also obviously helping out. Next slide, or do I
4 do it? No.

5 So, here are the workgroup members. We
6 had some new members join us, which was fantastic.
7 So, we had Shawn McCandless, committee member, had
8 been hanging out for a few meetings and decided to
9 officially join us. So, we want to welcome Dr.
10 McCandless. And we had two other new members as
11 well. So, it was fantastic to have them and
12 everybody. It was well attended yesterday. Next
13 slide.

14 So, our question, you know, obviously
15 some of them the same as the other groups, and
16 obviously, our targets were more along the
17 perspective of our participants being people
18 involved both in the public health labs and
19 systems.

20 So, what information would be most
21 helpful from newborn screening laboratories
22 related to the review of conditions on the RUSP,

1 and how can we prepare newborn screening labs to
2 collect and report this data? And I also want to
3 say that obviously we had people in our group, not
4 just from the labs, involved in the whole system,
5 and a lot of that is, you know, informed our
6 feedback.

7 And you'll see that some of this actually
8 does overlap with some of the other groups but,
9 you know, our first bullet was, you know, the fact
10 that we should, as we're looking at -- especially
11 if we're looking at conditions already on the
12 RUSP, you know, we should look at how, you know,
13 how well we are screening for each condition. And
14 so, there was discussion about actually having
15 some objective performance metrics, you know, what
16 -- what would we hope we would be achieving in
17 terms of performance metrics when we're screening
18 for these conditions?

19 So, you know, sort of having an idea
20 about expected positive predicted value and
21 negative predicted value and I can't say that this
22 would be the same for every condition, but maybe

1 it should be. States could then look at their own
2 systems and determine whether or not they're
3 meeting the NRP and PPV for that condition.

4 We should also be looking at evaluating
5 and reporting the false positive rate and false
6 negative rate. Obviously, you know, many of the
7 conditions already include a second-tier test, but
8 some of this information, you know, has probably
9 already been used for many programs to determine
10 the use of second-tier tests, but it may also be a
11 great way to look at some of the ones that are
12 already on the RUSP.

13 As defined and stated by some of the, you
14 know, the two preceding groups, you know, we must
15 start with a good case -- a good case definition.
16 You know, you can't calculate what's in the first
17 -- the first bullet without actually stating that
18 this is what we're doing these calculations for.

19 So, then obviously we can look at what
20 each state is screening for and what else that
21 they're finding. You know, I think that, you
22 know, that this does differ by state, obviously,

1 based on what they may also define as, you know,
2 both case and what they're screening for, but
3 important information to consider as you're
4 evaluating your objective performance metrics.

5 You know, we also have to acknowledge
6 that case definition could change over time and
7 has for some of the conditions. So, you know, we
8 would have to figure out some way to sort of, you
9 know, revisit that as we go back and look at
10 conditions on the RUSP.

11 In terms of collecting and reporting
12 data, everybody in the committee pointed to
13 NewSTEPS being available and a place that we can
14 use to collect the data since, you know, data is
15 already being funneled into that -- into that
16 resource. Obviously, the difficulty for everybody
17 always is that there, you know, they are not
18 interoperable with NewSTEPS. Obviously, there is
19 always that data processing and input step that
20 must occur, and obviously, you know, it is sort of
21 a time-limiting step sometimes.

22 And it's funny, we did -- although it's

1 been a while -- I do remember that former
2 committee members, Dr. Rinaldo and Dr. Mettern,
3 had actually presented to the committee -- I
4 forget how many years ago -- they published their
5 own evaluation of mass spec-based screening and
6 had actually suggested some metrics to evaluate
7 screening, which I believe was PPV and false
8 positive rates and detection rates, excuse me.
9 So, it's something that had actually been
10 previously proposed by other members of the
11 committee. So, I just wanted to mention that.
12 Next slide.

13 The second question is should there be
14 more in-depth information regarding cost to labs
15 for adding a new condition to the panel or is
16 there already enough information provided? So,
17 just remember that as part of each condition,
18 there is a Public Health Impact Assessment, you
19 know, there is an information -- survey going out
20 -- more detailed survey to programs that are
21 already screening for that condition to get more
22 detailed information, and a readiness tool in

1 order to try to get information on, you know, will
2 your program need new instruments, employees,
3 reagents, et cetera.

4 You know, I think the feedback I got
5 universally from everybody is that, you know,
6 although some of this information has been
7 helpful, we're still not getting the cost of the
8 overall system, and I didn't put it here, but I
9 think a lot of people have, you know, seen Susan's
10 diagram -- she had it yesterday in her
11 presentation -- that there is still a lot that
12 we're not capturing in our assessment of the cost
13 and that we shouldn't, obviously, just limit it to
14 labs but the whole system.

15 One suggestion, you know, obviously we
16 have -- the survey is limited. You get some
17 information, get some readiness, and then get more
18 detailed information, as I said, from labs already
19 doing it. But, you know, we have labs, you know,
20 and the survey goes across all groups. But you're
21 going to have some labs that are going to be more
22 ready than others and it might be really useful to

1 use more of a what we call bucket approach, you
2 know, with presenting and breaking down the cost
3 to the system by states that are starting at
4 different levels of readiness. You know, and I
5 think back to like when we brought on SCID and the
6 idea about adding molecular testing, you know.
7 Some states were much more ready than others, and
8 it might be really informative to the committee if
9 we actually were able to look at what, you know,
10 the difference might be in terms of time and cost
11 if we sort of, you know, consider that and
12 stratify by different levels of readiness.

13 But I definitely think we're just hoping
14 for -- although we also acknowledge that it's
15 hard, it's not easy, that we need a more, you
16 know, the estimate of the cost needs to involve
17 more than it is right now. Next slide.

18 Are there any other considerations for
19 enhancing either the nomination process or review
20 of conditions on the RUSP? You know, and
21 unfortunately, we didn't have as much time to talk
22 about this one once we got through the other two

1 and have some overall, you know, I think one of
2 the things that we heard was they really thought
3 that both for our committee discussion as well as
4 something that really helps state programs is when
5 we have a better definition of the condition under
6 review for the committee as well as the states
7 going forward, and the example was the SMA
8 definition because it was actually described as
9 what we were looking for.

10 I think the comments that I received and
11 wanted to pass along was that, you know, the
12 information that we've been getting from, you
13 know, as part of the evidence review process has
14 been extensive and very thorough and that the
15 feeling was that it will benefit from those
16 enhancements that Alex talked about yesterday.
17 So, you know, we didn't have much else besides
18 obviously even talking about the cost on the
19 previous page about, you know, information about
20 the evidence review, for example.

21 Something that just kept coming up and I
22 think that, you know, we were talking about -- we

1 wound up getting on the topic about reviewing
2 conditions on the RUSP and the process of
3 potentially, you know, reviewing and removing, you
4 know, because I think that's something that people
5 are interested in that discussion and obviously
6 some of them have also had these discussions in
7 their own programs. And a lot of people thought
8 that, you know, one of the interesting things that
9 they've noticed is confusion about what the RUSP
10 is. Many interpret that the intended screen is
11 for both primary and the secondary targets on the
12 list; however, you know, the RUSP is the list for
13 primary targets and obviously, the secondary
14 targets are ones that are often also screened for
15 in that process.

16 So, there are those that have the RUSP or
17 the requirements that screen for the RUSP in state
18 law that is then interpreted to screen for primary
19 and secondary targets. You know, the discussion
20 was that for some programs, that has been, you
21 know, they have actually been able to talk to
22 their programs about removing some secondary

1 targets from their screening program and SCAD was
2 given as an example and, you know, sort of
3 educating the people in their state and their
4 clinicians and their programs that, you know, they
5 had the flexibility for the secondary targets.

6 So, you know, we had several suggestions,
7 people were very interested in this, and some of
8 the suggestions were education for everyone in the
9 system -- so, this is states and clinicians --
10 about the secondary targets. And one person had
11 some pointed suggestions for the wording and
12 display of the RUSP on the committee website,
13 feeling that it added to the confusion. But this
14 was just interesting discussion about some states
15 already taking on some of their own initiative to
16 review secondary targets and remove them from the
17 state program. So, you know, that came up
18 multiple times.

19 So, I think that that's it for us. As I
20 said, it was -- it was a very fruitful and
21 enjoyable discussion and thank you very much.

22 CYNTHIA POWELL: Thank you, Dr. Kelm.

1 I think one clarification, and this was
2 something that I had asked about, you know, as we
3 talked about reviewing conditions already on the
4 RUSP. The committee would be considering both the
5 primary and secondary targets as we go through
6 this process. So, I think, you know, your
7 workgroup suggestions are very helpful as we think
8 about how things are presented on the website and
9 confusion about that because I think a lot of
10 people do have confusion.

11 **DISCUSSION: WORKGROUP IDEAS**

12 All right. So, we heard some very
13 interesting ideas discussed by the workgroups. I
14 will now open the floor for discussion. Committee
15 members will discuss first followed by
16 organizational representatives. As a reminder,
17 please use the raise hand feature in Zoom when
18 wanting to make comments or ask questions. When
19 speaking, please remember to unmute yourself and
20 state your first and last name each time you ask a
21 question or provide comments to ensure proper
22 recording. Okay, sorry, let me just get my list

1 here so I can see. All right, Jeff Brosco.

2 JEFF BROSCO: Thank you. Jeff Brosco,
3 committee member. So, Kellie, I want to come back
4 to something you said about the cost estimates and
5 how difficult things can be to gather. And I'd
6 like to maybe separate out information that we
7 gather from that nine-month period that allows us
8 to make a decision about adding to the RUSP and
9 information that might come afterwards. And I'm
10 actually going to say something nice about Scott
11 Shone -- don't hold me to this -- but one of the
12 things we did in Florida when we got to that point
13 where we decided, yes, we think, you know, SMA,
14 for example, had been added to the RUSP, it was
15 very useful to get a state-specific estimate of
16 what it would actually cost -- and not just cost
17 in terms of financial, but also how many
18 neurologists do we have and what access do we have
19 to this and that, and what would it really take
20 for the Florida lab to be able to get up to speed.
21 And it was really helpful for things like how much
22 money should the state legislature budget in order

1 to add SMA? So, even things that may or may not
2 be sort of specific to the RUSP and whether it's
3 added or not, a lot of information post-RUSP might
4 be really useful as states are trying to actually
5 implement. Anyway, I said something nice about
6 Scott for a change. There you go.

7 CYNTHIA POWELL: Mei Baker.

8 MEI BAKER: Hi, everybody. Very
9 interesting. I listened to the workgroup reports.
10 I did pick up one recurrent theme as we talk about
11 RUSP conditions and Dr. Powell also emphasized
12 that. I think with everything we need; I think it
13 goes to the fundamental thing, which really is
14 when we nominate a condition -- when we're put it
15 on the RUSP, what's our intention? The condition
16 we intended to screen for and many people, you
17 know, I think every workgroup mentioned what are
18 we screening for and what are we also picking up?
19 I think if we do the review, I think to me,
20 there's a more fundamental thing. I hear so many
21 people have an interest and knowing we have a
22 concern that exists. I am wondering if the chair

1 of the committee can think about having a
2 workgroup, you know, the laboratory standard group
3 and also maybe education group somehow, some way,
4 since magically to review that. I think RUSP has
5 been used for quite a while now and I think it has
6 been benefitting in so many, many ways, and on the
7 other hand, what's the [indiscernible 47:17]? Do
8 we need to more state clearly and educate people
9 really was about? I think [indiscernible] and I
10 do believe like SCID and SMA really gave us the
11 way to think about things, like the marker -- what
12 do markers give to you and leading the condition
13 that you can kind of [indiscernible 47:41] for
14 them.

15 And I think about the tandem mass assay
16 as if you think about the condition, then think
17 about the action sheet. Actually, the action
18 [indiscernible] they did, I mean, they do state
19 other markers. So, I think if we do have some
20 material and the baseline, we can do a little bit
21 more systemic review and sometimes we can update
22 our website how can we define, and I think it will

1 be useful. Thank you.

2 CYNTHIA POWELL: Thank you. Scott Shone.

3 SCOTT SHONE: So, first of all, thanks to
4 Jeff for saying something kind. I appreciate it.
5 But I will say that -- that work with the Florida
6 Advisory Committee, I think it's another example
7 of what I was talking about yesterday on the
8 cranes and the freight train, right? So, to go
9 back to that metaphor is that Florida has an
10 understanding -- has the law about having to
11 onboard conditions but their advisory committee is
12 that final -- final arbiter and wanted a robust
13 evaluation specific to their state taking lessons
14 from the RUSP but also any states that have been
15 implemented.

16 And so, I think that -- so, they hired a
17 group to come in and evaluate their state and
18 provide that overall system impact for Florida.
19 And so, it takes sort of APHL's national look at
20 the PHSI and brings it more local, and I think
21 there's an opportunity and we always say that if
22 you've been to one state newborn screening

1 program, you've been to one state newborn
2 screening program. But I do think that we do in
3 general have buckets. So, there's not fifty
4 solutions. There's not one solution. There's
5 something in between. So, I think there's a lot
6 to gain.

7 Maybe HRSA, we can think about -- the
8 committee can think about working with HRSA on a
9 program to help sort of bucket out, as Kellie was
10 saying, around where states are so there's a
11 better gauge as opposed to the broad whole
12 national view, but based on resources, you know,
13 coming from Jersey reflecting on how resource rich
14 we were with our metabolic geneticist and our
15 endocrinologist and their pulmonologist compared
16 to other states. I think there is a real need to
17 assess that and evaluate that when we're looking
18 at these impacts.

19 And so just [indiscernible 50:08], I
20 think that programs need that that crane to help
21 do that assessment for them as they bring our
22 conditions.

1 CYNTHIA POWELL: Thank you. Robert
2 Ostrander.

3 ROBERT OSTRANDER: Sorry, had to unmute.
4 Robert Ostrander, AAFP, org rep. I've got two
5 comments on the subjects we talked about. One is
6 just to fill out Jeff's amazing brief summary of
7 our work yesterday, when you -- one of the slides
8 said one of the things we should measure are the
9 patient care realm of longitudinal follow-up is
10 the patient getting care in the appropriate
11 setting.

12 One of the things that I think is
13 important at the nomination level is not are they
14 getting care or is there capacity because we're
15 not going to know that, but what does the vision
16 of longitudinal follow-up care look like. It
17 seems -- it's my experience with -- I'm on the
18 advisory committee over the years -- when we look
19 at a condition, we have a pretty good picture of
20 what is going to be done if the condition gets
21 added and implemented for those children in the
22 first few months when the intervention is done and

1 we're starting to get a sense for the conditions
2 for the delayed onset form how a surveillance
3 might happen. But I don't always think we have a
4 very good picture of what the -- again, this is
5 going to be a vision because it won't have evolved
6 because the condition hasn't been around long
7 enough -- but through the pilot studies, there
8 will be some kids that are out there. What is
9 care doing to look like at the 2-year mark and at
10 the 5-year mark, and not so much is the kid
11 getting the care, but at the time of nomination,
12 what do we imagine that might look like? Do we
13 imagine it might look like -- again, you know, my
14 bias always is a combination of medical home and
15 specialty center, but you know, a little more
16 specifics to the condition? So, I would like to
17 see part of the nomination application and not a
18 thumbs up or thumbs down, part of the nomination
19 package to indicate that there's been some thought
20 given into that once we treat these children, what
21 will their care look like 2 or 5 years down the
22 road.

1 And then, the other issue is this, you
2 know, concept that we need to look at harms on the
3 review side when conditions are brought back up
4 because that ratio may change because of all the
5 milder cases that get detected once we do
6 universal screening, et cetera, et cetera.

7 And all I wanted to point out is the
8 suggestion to the committee that as we look at
9 this, that we get some input from USPSTF folks,
10 and I know Alex sat on that for quite a while,
11 Alex Kemper did, because they're -- they've got a
12 system in place and they're pretty expert at
13 looking at their public health recommendations
14 periodically and then doing revisions, and a lot
15 of that has to do with harm/benefit reviews and
16 sort of putting the different harms and different
17 boxes from the economic to the psychosocial to the
18 actual medical harms versus the benefits, and it's
19 something we have struggled about in our workgroup
20 yesterday was there's all these different kinds of
21 harm, and how are we going to do this, and I just
22 want to suggest to the committee if we do decide

1 to do something with this, the committee tap Alex
2 and the USPSTF and learn about their process,
3 because this is something they've been doing for
4 years, and it's very much analogous as a public
5 health recommendation that once it gets done, new
6 information arises. Thank you.

7 CYNTHIA POWELL: Thank you. Very good
8 suggestion.

9 Debra Freedenberg.

10 DEBRA FREEDENBERG: Hi, good morning. I
11 just wanted to expand a little bit on the previous
12 comments in terms of what we think about with
13 follow-up for these conditions. One of the things
14 I just wanted to expand about is that when we talk
15 about milder conditions and think about what the
16 implications are, many of what we call "milder
17 conditions" have a significant impact for that
18 particular child's medical care and morbidity and
19 hopefully not mortality with them, but something
20 we're calling mild really does require a lot of
21 medical intervention, and it requires care as
22 well. And I'd like us to keep in mind that the

1 spectrum of disease that we clinically classify as
2 mild may not be mild for the medical care that
3 family and that child as well, and so, just when
4 we think about the spectrum.

5 And I also do really agree that we need
6 to think -- when we think about long term follow-
7 up, we, you know, talking through the lifespan, we
8 do need to think about a system-wide approach
9 rather than just the newborn screening program
10 approach, and I think that's been really evident
11 in everything that's been presented and said and
12 would like to continue to think about as new
13 conditions are being proposed, we start or in more
14 depth start to think about what the system-wide
15 approach would look like and not just a
16 programmatic approach.

17 CYNTHIA POWELL: Deb, I hate to put you
18 on the spot, but could you give us an example, you
19 know, I'm sure you have some in mind when you're
20 talking about, you know, milder conditions that
21 really have a significant impact on that child's
22 medical care.

1 DEBRA FREEDENBERG: Well, I mean, you
2 take MPS1, you know, some of its mild, some of its
3 not, and some of its requiring significant
4 treatment with that. For CAH, we know there's an
5 ongoing discussion about what nonclassical CAH
6 looks like and the impact and treatment that's
7 needed in childhood, maybe not affecting
8 neonatally, but that continues to be needed. And
9 if just go back to XALD, we consider the mild
10 variants, you know, those that have onset later
11 on, but it impacts their life and their, you know,
12 their quality of life as well as the medical care
13 that's needed if you're going to become -- because
14 of your motor issues become wheelchair-bound or
15 whatever. I mean, those are all significant
16 medical issues, and so, you know, in the
17 diagnostic odysseys that people go through. So,
18 those are just some things off the top of my head.
19 But, you know, as we all know in the metabolic,
20 they're also, you know, we can consider something
21 mild and yet the child can be in the ICU being
22 resuscitated from a metabolic decomposition with

1 something we call "mild" like cobalamine C or
2 something like that, and I know that's a
3 secondary. But, you know, we say it's mild, but
4 it can present and be as life-threatening as some
5 of the other conditions as well.

6 CYNTHIA POWELL: Um-hum, thanks.
7 Annamarie Saarinen.

8 ANNAMARIE SAARINEN: Hi. Thank you,
9 Annamarie Saarinen, committee member. I
10 appreciate what Deb just said. I was kind of
11 leaning into that a little bit in my comments.
12 And again, I have a little bit of a lens with CCHD
13 and knowing that it's the outlier and it just has
14 different considerations. But that said, I think
15 a lot of what -- what you were just saying, Deb,
16 is true for both of the point of care screenings
17 that are non-bloodspot at this point and how we
18 would look at maybe things like that that could
19 come up in the future, right?

20 So, a lot of these kids would require
21 monitoring over time. There's a huge variation
22 between a baby that's going to need to undergo

1 cardiothoracic surgery in the first few days after
2 being picked up by a newborn screening program and
3 a baby that has maybe till falls into the CCHD or
4 the serious congenital heart disease category that
5 just needs to be coming in every two weeks, every
6 four weeks for evaluation and may not need surgery
7 until their 4 months old or 6 months old. Either
8 way, it's still critically important, and it leads
9 into sort of that other piece of what Dr. Kelm was
10 talking about on secondary conditions, and I know
11 we've had this discussion before, and I think Mei
12 Baker has some very articulate perspectives on
13 this. But -- well, actually a lot of people in
14 this group have articulate perspectives on this.
15 But the secondary conditions -- the ongoing sort
16 of lack of clarity of how they fit into our
17 evaluative and our framework for data collection
18 and tracking is -- is still -- it's a little bit
19 of a point of frustration, and I don't know if
20 it's because we -- it's just too hard to tackle
21 that within, you know, one of the -- one of the
22 workgroups to advance what would be a really -- a

1 more formal recommendation of how the committee
2 thinks about that and how it thinks about it not
3 just when we look at the conditions, but after the
4 conditions are already on the panel.

5 But I think we all realize that just the
6 definitions of what those secondary conditions are
7 and how we're categorizing things from false
8 positives to true positives for a non-target
9 condition or a secondary condition is -- to me,
10 it's just so mission-critical to a public health
11 program. If you -- if we don't get this right,
12 how do we report out to the rest of the world,
13 wherever they may be, that we've been successful
14 or moderately successful or a failure because all
15 of those things could be true, under which
16 different folks interpret how to report on what's
17 a positive screen or what's a false positive
18 screen or what's a true positive for a secondary
19 condition.

20 So, thanks for letting me share that and
21 for all the hard work that all three of the
22 workgroups have done here.

1 CYNTHIA POWELL: That's really a key
2 point.

3 Jennifer Kwon.

4 JENNIFER KWON: So, I -- well, I think --
5 I'm always kind of curious when people talk about
6 harms and reviewing programmatic responsibilities
7 and scope, like sort of the specifics they have in
8 mind, because like everyone else, I think I'm sort
9 of driven into making comments based on my
10 specific experience, and I think that that gets
11 difficult. And one of the things it reminds me of
12 is that I wonder what -- I wonder if we could come
13 to some consensus about what the scope of
14 responsibility of the advisory committee's
15 activities would be.

16 So, it was interesting listening to
17 Kellie's talk about sort of the laboratory
18 perspective because, of course, that's where
19 newborn screening starts. That's where we think
20 of the home of the public health enterprise, data
21 collection, and screening, and reporting out the
22 results. And then, it gets into the education

1 phase, and then that's where we know that there
2 are some breakdowns in terms of how people
3 understand the information they're being given,
4 and when I say people, I mean providers and
5 patients and their families in terms of what
6 constitutes a positive screen, how to react to it,
7 how to implement treatment.

8 And then, I think, the Long term Follow-
9 up and Treatment Group has such laudable
10 ambitions, we want to implement early care knowing
11 that many of the programs result in us not really
12 implementing early treatment. The early care
13 doesn't necessarily involve an initial treatment.
14 It involves early initiation of surveillance that
15 can have considerable impact on families, as I
16 think Deb was alluding to -- Debra Freedenberg was
17 alluding to. But not necessarily like necessarily
18 actionable results like, I think for families and
19 providers, it can feel very frustrating getting
20 into this cycle of surveillance.

21 And so, when we review these programs --
22 and I think it would be worthwhile reviewing them

1 -- I think we also need to keep in mind just how
2 messy it gets, and I think that some of what we're
3 looking at in terms of long term follow-up and
4 treatment perhaps needs to really be in the realm
5 of specific specialists and specific groups and
6 advocacy groups. And so, I think for me, it's
7 more just trying to frame how we are going to go
8 about looking at these various disorders and
9 deciding on how effective or how successful we've
10 been.

11 CYNTHIA POWELL: Thank you. Thank you,
12 once again, to all the workgroups for your very
13 valuable feedback, it's much appreciated, and to
14 all of you who participated in this discussion.

15 We'll now take a break since we're a
16 little bit over. Let's say 11:15, we will
17 reconvene eastern standard time -- reconvene at
18 11: 15. Thank you.

19 **BREAK**

20 CYNTHIA POWELL: Welcome back, everyone.
21 If I could just ask the committee members if
22 you've rejoined if you could start your video.

1 That way we won't have to do the full roll call
2 again, but we'll know that you're present. Thank
3 you.

4 For our last session of this meeting, I'm
5 pleased to welcome a panel of four presenters who
6 will discuss innovations in long term follow-up.
7 Dr. Jeffrey Brosco will set the stage in his
8 discussion of long term follow-up as a key
9 component of ensuring the best possible health
10 outcomes for children and families identified
11 through newborn screening. Dr. Brosco completed
12 an M.D. and a Ph.D. in the History of Medicine at
13 the University of Pennsylvania. He served as
14 chief resident after training in pediatrics at the
15 University of Miami, Jackson Memorial Hospital,
16 and he is board-certified in pediatrics and in
17 developmental behavioral pediatrics. Dr. Brosco
18 serves as chair of the Pediatric Bioethics
19 Committee at Jackson Memorial Hospital and
20 associate director of the Mailman's Center for
21 Child Development.

22 Dr. Brosco has had a series of leadership

1 roles in the Florida Department of Health
2 including serving as the deputy secretary for
3 Children's Medical Services from 2016 to 2018. He
4 is now Florida's Title V Children and Youth with
5 Special Health Care Needs Director.

6 Following Dr. Brosco, we'll hear from
7 Carol Johnson, who will provide an overview of
8 APHL's Long term Follow up Taskforces, Long term
9 Follow up Landscape Survey. Carol Johnson has
10 been the Iowa Newborn Screening Follow-up
11 Coordinator since 2011. The program also screens
12 and provides follow-up for three other states:
13 Alaska, North Dakota, and South Dakota. She
14 coordinates the Quad State Initiative and sits on
15 the Newborn Screening Advisory Committees for
16 Alaska and North Dakota. Ms. Johnson has co-
17 chaired the APHL Short term Follow-up Workgroup
18 since it was developed. She is also the co-chair
19 of the APHL Workforce Taskforce Workgroup since
20 its beginning in 2019.

21 We will then hear from Dr. Mary Schroth,
22 who will discuss long term follow-up for

1 individuals identified with spinal muscular
2 atrophy. Dr. Schroth is the chief medical officer
3 at Cure SMA, where she leads the SMA Care Center
4 Network and SMA Clinical Data Registry to collect
5 real-world evidence about care and treatments for
6 people living with SMA. She also advocates for
7 the implementation of SMA newborn screening with
8 state public health labs and officials and health
9 care professionals across the US. Dr. Schroth is
10 Professor Emeritus of Pediatric Pulmonology at the
11 University of Wisconsin, School of Medicine and
12 Public Health, where she provided care to children
13 with neuromuscular disorders for twenty-five
14 years.

15 Finally, we'll hear from Dr. Amy Brower,
16 who is a medical geneticist at the American
17 College of Medical Genetics and Genomics in
18 Bethesda, Maryland, and is the co-principle
19 investigator of the Newborn Screening Research
20 Network.

21 Dr. Brower directs a team that develops
22 informatics platforms, resources, and tools to

1 collect, analyze, visualize, and share
2 longitudinal, clinical, and genomic research data
3 to better understand genetic disease across the
4 lifespan. She has a background in medical
5 genetics, genomics, informatics, FDA submissions,
6 newborn screening, translational research,
7 molecular diagnostics, and bioinformatics. She
8 was a member of the Human Genome Project and
9 International HapMap Project and developed
10 molecular diagnostic and informatics platforms
11 over a decade of work in the device industry.

12 Dr. Brower was an inaugural member of
13 this committee. She is the parent of a son with
14 severe combined immunodeficiency.

15 Next, I will turn it over to Dr. Brosco.

16 **INNOVATIONS IN LONG TERM FOLLOW-UP**

17 JEFF BROSCO: Thank you very much, Dr.
18 Powell. My job for the next fifteen minutes or so
19 is to try to sum up a lot of what we talked about
20 yesterday in the context of nominating new
21 conditions and then a bit about what our workgroup
22 has been working in, which you heard just an hour

1 or so ago. And I'll make a couple of comments on
2 specific items that are really important for us to
3 look at and then set the stage for the rest of the
4 panelists. Next slide, please.

5 So, just a reminder that this, I mean,
6 this topic is such an important one, and we've had
7 a workgroup working on this for well over a
8 decade, and I won't go through all the details of
9 this because you heard me say all of this at 10:15
10 this morning. But just remember that our
11 workgroup is charged with looking at barriers,
12 recommendations, and responsibility, and really,
13 we've been most interested in longitudinal follow-
14 up over the last decade because that's where a lot
15 of the action is. And just a reminder about
16 language -- I'm using follow-up to include
17 treatment and imply treatment, and we're trying to
18 use longitudinal rather than long term because it
19 seems to be more Catholic.

20 And then, you see just those publications
21 that we've been working on in the Treatment and
22 Follow-up Workgroup to make sure that we are

1 following that sort of pattern of setting out what
2 the broad ideas are and then looking more and more
3 closely and getting down to specific quality
4 measures. Next slide, please.

5 And this is the framework I just showed
6 you an hour or so ago. It really lays out neatly
7 all of the things that we need to consider in any
8 kind of network system that looks at longitudinal
9 follow-up. Next slide, please.

10 I do want to emphasize a couple of these
11 points, although you probably heard me say them
12 yesterday morning and this morning that this idea
13 of access to treatment -- I'm going to come back
14 to it in a few minutes, so equity and where those
15 potential barriers are -- is really an essential
16 one and we see this play out both in national and
17 state level as we're trying to decide what to add
18 to the newborn screening programs.

19 We've talked enough, I think, about
20 outcome measures and population-level data, but
21 we'll touch on a few of those as we talk about how
22 we might actually implement that. Next slide,

1 please.

2 So, I just want to dig into this
3 federated system idea a little bit. And again, we
4 start with the premise that look, the United
5 States of America has a very -- what's the right
6 word -- variegated approach to health care
7 systems. In some ways, newborn screening is an
8 anomaly. It's one of the very few things where we
9 try to set national standards and states implement
10 it, and that's really remarkable for medical
11 practice and health care practice. We don't have,
12 you know, we're done Denmark or Sweden where we
13 have national datasets where we can keep track of
14 folks. So, it makes it really hard. So, this
15 idea that the different players could work
16 together and create this federated system so that
17 every child identified with a newborn screening
18 condition gets high-quality, evidence-based,
19 family-centered is kind of our goal.

20 So, there's different ways of thinking
21 about this, and I'm not going to say too much now
22 because in some ways, this is what the rest of the

1 panelists will talk a lot about. So, whether it's
2 the LPDR that NBSTRN has put together, we'll hear
3 more from NewSTEPS program from APHL, Cure SMA
4 obviously, and there are other systems and models
5 out there. NIH has its Rare Disease Clinical
6 Research Network and Region 4, for a long time,
7 has been sort of following up on Errors of
8 Metabolism. So, there are systems out there to
9 work within the newborn screening world that we
10 could tap into.

11 And obviously, the electronic health
12 record and artificial intelligence are moving
13 forward in fits and starts and this is a way that
14 we could create a more interconnected information
15 system that can answer a lot of questions.
16 Obviously, we're not there yet. We're frustrated
17 by a lot of this, but it's possible. With enough
18 financial resources, anything is possible. We are
19 looking at -- our workgroup has talked about
20 certain kinds of federal and state partnerships to
21 make that happen.

22 I'm going to talk more about equity in a

1 second, but I do want to say this idea of defining
2 who is responsible at each stage -- this roadmap
3 idea -- if you could go to the next slide -- just
4 too kind of give you a sense of the players.

5 The first thing to keep in mind is why do
6 we need a federated system -- because there are
7 differing goals, and I mentioned this this
8 morning. Some people are most interested in the
9 research part. We want to know, did something
10 work, is early identification through newborn
11 screening, does it truly lead to improved outcomes
12 or if we just discovered most of these kids
13 clinically, would it have been about the same.
14 What else can we learn from changing the natural
15 history of a condition by newborn screening? So,
16 lots of research questions.

17 The idea of quality improvement and
18 assurance happens at a lot of different levels,
19 and I'll talk about the players in a second. But
20 one just simple question is, you know, did this
21 child -- we identified him -- did he or she get
22 treatment, and what was the outcome, and it could

1 be very simple things like yes, they got treatment
2 and they are still alive at age 5 and they do not
3 require special education at age 10. It could be
4 simple kinds of data points like that.

5 And then the idea of what's the impact of
6 the newborn screening program on a condition, you
7 know, we implemented newborn screening. What kind
8 of impact did it have at a broad level? Can we
9 see that there are decreases in the number of
10 children who have long term effects of newborn
11 screening conditions? And then, the most obvious
12 for most of us is the clinical care. So, how is
13 this particular child doing? Is he or she getting
14 what they need and how -- what's their long term
15 prognosis? Next slide, please.

16 Then, if you think about the different
17 players, there are different groups that have
18 interest in this group of children, and it's
19 helpful, I think, to see the newborn screening
20 population as part of the larger subset of
21 Children and Youth with Special Health Care Needs,
22 which is, of course, part of the larger group of

1 all children.

2 So, if you look at -- there are 4 million
3 children per year born in the United States,
4 there's 80 million children or so -- children with
5 special health care needs constitute about 15 to
6 20 percent of those children -- depending on how
7 you define it -- and that's any child who has
8 greater than usual need for medical, social,
9 educational intervention, and newborn screening
10 conditions are kind of a subset of that and
11 probably around maybe 1 or 2 percent of kids,
12 depending again on how much you include in the
13 newborn screening.

14 And so, different interest groups might
15 be interested in different groups of children.
16 So, Maternal and Child Health Bureau, Medicaid,
17 State Departments of Health, we are worried about
18 all children and we want to make sure every child
19 gets the care that they need.

20 The State Title V Programs, Children with
21 Special Health Care Needs Programs -- we're
22 particularly interested in that second sort of

1 circle of 20 percent of children that have a
2 special health care need. Newborn screening
3 programs are obviously kids who have a diagnosis
4 in the newborn screening program.

5 Clinicians, researchers, family members
6 tend to be focused on that particular child in
7 front of them. Of course, many feel much greater
8 responsibility to the larger population of
9 children with newborn screening conditions. Next
10 slide, please.

11 So, when you put that together, you know,
12 you say well, what's the role of the -- of the
13 advisory committee? What are the things that we
14 would be most important? And I mentioned this
15 earlier this morning, our first [inaudible] from
16 the workgroup's point of view is that we could use
17 the predictions -- those predictive models from
18 the evidence review is one way of thinking about
19 the benefits of the ratio of harms and benefits.
20 I'll come back to equity in a minute.

21 Mei and others have talked a lot about
22 the case definition and the change in how we think

1 about each disease once we start doing newborn
2 screening. There's been a lot of talk in the last
3 day or two about harms. I'll point out that Aaron
4 Goldenberg has a couple of really good papers on
5 harms that sort of lay out some of the details and
6 ways of thinking about that in categories.

7 And this idea of barriers, we want to
8 come back to after we hear from the NewSTEPS folks
9 and from LPDR to see how barriers to treatment
10 might fit into the systems in place that are
11 already there. Next slide, please.

12 All right. So, some of the new stuff
13 now. I mentioned this earlier. If you look at
14 equity in newborn screening, that is one of the
15 true values in terms of diagnosis in newborn
16 screening and a few of us had a paper a couple of
17 years ago that looked at this and Scott Gross was
18 an important part of this. And what we said, for
19 example, if you look at SCID in the years before
20 newborn screening in California, 80 percent of the
21 children who had bone marrow transplant for SCID
22 were white non-Hispanic. In the two to three

1 years after newborn screening started, it
2 completely reversed. So, 80 percent of children
3 who got treated with bone marrow transplant were
4 either Black or Hispanic. And what we had thought
5 was a genetic disease or white non-Hispanic
6 families turned out to be entirely about access to
7 diagnosis, and it's a really good example among
8 many of how once we have true equity in universal
9 newborn screening that we have a real change in
10 how well populations are doing.

11 The problem is that although we've done
12 fairly well on the diagnosis side, we have not
13 done so well on the treatment side. And Alex
14 Kemper and Scott [indiscernible 1:31:40] and I
15 recently wrote about this in talking about the
16 many ways that we do not have equity when it comes
17 to things like getting antibiotics for Sickle Cell
18 Disease, getting treatment for hypothyroid or PKU.

19 In the figure there is one you've
20 probably all seen. Maybe one of the ways to think
21 about it is on the equality side where you see
22 those three square blue boxes at the bottom.

1 That's kind of where newborn screening programs
2 are in terms of diagnosis. We're really good at
3 that sort of every single child getting the
4 diagnosis from newborn screening. On the right
5 side -- the equity side -- it's probably where
6 we'd like to get for treatment, and that is, could
7 we imagine if the boxes multiplied to make sure
8 that every child reaches that apple -- the apple
9 being treatment. Next slide, please.

10 So, I mentioned this this morning that
11 when we talk about equity and access to care, we
12 should probably use this broad definition from the
13 WHO. Just to mention -- I know this is a little
14 blurry and I apologize for that -- I did it last
15 minute -- but availability is the idea that
16 whatever we think is necessary, whether it's a
17 medication, a device, or a treatment, is actually
18 physically available to folks. Affordability is
19 sort of obvious that people can afford it.
20 Accessibility is geography is one of the things,
21 but it also could be about disability.
22 Appropriateness is whether it's scientifically

1 valid and meets a local need. Acceptable to the
2 cultural beliefs. So, you can think about, for
3 example, PKU foods and whether that works for
4 different populations. And quality, is there some
5 that we are keeping track of it.

6 So, we would like to have a pretty broad
7 idea of thinking about access to care. Next
8 slide, please.

9 And then, just in the last 12 hours, I
10 had a really interesting E-mail exchange with
11 Annamaria Saarinen and Scott Gross, and it was
12 important enough that I thought it was worth just
13 quoting at length, and they gave me permission to
14 do so because it's a really neat description.

15 So, this is Annamarie, and she's saying,
16 "Sometimes you can't have everything in place to
17 start," meaning equity. "I think that you used an
18 example," and she's talking about me "about a
19 situation where only half the kids get access to
20 treatment." And just to back up for a second,
21 this was a question about, you know, parents
22 saying well, if only half the kids can get

1 treatment for SMA, that's worth it because those
2 half children will do well. But she said, "The
3 conditions are," you know, "condition specific."
4 And then she said, "Of course, children should
5 have access to treatment for identified
6 conditions. But access cannot mean exactly the
7 same thing for every baby and family. The family
8 from Fargo has to travel to Minneapolis for their
9 child's treatment and follow-up. The specialist
10 has a very different experience than I do. She
11 goes 12 miles from the University of Minnesota.
12 People lose their livelihoods over this thing. My
13 husband and I have thousands out of pocket in
14 costs to stay in hotels and motels during Eve's
15 heart surgeries. That would be completely
16 impossible for many families. I guess what I'm
17 saying is we can only try to level the playing
18 field. It cannot be excused to delay implementing
19 something that can help a portion of babies until
20 the rest of the investments in infrastructure and
21 more equitable access can happen."

22 So, right there, I think, is a really

1 good statement of one position on the equity
2 question, which is we can't wait for a perfect
3 health care system and a perfect world. Sometimes
4 we need to start somewhere and just starting can
5 then spur equity. It's a really, I think,
6 heartfelt and eloquent description of that
7 position. Go to the next slide, please.

8 And then, Scott weighed in and sort of
9 emphasized what Annamarie was saying about the
10 cost of care being a lot of things, not just
11 medical costs, but transportation, lodging, child
12 care, loss of earnings, and he also points out
13 that systematic things that lower SES is
14 associated with these sort of barriers. There are
15 psychosocial barriers, there is lower health
16 literacy, and then he talks about trust in our
17 health care system and communication differences,
18 and there's no doubt that both implicit and
19 explicit bias are rife in our health care system
20 and reduce access to care, depending on
21 race/ethnicity, language, social class, a whole
22 range of things. And he concludes, as I think a

1 lot of would agree, that "Disparities in access
2 are unfortunately the norm in this country." Last
3 couple of slides -- next slide, please.

4 And so, I sort of responded, we can't
5 expect newborn screening programs or researchers
6 or clinicians or families to solve all the
7 problems of the US health care system or issues of
8 racism or inequality. "On the other hand, newborn
9 screening is a public health program. So, I think
10 that implies a greater obligation to meet the
11 treatment needs of infants and children." It's
12 sort of this idea, don't tell me my kid has PKU
13 and that you can't help me with formula and foods.
14 It doesn't really help me if I can't get what I
15 need for my child.

16 So, while we can't expect to solve all
17 the inequity in the US with newborn screening, we
18 probably need to go a bit further than we do now.
19 So, this is just trying to frame in some ways the
20 real issues in the equity question. It's not as
21 simple as it looks, and it does have some impact
22 on us as we're thinking about how to expand

1 newborn screening to new conditions if treatment
2 is really not available to every child. Is it
3 okay to go forward if some kids benefit, meaning
4 some kids won't? Next slide, please.

5 And then, just sort of to conclude and
6 set up for the next group, at least from the
7 workgroup's point of view, we still have the same
8 charge to look at barriers, recommendations, and
9 responsibility and some potential next steps that
10 we can offer to these questions are obviously
11 continuing to work with the advisory committee on
12 the nominating process change that we've been
13 talking about yesterday and we'll keep talking
14 about, and then, really importantly, and this fits
15 in with what the other panelists are going to talk
16 about now is what would that roadmap for a
17 federate system look like? We're not going to
18 have a single electronic health record or data
19 system any time soon, but how could we work
20 together to sort of patch something that works,
21 and that would include thinking about what
22 conditions to include and when, what information

1 is most relevant to the Secretary's Advisory
2 Committee, and who is responsible for adding that
3 information. And then lastly, sort of following
4 up from those quotes from Annamarie and Scott and
5 me, we need to keep discussing this issue about
6 access and equity. It's obviously a critical
7 social issue, and we need to think how this fits
8 into newborn screening policy and practice. Thank
9 you.

10 CYNTHIA POWELL: Thank you, Dr. Brosco.
11 We're going to hold questions and comments until
12 all the speakers have gone. So, we will next hear
13 from Carol Johnson.

14 CAROL JOHNSON: Thank you, Dr. Powell,
15 and thank you to the committee for inviting me to
16 speak with you today.

17 So, today I'm going to talk about the
18 NewSTEPS Long term Follow-up Taskforce and some of
19 the deliverables that we have been able to
20 accomplish so far, and it does fit very well with
21 what we've been taking about already, and thank
22 you, Dr. Brosco, for setting the stage. Next

1 slide, please.

2 So, in May of 2018, back when we could
3 still meet face-to-face, the APHL hosted a
4 National Short Term Follow-up Stakeholder's
5 Meeting for -- to present a forum for follow-up
6 staff to be able to discuss solutions to some of
7 the common issues that we had. During this
8 meeting, the Short term Follow-up Workgroup
9 decided to create five distinct taskforces to
10 address some of the needs in the newborn screening
11 community with the focus on long term follow-up.

12 We did create a Long term Follow-up
13 Taskforce, and we asked them to address the role
14 and scope of newborn screening programs in long
15 term follow-up to assess the effectiveness of long
16 term follow-up and to justify the implementation
17 of long term follow-up with program
18 administration. Next slide, please.

19 The next several slides highlight some of
20 the deliverables that we have been able to
21 accomplish so far. Next slide, please.

22 So, the Long term Follow-up Taskforce

1 began to meet monthly in January of 2019 and after
2 much discussion -- because this is a complicated
3 situation -- we decided to focus on two projects.
4 One was to develop a working definition of long
5 term follow-up and the other was to assess the
6 long term follow-up landscape across newborn
7 screening programs. We used this working
8 definition to guide us to develop the questions
9 and the data elements for a survey that we sent to
10 newborn screening follow-up programs. Next slide,
11 please.

12 We called this the Long term Follow-up
13 Landscape Survey. It was 20 questions long. We
14 welcomed responses from multiple entities per
15 state. We distributed this to 76 distinct
16 contacts and 54 distinct states or territories.
17 This survey included newborn screening staff from
18 hearing, CCHD, and dried blood spot screening
19 programs, and ultimately we received 42 responses
20 for a survey completion rate of 55 percent. Of
21 these, 32 of the responses were complete and 10
22 were partial responses, and we excluded those

1 partial responses from the data analysis. Next
2 slide, please.

3 We chose to highlight some of the
4 questions for this committee today. Here is --
5 one of the questions that we asked was what is the
6 current state of long term follow-up in your
7 state? We had some that said it was fully
8 implanted, partially implemented. Half of those
9 32 surveyed programs stated that they do at least
10 some long term follow-up activities, while you see
11 there is a 41 percent response rate that they have
12 no plans to implement a long term follow-up
13 program at this time. Next slide, please.

14 The next question we ask is how are you
15 funding your long term follow-up activities? We
16 had several responses that they used their newborn
17 screening fee. Some are using grant funding.
18 Some are using state funding. Over 50 of the
19 respondents that said that they're using their
20 newborn screening fee also reported it as their
21 only funding source in higher proportions than
22 those who reported that they use grant or state

1 funding. That was at 38 and 50 percent
2 respectively for the grant and state funding
3 sources. Next slide, please.

4 Then, the next question may be the most
5 important question, which was, what types of long
6 term follow-up activities are being performed?
7 And you can see here that there were multiple
8 answers, and these are all valid long term follow-
9 up activities, of course. But I wanted to draw
10 your attention to the first three responses. When
11 you talk to follow-up personnel, they'll tell you
12 that well, long term follow-up is this or long
13 term follow-up is that because we still really
14 don't have a true formal definition of long term
15 follow-up yet. And so, many say that it's the
16 data collection from the clinical providers,
17 others will say it's facilitating clinical care
18 follow-up, while others say it's connecting
19 individual families to services and support.
20 Again, all of these activities that you see on the
21 grant are important. And, in fact, 42 percent of
22 our respondents stated that they do actually more

1 than 4 distinct long term follow-up activities.

2 Next slide, please.

3 These next two graphs illustrate what
4 percentage of conditions on your newborn screening
5 panel receive services or activities, and you can
6 see there is some differences based on condition,
7 and we're going to talk about that more in a
8 little bit as well. And then, the purple graph
9 shows what percentage of individuals identified
10 through newborn screening have received long term
11 follow-up services or activities, and it's a
12 slightly different response there. Next slide,
13 please.

14 Then, was asked what you are doing with
15 this data that you're collecting, and several
16 responses said that they used this to track the
17 babies that are lost to follow-up, which is
18 actually one of our quality indicators, that they
19 track clinical outcomes of patients, that they
20 assess the needs of individuals and families for
21 services, that they use it to evaluate the
22 performance of their providers, and one stated

1 that they use it to conduct research specifically
2 to look at the cost-benefit analysis of testing.

3 I thought it was interesting that the top
4 three responses here do somewhat correlate with
5 the top three responses of the long term follow-up
6 activities that are being performed. Next slide,
7 please.

8 And then we asked how long do you conduct
9 long term follow-up? As you can see here, there
10 is a wide variation of length of service based on
11 the condition, and we highlighted six different
12 state responses just to really illustrate that
13 point. And even though we've already heard about
14 this is the system and it should be a system for a
15 lifetime, only 25 percent of states are conducting
16 long term follow-up for the lifetime of the
17 individual. Next slide, please.

18 Then, in addition to assessing the
19 current state of long term follow-up activities,
20 we also wanted to be able to identify the
21 challenges and barriers that exist to the
22 implementation of the long term follow-up program.

1 As you can see here many different responses, and
2 it shows that we have some work to do in this
3 area. Standardized recommendations, definitions,
4 and guidelines were selected in over half of the
5 responses, and I should state here that they could
6 pick more than one response.

7 And although this doesn't have a slide,
8 we also asked the people that we surveyed what
9 NewSTEPS could do to provide assistance in
10 developing or helping to maintain a long term
11 follow-up program. Next slide, please.

12 We allowed the individuals that we
13 surveyed to comment and we pulled out five
14 responses that we thought you would find
15 interesting. Many states expressed frustration
16 with the lack of support for implementing or
17 expanding their long term follow-up from program
18 leadership. They are telling us that program
19 leadership just does not consider this a priority.
20 However, those of us in this meeting today and
21 those who work in newborn screening know that long
22 term follow-up is a very critical component of the

1 newborn screening system. And, in fact, this
2 committee back in 2008 stated, "All of the
3 conditions identifiable through newborn screening
4 are chronic and therefore require medical care and
5 intervention throughout the affected individual's
6 lifetime." Next slide, please.

7 So, what is APHL's role in long term
8 follow-up? Next slide, please.

9 Again, I would draw your attention to a
10 quote from Dr. Alex Kemper, et al, also from 2008
11 that basically says, "Newborn screening is
12 intended to be comprehensive, including not only
13 screening and diagnosis but also long term follow-
14 up care through the medical home." So,
15 interesting that Dr. Brosco, you're right,
16 everything has been set for us already, right?

17 In addition to this, the taskforce plans
18 to develop a manuscript on the publication of --
19 for publication on the status of long term follow-
20 up currently to *The International Journal of*
21 *Neonatal Screening* for their special edition for
22 follow-up, and in addition to that, we also hope

1 to develop a position paper identifying a role for
2 APHL and long term follow-up to develop a
3 definition -- remember I told you that there still
4 really isn't a set definition -- to develop that
5 definition for long term follow-up and/or to
6 identify those key components of a long term
7 follow-up program. We're also considering
8 developing a long term follow-up fact sheet for
9 programs to use to demonstrate the importance of
10 long term follow-up to their leadership and also
11 to offer technical assistance to those programs
12 who want to develop and implement a long term
13 follow-up system as well as those who need and
14 want to maintain and enhance their current long
15 term follow-up program. Next slide, please.

16 Then, what is this committee's role in
17 long term follow-up? Historically, this committee
18 has provided insight that has really enhanced and
19 improved state newborn screening programs, and
20 this is an open-ended question to you. We would
21 be happy to hear any feedback and suggestions from
22 this committee. And then, next slide, please.

1 Last but not least, some
2 acknowledgements. You can see here the various
3 participants in our workgroup, which is continuing
4 to work. Big thank you to Jo Ann Bolick from
5 Arkansas and Lani Culley from the Washington State
6 Newborn Screening Program for co-chairing this
7 workgroup. They have done amazing work and they
8 believe in long term follow-up and they have been
9 inspirational to us. And thank you to the APHL
10 newborn screening staff, to those individuals who
11 did the data analytics and the lovely graphics for
12 us, to Erin Darby, who is our lead, she is
13 fantastic. She keeps us organized, she keeps us
14 on task and makes sure that we deliver a quality
15 product. And last but not least, Jelili Ojodu and
16 Sikha Singh for their ongoing and continuous
17 support of newborn screening programs. I don't
18 know that the APHL newborn screening staff realize
19 how much that is important to those of us out here
20 in the state programs.

21 Thank you for your time, and I appreciate
22 the chance to be able to present this information

1 to you today.

2 CYNTHIA POWELL: Thank you, Ms. Johnson,
3 for presenting this information. It's really
4 helpful, and thanks to the APHL for all the work
5 they're doing in this area.

6 Next, we will hear from Dr. Mary Schroth.

7 MARY SCHROTH: Thank you. Can you hear
8 me okay?

9 CYNTHIA POWELL: Yes.

10 MARY SCHROTH: Perfect, thank you. Thank
11 you for this opportunity to present to the group
12 our work at Cure SMA. Next slide.

13 Just to give you a little bit of
14 information about our organizations, Cure SMA is a
15 nonprofit patient advocacy organization and we
16 fund and direct comprehensive research that drives
17 breakthroughs in treatment and care. Our focus,
18 though, is supporting families -- patients and
19 families living with SMA throughout the US. The
20 organization began in 1984 as Families of SMA and
21 then changed our name to Cure SMA, and we have
22 many, many people on the ground. We have 36

1 chapters across the country that are volunteer led
2 and that is our website. Next slide, please.

3 So, I wanted to begin by just providing
4 where we are currently with SMA newborn screening
5 across the US, and I apologize if you have gone
6 through this previously, but I just want to set
7 the stage for what I'm going to talk about.

8 So, as you probably all know, we're at 33
9 states that are screening for SMA, and that
10 includes those that are permanently implemented
11 and those that are conducting pilots. And based
12 on some of the modeling that we've done at Cure
13 SMA, that represents a little over 68 percent of
14 all infants in the US currently being screened.
15 And as you all know, SMA was added to the RUSP in
16 2018. Next slide.

17 As part of our work at Cure SMA, we
18 advocate for implementation. We also support
19 state labs -- we reach out to the state labs to
20 say hey, we're here, we've got materials to share
21 with you. Please use us. Please tell us how we
22 can help. Please tell us how we can work

1 together.

2 One of the things that we do is we also
3 ask states to share with us the number of patients
4 that they screen and the number of positive tests
5 performed or potentially the screening tests that
6 they do. And what we've learned so far, we've had
7 25 states respond to us, and we recognize that 17
8 states were added in 2020, so many of them are
9 just beginning to collect that data.

10 Approximately 2.5 million infants were screened
11 since January of 2018. Among those screened, 173
12 infants were identified through newborn screening,
13 and of those, just a side note, 180 families
14 contacted Cure SMA after diagnosis, and this
15 represents an estimated instance of 1 in 14,000.
16 While we know that the current literature and
17 standard that we all accept is 1 in 11,000, but
18 what we're seeing with this early information with
19 about half of the states reporting is 1 in 14,000,
20 and we could go into reasons for that.

21 And just so people know, we do not reach
22 out to families. Families contact us. We ask our

1 providers, we ask state labs to share information
2 and then families reach out to us as they are
3 ready to do that. Next slide.

4 SMA, as you all know, has changed
5 dramatically over the last 10 years with clinical
6 trials, of the new treatments, and in particular,
7 trials that involved presymptomatic infants.
8 Newborn screening for SMA is essential to
9 achieving best outcomes for this truly devastating
10 disorder.

11 In this slide with you, outcomes from the
12 Nusinersen presymptomatic clinical trial that
13 demonstrate that children with two copies of SMN2
14 can achieve motor milestones, not previously
15 possible without treatment, and children with
16 three copies are able to achieve all motor
17 milestones.

18 In comparison, when we're treating
19 infants who have already developed symptoms, we
20 see increases, we see stabilization, we see
21 slowing of disease progression, but they may never
22 achieve the sort of milestones that we see when

1 we're treating presymptomatic infants. Next
2 slide.

3 This slide shares with you the
4 presymptomatic clinical trial outcomes using
5 Zolgensma, the gene replacement therapy, and in
6 looking at this group, when we look at the two-
7 copy babies who received Zolgensma pre
8 symptomatically, half achieved age-appropriate
9 gross motor milestones and all achieved age-
10 appropriate fine motor milestones. When we looked
11 at the three-copy babies, all achieved age-
12 appropriate gross motor milestones and 14 of 15
13 achieved age-appropriate fine motor performance.

14 In the clinical trials for Zolgensma,
15 there was not -- it was an open-label trial, there
16 was not a control group. We used natural history
17 data that was available and also information that
18 was based off the Nusinersen trials. Next slide.

19 So, this is a slide just showing you some
20 of the characteristics of the three treatment that
21 are approved for SMA. As a long-time clinician, I
22 am just blown away every day when I think about

1 how far we have come versus where we were prior to
2 2016. So, we're in a phenomenal place for this
3 disorder.

4 For newborns, there are two treatment
5 options: Spinraza and Zolgensma. Evrysdi is
6 approved for infants over 2 months of age. And
7 I'll just let you look through this
8 characteristics. There are a variety of reasons
9 why an infant may go on one treatment over
10 another, and part of our long term follow-up goals
11 is to better understand this disease and how
12 treatments will impact the disorder. Next slide.

13 So, in response to the rapid change of
14 spinal muscular atrophy, it's so critically
15 important to gather data about SMA populations
16 that I know I am totally preaching to the choir
17 here. And Cure SMA is committed to gathering
18 real-world data, and we have three pathways right
19 now to collect data. I'm going to talk to you
20 more about two out of the three with subsequent
21 slides.

22 The SMA Newborn Screening Registry is a

1 registry available to families. Families can go
2 in to our website for the registry, provide
3 consent, and then answer survey questions about
4 their child including, you know, when did the
5 child received their confirmatory diagnosis, when
6 did they start treatment, what treatments, did
7 they have any symptoms at the time of treatment,
8 and this is designed as a longitudinal registry so
9 that families will be invited back to answer
10 additional questions every year.

11 The second pathway is our Cure SMA
12 membership database, and this is information that
13 families provide to us when they call and they
14 talk to us. We collect some information about
15 patients. We also send out an annual survey and
16 make available an annual survey that's open for
17 about six weeks where families -- patients and
18 families and caregivers are invited to answer
19 questions about their experience with SMA. We
20 also use this database to recruit for clinical
21 trials and surveys and also gather that
22 information to better understand the experience of

1 SMA over time and we share that with regulatory
2 officials. We really try to use it to advance
3 care and opportunities for the SMA community.

4 The third registry is our Clinical Data
5 Registry, and this is a registry that we started
6 approximately three years ago. We have an SMA
7 Care Center Network that is growing. We currently
8 have 19 centers that are affiliated with our Care
9 Center Network for SMA, and those centers consent
10 patients and clinically collect information that
11 they document within the electronic medical is
12 sent over to our clinical data registry. I know,
13 Dr. Brosco, you talked about the EHR and the
14 challenges because we don't have a universal EHR
15 or EMR, and we totally agree with you. But we're
16 really trying to understand and maximize moving
17 data from electronic medical records where, in my
18 opinion as a clinician, that's where patient data
19 belongs, and move it over to our registry in a
20 reasonable way that we can analyze and mine that
21 data. Next slide.

22 This is some information about our --

1 from our membership database. So, this is
2 patient-provided information, and the first
3 graphic is just new contacts diagnosed via newborn
4 screening. So, this isn't all of our new
5 contacts, but it's just specific to the newborn
6 screening over time. So, it's divided by months
7 and years and we've seen a gradual increase in the
8 newborn screening, babies who have contacted us,
9 which we expect as part of just the growth in the
10 states that are implementing.

11 But one of the things I just wanted to
12 point out to folks is that what we -- during the
13 early months of COVID, we had fewer infants
14 diagnosed both clinically and through newborn
15 screening, and we saw a lull in those diagnoses
16 and patients coming to us. So, we also saw in our
17 data that reflection of what we interpreted as
18 being just decreased in-person visits and
19 evaluations of infants under the age of 2. So,
20 our perception is that there may be a delayed
21 diagnosis for infants with SMA who have clinical
22 symptoms.

1 In the -- on the right is the breakdown
2 of SMN2 copy number and approximately 50 percent
3 have 2 copies with a small percent having 1 copy,
4 32 percent with 3 copies, and 17 percent with 4
5 copies, and then some -- and it's just when
6 positive newborn screens are sent to commercial
7 labs for confirmatory testing, typically the
8 highest number of SMN copy number is 4 or more.
9 Some labs may -- as a shoutout to Wisconsin who is
10 able to do -- distinguish between 4 and 5, but
11 most of the commercial labs are not. So, we have
12 this grouping of greater than 4. Next slide.

13 So, again, we have the Cure SMA Newborn
14 Screening Portal Survey. So, this is -- we invite
15 families whose child was identified with SMA
16 through newborn screening, and the parent provides
17 consent. They can complete the survey or they can
18 advocate the responsibility to have their health
19 care provider complete the survey, and that is the
20 link to that. We receive support through our Cure
21 SMA Newborn Screening Coalition, which is Cure
22 SMA, Novartis, and Genentech. Next slide.

1 Some of the data that we've collected
2 thus far with 25 infants, what we have are 10
3 percent have 1 copy of these 29 infants, and we
4 appreciate that this is a very small number of
5 infants. Two copies, we have 42 percent of the
6 infants have 2 copies, 31 percent have 3 copies,
7 and 17 percent have 4 or more.

8 And then, we also provided you with
9 information about treatment status. So, the
10 majority of the infants receive treatment. Now,
11 there is a caveat that some infants were -- some
12 families completed the survey prior to being able
13 to receive treatment, but I would say that 90
14 percent were past that window. So, there are some
15 families who declined treatment for their infant.
16 Next slide.

17 This slide shows you information about
18 age at diagnosis divided by copy number and also
19 age at treatment, not timed treatment, but age --
20 how old was the child at the time they received
21 the first treatment, regardless of what the
22 treatment was. And the range for age of diagnosis

1 was 0-22 days with a mean of 6 and a median of --
2 I'm sorry -- it was a median of 6, and you can,
3 again, these are small numbers. And then for age
4 of treatment, the greatest of spread was at 4 more
5 copies where we had a child who was a year and a
6 half before they received treatment.

7 So, we're continuing to collect this
8 data. We really -- we want to understand better
9 the processes that go on. Just know that in
10 comparison, symptomatic infants typically, from
11 the time of symptom onset until they were
12 diagnosed, for two-copy babies was months,
13 typically three months. So, newborn screening is
14 just so dramatically changing this experience as
15 well as this disease. Next slide.

16 So, our future plans are to evaluate the
17 SMA newborn screening outcomes across the real
18 world evidence data searches -- all of our data
19 searches that we're collecting. Things that we're
20 very interested in time to diagnosis and how can
21 we improve that, and I think that goes back to
22 looking at the clinical care delivery and what

1 happens when the referral happens to a referral
2 center, time to treatment, symptom spectrum, which
3 we know is changing, and then also understanding
4 SMA phenotype.

5 We historically have talked about SMA by
6 types, and that is transitioning to talking about
7 infants regarding their SMN2 copy number and
8 maximum motor function achieved because many of
9 these children being treated pre-symptomatically
10 cannot be defined as a type in any sort of fair
11 way. So, we've actually added a category called
12 unspecified when we're talking to our clinicians
13 because our older -- our teens and adolescents
14 pre-treatment group identify -- have an identity
15 as an SMA type, but our new infants who are
16 getting treated pre-symptomatically are being
17 thought of in a different way. And our community
18 is in a transition in how we think about SMA as a
19 disease. Next slide.

20 Thank you so much for allowing me to
21 share with you our experience and our hopes and in
22 some sense our dreams and thank you all for the

1 work that you're doing. And I greatly appreciate
2 the presentations that I've heard today. Thank
3 you.

4 CYNTHIA POWELL: Thank you very much for
5 sharing this information and to Cure SMA for
6 collecting all of this data.

7 Next, we're going to hear from Dr. Amy
8 Brower.

9 AMY BROWER: Mia, are you -- gotcha. Hi,
10 everybody. Thank you for the opportunity to
11 contribute to this important panel and present an
12 overview of NBSTRN efforts to facilitate the
13 collection, analysis, and sharing of longitudinal
14 data. I'll begin today with a brief overview of
15 the NBSTRN followed by a description of our
16 experiences supporting long term follow-up efforts
17 and highlight new tools and resources. Next
18 slide.

19 The Eunice Kennedy Shriver National
20 Institute of Child Health and Human Development,
21 Hunter Kelly Newborn Screening Research Program
22 was created to support investigations and

1 innovations in newborn screening. Recent efforts
2 have explored the use of genomics in the neonatal
3 period, conducted prospective pilots of conditions
4 that are candidates for nationwide screening to
5 evaluate clinical benefit, and the development of
6 novel screening technologies for candidate
7 conditions. Next slide.

8 The American College of Medical Genetics
9 and Genomics plays a key role in these
10 groundbreaking efforts by leading the NICHD's
11 funded NBSTRN or Newborn Screening Translational
12 Research Network, which is a key component of the
13 Hunter Kelly Newborn Screening Research Program.
14 We began our efforts in 2008 as an effort to
15 engage a variety of stakeholders across the
16 newborn screening system. NBSTRN has now matured
17 into a dynamic and committed network comprised of
18 researchers, health care professionals, state
19 newborn screening programs, families, and advocacy
20 groups. The NBSTRN team at ACMG is beginning it's
21 thirteenth year with a renewed mission to
22 facilitate the discovery and validation of novel

1 technologies to screen and diagnose disease, pilot
2 new technologies and treatments, describe the
3 ethical, legal, and social implications of newborn
4 screening research, and collect longitudinal
5 health and genomic data. Next slide.

6 Newborn screening in the United States is
7 a multicomponent, multi-stakeholder system of
8 prenatal education, hospital and state-based
9 public health laboratory screening, clinician, and
10 state-based laboratory confirmation and diagnosis,
11 clinical treatment and management, and health
12 outcome analysis. The NBSTRN data tools,
13 resources, and expertise are designed to
14 facilitate the efforts of all stakeholders and
15 leverages each component of the newborn screening
16 system to advance research. A steering committee
17 and six expert workers guide our efforts and we
18 welcome your involvement. Next slide.

19 The neonatal screening of 4 million
20 newborns each year in the United States leads to
21 the diagnosis of over 20,000 infants with a
22 genetic condition that requires referral to

1 clinical care and in most cases, lifelong
2 management. This unselected cohort of newborns
3 reflects the racial, geographic, economic, and
4 education diversity of our nation. This may, in
5 fact, be the perfect cohort to help advance
6 disease understanding because although every
7 newborn receives essentially the same screen,
8 other factors vary including treatment choice and
9 the course of disease. In addition, many of the
10 screening conditions have comorbidities including
11 intellectual disability, and these children could
12 receive a variety of interventions that could be
13 tracked and analyzed to identify critical periods
14 of development and intervention.

15 Because the newborn screening system in
16 the United States so successfully and effectively
17 screens over 99 percent of the newborns, it has
18 the potential to provide a unique platform for
19 understanding rare disease and lifelong outcomes.
20 In fact, the process of neonatal screening
21 followed by a coordinated transition to clinical
22 care facilitates the collection of health

1 information beginning just hours after birth. And
2 because the majority of newborn screening
3 conditions require lifelong care and management,
4 we have the opportunity to conduct prospective,
5 longitudinal, natural history studies on a
6 population basis with unbiased assessment

7 Over the last decade, NBSTRN has been
8 involved in developing a data tool to support
9 several landmark natural history studies and
10 pilots that have contributed to a better
11 understanding of the etiology, pathophysiology,
12 and phenotypic heterogeneity of newborn screening
13 conditions and began to provide an assessment of
14 health outcomes for these conditions. Next slide.

15 We developed the Longitudinal Pediatric
16 Data Resource to establish a common data model and
17 provide a secure environment for researchers to
18 collect, aggregate, analyze, and share phenotypic
19 and genomic data in question and answer sets or
20 commonly called common data elements, that were
21 developed by subject matter experts. The
22 committee's publications and Follow-up and

1 Treatment Subcommittee have guided these efforts
2 including a focus on the key component of long
3 term follow-up and the Hinton Framework. The
4 collection and analysis of long term follow-up
5 data from newborns diagnosed with the condition
6 through newborn screening is important to ensure
7 that we achieve the best possible health outcomes
8 for these infants and the LPDR enables parents,
9 health professional, researchers, public health
10 teams, and advocacy groups to advance knowledge
11 and contribute to this important goal. Since its
12 launch in 2013, the LPDR has been utilized by
13 several research teams and state newborn screening
14 programs conducting longitudinal data collection
15 of both RUSP and candidate condition, efforts that
16 explore the use of genomic sequencing in the
17 newborn period, and groups that were conducting
18 pilots of candidate conditions. Next slide.

19 The LPDR is housed within a FISMA
20 Moderate cloud environment and is available for
21 use by all NBSTRN stakeholders. Key objectives of
22 the LPDR are the sharing of findings and secondary

1 use of simulated data. The LPDR facilitates this
2 data sharing and data standardization with third-
3 party databases including the NIH's National
4 Center for Biotechnology Information or NCBI,
5 Database of Genotypes and Phenotypes, known as
6 DBGAP, and the National Library of Medicine's NIH
7 COVID Repository.

8 The LPDR also provides access to data
9 dictionaries from studies that can be used to
10 create electronic data entry forms and also
11 features case-level datasets that are deidentified
12 and available for data mining.

13 The secondary use of the accrued LPDR
14 data may help to establish the efficacy of new
15 treatments and management approaches, inform the
16 community about the value of early identification
17 and treatment for newborn screening, and identify
18 areas for improvement in disease management
19 throughout the lifespan. Next slide.

20 From coast to coast, over 100
21 researchers, newborn screening state programs, and
22 advocacy groups have used the LPDR in over 30

1 basic translational public health and clinical
2 research projects. The LPDR is designed to share
3 these teams' new findings and foster the secondary
4 use of these original datasets. Our newly
5 launched website enables investigators to explore
6 unique datasets, collaborate with leading
7 investigators, and design studies using validated
8 common data elements.

9 In newborn screening, the use and
10 development of common data elements is focused on
11 facilitating data collecting, sharing,
12 aggregation, analysis, and dissemination. The
13 ability to combine datasets is especially
14 important in newborn screening because the
15 majority of conditions are rare and accumulating
16 enough subjects to have statistical power is often
17 a barrier to understanding health outcomes and the
18 benefits of early identification and treatment.

19 The NBSTRN website has data displays that
20 describe the types of data and populations that
21 are available for secondary use and our data
22 government and data sharing policies provide

1 qualified users active disease datasets. Next
2 slide.

3 The LPDR has been utilized in a variety
4 of efforts including a 10-year effort to collect,
5 analyze, and disseminate health information on
6 individuals with one of 42 different RUSP
7 conditions collected in 30 clinical sites located
8 in 22 states. It's also been used in multi-state
9 pilots of four conditions that collectively
10 screened over 1.2 million births. The LPDR has
11 been used in genomic sequencing of four cohorts of
12 newborns including infants in a neonatal intensive
13 care unit and also was used in studies that are
14 beginning to expand the diagnostic window of
15 newborn screening both beyond and before the
16 neonatal period.

17 The LPDR also has been used recently by
18 patient registries, so we're helping groups and
19 advocacy groups that have developed patient
20 registries to consolidate them into a single data
21 dictionary that will support future expansions of
22 the newborn screening panels.

1 To develop the CDE sets, we recruit
2 subject matter experts who care for newborn
3 screen-identified individuals. These subject
4 matter experts make up our clinical integration
5 group, which includes mostly [indiscernible
6 2:17:42] and plays a key role in defining the type
7 of information that would be useful to collect.

8 As the datasets contained in the LPDR
9 grow, we hope that health care teams can utilize
10 this information to inform their clinical
11 decisions. The NBSTRN Clinical Integration Group
12 has generated CDE sets containing over 24,000 data
13 elements across 75 conditions. The CDEs have been
14 used to develop electronic case review report
15 forms and have been utilized in a variety of
16 research projects, resulting in case-level data
17 for over 8,000 subjects with an average of four
18 data collection time points per subject. Next
19 slide.

20 As the data accumulates, it becomes more
21 useful. An example of this is our recent work
22 with the Inborn Errors of Metabolism Consortia.

1 The initial IBEMC effort enrolled over 2,000
2 subjects across 42 RUSP conditions, utilized an
3 average of 7,000 CDEs per condition, and collected
4 longitudinal follow-up or data collections. These
5 LPDR datasets contain a lot of questions but
6 importantly, they contain what expert clinicians
7 thought were disease-specific questions to ask
8 longitudinally. So, we think it's sort of a
9 starter set for what could be asked
10 longitudinally. Next slide.

11 So, the IBEMC effort informed a related
12 effort with the National Coordinating Center for
13 the Regional Genetic Network, which worked with
14 state newborn screening programs and public health
15 departments to think about instead of asking many,
16 many questions to really learn more about diseases
17 and outcomes, what could we empower state programs
18 to do?

19 So, we met in 2013 and brought together
20 many clinical experts and state programs and
21 looked through these many, many question and
22 answer sets, and we actually came up with a

1 consensus set of four minimum questions that could
2 be used by newborn screening programs to conduct
3 long term follow-up data collections. Next slide.

4 The NBSTRN recently launched a new
5 website designed to expand our tools and
6 resources. This improved website furthers the
7 NBSTRN's mission to foster collaboration among
8 newborn screening stakeholders and facilitate
9 research. In addition to the case-level datasets
10 and expanded CDE sets in the LPDR, two new tools
11 were developed that provide key information and
12 specifics and foster collaboration across
13 stakeholder groups. Next slide.

14 Currently, newborns in the United States
15 are screened for 81 disorders, 61 RUSP conditions
16 -- including 61 RUSP conditions. In addition, the
17 screened conditions, there are thousands of rare
18 disorders that may be candidates for newborn
19 screening. This tool, the Newborn Screening
20 Conditions Resource, provides a centralized
21 resource of facts and statistics on both screened
22 and candidate conditions. This tool is designed

1 to be an interactive resource for researchers,
2 clinicians, parents, families, and advocacy groups
3 to learn more about these disorders, and it
4 provides links to the National Library of Medicine
5 and NCBI resources. NCBI importantly provides
6 access to biomedical and genomic information and
7 maintains MedGen and CBI's portal to information
8 about human disorders and genotypes that have a
9 genetic component. Using a filter tool -- filter
10 module within this tool, you can sort conditions
11 by nomination and ACHDNC category. Next slide.

12 Because newborn screening programs play a
13 critical role in expanding the number of screen
14 conditions, by participating in research and
15 research pilots and by providing access to
16 residual samples and data, the NBSTRN created a
17 new tool called the NBS Virtual Repository of
18 States, Subjects, and Samples. This NBS-VR
19 provides national and state-level views of
20 policies and procedures of interest to
21 researchers, clinicians, families, and advocacy
22 groups. The NBS-VR gives users insight into the

1 number of conditions screened in each state or
2 territory, the number of expected cases, and the
3 incidence rate of conditions that are currently
4 part of nationwide screening or conditions that
5 are candidates for pilots. This tool also details
6 the number of births per year and the distribution
7 of race and ethnicity by state. Eight percent of
8 newborn screening programs retain samples longer
9 than one year and the NBS-VR helps researchers
10 request these samples for their studies.

11 Twenty-three newborn screening programs
12 screen for conditions that are not currently part
13 of the Recommended Uniform Panel and this tool
14 enables providers, families, and advocates with a
15 link to additional information on these
16 conditions. In addition, this tool provides easy
17 to navigate visual summaries of key statistics and
18 enables clinicians to connect with their local
19 newborn screening program. Next slide.

20 The NBSTRN team has worked with the
21 National Library of Medicine, who is creating a
22 repository of CDEs to facilitate data sharing.

1 The NIH CDE Repository currently catalogs over
2 26,000 elements across 16 classifications with
3 multiple NIH institutes and efforts being
4 represented. The NBSTRN work is represented
5 within the NICHD module. This repository is
6 designed to allow researchers to build data
7 collection instruments from shared CDEs and also
8 to contribute generated data elements.

9 To foster the use of these standardized
10 CDEs, NBSTRN deposited question and answer sets
11 within the NICHD module and these are now
12 available for use by the research community. Next
13 slide.

14 The ACMG team is committed to enhancing
15 the NBSTRN data tools and resources to support the
16 multiple stakeholder groups and environments
17 within newborn screening and to help accelerate
18 discoveries. We look forward to working with the
19 committee on future initiatives and are happy to
20 provide any additional information that would be
21 helpful. Next slide.

22 Thank you to the NICHD for funding,

1 supporting, and guiding the development,
2 maintenance, and enhancement of the NBSTRN. Thank
3 you everybody.

4 CYNTHIA POWELL: Thank you, Dr. Brower,
5 and thank you to all of our panelists for your
6 excellent presentations today.

7 Now, I'd like to open it up for questions
8 or comments from our committee members followed by
9 organizational representatives. Scott Shone.

10 SCOTT SHONE: Thank you, Dr. Powell. So,
11 Jeff, I have a quick question about -- thank you
12 everybody, let me start with that. Jeff, I have a
13 quick question about your comment on equity. It
14 really jumped out at me and maybe it's for
15 Annamarie, because I want to make sure it got it -
16 - I understand it because my comment will depend
17 on if I followed it right. It seemed as though
18 there was a suggestion that we can't solve all the
19 equity issues, so we should just move ahead with
20 whatever it is, in this case newborn screening
21 disorder, and that equity should or does follow.
22 Did I -- can you just clarify that for me and make

1 sure I got that right before I --

2 JEFF BROSCO: So, let me say a couple --
3 is it okay if I speak, Cynthia?

4 CYNTHIA POWELL: Yes, please. Go ahead.

5 JEFF BROSCO: Jeff Brosco, committee
6 member. So, let me try to say it more clearly and
7 then maybe Annamarie can jump in. You can imagine
8 sort of two extremes. One is yes, we have newborn
9 screening, but the treatment is either so
10 expensive or so rare and so impossible to find
11 that why bother for newborn screening because the
12 treatment is there but nobody can get it. And at
13 the other extreme, it might be that yes, we have a
14 perfect system that works great and every single
15 child who is identified immediately is available
16 for treatment, follow-up clinical and otherwise.
17 So, the question really is somewhere in the
18 middle, what happens? So, if we said yeah, 80
19 percent of kids who get identified can get
20 treatment, we'd probably be comfortable with that.
21 But as it starts moving further and further away,
22 it's harder, and I'm remember specific

1 conversations, less at the national level and more
2 at the state level, where families would basically
3 say, and advocates and clinicians, yes, I know we
4 can't get this to everyone in the state right
5 away, there aren't enough commissions, insurance
6 isn't paying for it, whatever the issues may be,
7 but we can help these children right away, so why
8 wait. So, that's sort of one argument.

9 The argument from equity is well, that's
10 simply not fair and we need to make sure that if
11 we're going to do a public health program where
12 we're identifying theory and every infant has a
13 commission, we have some responsibility, either as
14 a state or as a society, to get treatment in
15 place. And I think Annamarie can speak for
16 herself, but she made a really eloquent argument
17 for that first side, which is if we can help 40 or
18 50 or 60 percent of kids, we should do that right
19 away and that can spur reaching out to the kids
20 who aren't reached right away. Maybe she should
21 say what she's saying better than I can.

22 CYNTHIA POWELL: Annamarie Saarinen.

1 ANNAMARIE SAARINEN: Thank you.
2 Annamarie Saarinen, committee member. I don't
3 know that I can say it better than that, but I'll
4 try. What I was trying to get at -- well, there's
5 two different pieces. One is sort of how we --
6 how these numbers and the information we know
7 today impacts how we're evaluating and moving
8 things through the nomination and evidence review
9 process. So, it's hard for me to separate the two
10 because for that one, I think all of these
11 considerations come up, you know. For me, as
12 someone who is trying to look at all the evidence
13 and the data because, I think I've mentioned
14 before, I'm sort of an advocate for thinking about
15 how provisional acceptance of conditions on the
16 front end, right, just even at the early -- from
17 moving from nomination eval into evidence review,
18 that that is a pathway we can and should be
19 considering because sometimes that is the only way
20 to get us from point a to point b. So, without
21 provisional acceptance or provisional addition,
22 that patient population will struggle to meet all

1 the criteria or to come up with the evidence
2 required to checkmark that box. So, I'm just
3 going to say that on the front end of things.

4 But what I was trying to get at on the
5 other side was that the suggestion that we should
6 wait to add something that has -- ticks off all of
7 the baseline evidentiary requirements because we
8 know we might have an access to treatment issue or
9 what we would call a gold start equity mark, to me
10 feels substantively wrong because then, if you
11 just from an ethics standpoint, you would be
12 talking about denying access to those who can, in
13 the present paradigm, get access, right?

14 So, we're saying -- so, if there's 50
15 percent of kids, we'll say like yeah, but you
16 shouldn't be able to get an early diagnosis and
17 access to care because we have 50 percent that are
18 going to be challenged to get that now. And so,
19 where, you know, how does that play out from a --
20 just from an ethics standpoint, right?

21 So, my experience in newborn screening
22 for congenital heart disease, particularly in

1 resource-poor settings now -- and I hope I can
2 just bring that in as an example -- is that the
3 data is the evidence that's often required, and it
4 can drive the advocates and the policy-makers to
5 make the improvements that are required. But if
6 we don't sort of move forward based on what we
7 have -- again, like letting the perfect be the
8 enemy of good -- that's -- I think that's a real
9 challenge that we have. We're basically denying -
10 - denying the opportunity for a better outcome of
11 survival for a subset because we haven't reached
12 that perfection on the equity side yet. And I
13 really have seen this happen in practice in, you
14 know, very resource-challenged settings where once
15 you provide public health the information on
16 startup programs, that they start getting the
17 data, that they actually will make investments in
18 treatment infrastructure. And, I mean, the
19 optimist in me says that that actually works and
20 that can continue to happen even in someplace like
21 the United States that has more.

22 While I have the mic, I'll just be really

1 quick to thank Amy Brower. That was an excellent
2 presentation, and it really like reminded me how
3 important the NBSTRN is to the work of this
4 committee, and I almost feel like we should have -
5 - again, this is a suggestion, take it for what
6 it's worth -- I almost feel like we should have a
7 placeholder in every meeting to do a like micro
8 report from NBSTRN because this data you provide
9 is so useful and it can trigger so many actionable
10 things, not just for the primary committee, but
11 for the workgroups. So, I want to thank you for
12 that, Amy, and thanks for all the good work.

13 AMY BROWER: Yeah, thank you. We'd be
14 happy to do that.

15 CYNTHIA POWELL: Mei Baker.

16 MEI BAKER: Hi. My question is for Dr.
17 Schroth. So, you mentioned that you have three
18 pathways to collect the data for the registration.
19 I'm just wondering, did you think sometimes that
20 could be overlap? I mean, do you have a structure
21 in place to be sure the children were not coming
22 twice?

1 MARY SCHROTH: Yes. So, the Newborn
2 Screening Registry overlaps with the Clinical Data
3 Registry. So, the Newborn Screening Registry is
4 patient-reported outcomes, but it does overlap
5 with the clinician-entered data. Separately, we
6 have our membership database. We are able to
7 cross-match all of our databases together because
8 they're all owned by Cure SMA. So, yes, when we
9 do reporting, and we're starting those analyses
10 now looking at what data we have across our
11 registries, but our intent with our registries is
12 to not double count patients. So, within our
13 registry, we have -- well, within the Clinical
14 Data Registry, we have PHI, and then we have PII
15 in our membership database as well as in the
16 registry. So, we have that ability. But our plan
17 --

18 MEI BAKER: Thank you.

19 MARY SCHROTH: -- is to not share that
20 personal identifying information externally.

21 MEI BAKER: Yeah. Thank you. I thought
22 you would. I just wanted to confirm.

1 MARY SCHROTH: Thank you, Mei.

2 CYNTHIA POWELL: Scott Shone, did you
3 have another question?

4 SCOTT SHONE: Thank you, Dr. Powell.
5 Yeah, I didn't -- thanks to Jeff and Annamarie for
6 clarifying. My -- my -- so, I guess my comment --
7 I have more of a comment than a question, which is
8 that I think that the comment of letting the
9 perfect be the enemy of the good, it seems like we
10 have good. I think we still have, you know,
11 reflecting on the disorders that we have in our --
12 I just feel that in our health care public health
13 system, we -- we achieve something and we move on,
14 like we're already looking to the next disorders
15 and the next disorders. So, I think it requires -
16 - it is more -- more important that we have to
17 look back at what we've done and add the equity
18 piece to that assessment, right? And we talked
19 about it, but I think Jeff's comment in the
20 preamble to here requires that because the same
21 groups continually get left behind, whether it's
22 newborn screening or any other public health

1 problem that we are seeing, and it's so
2 exacerbated by this pandemic.

3 So, again, not that newborn screening
4 saves all, but we have an obligation to look at
5 this in what we've already done that we still have
6 immunology deserts dealing with SCID ten to
7 fifteen years out. We have treatments that are
8 more costly and more costly. We're only -- we're
9 creating the gap between equity and fairness and
10 good and perfect even broader. So, we absolutely
11 have to bear this in mind. That's -- that's my
12 comment is that we -- and I'm not suggesting we're
13 not -- but I do -- I don't necessarily agree with
14 the if we build it, equity will come.

15 ANNAMARIE SAARINEN: I know there's an
16 order here, so I'll just reply to that since I
17 wanted to ask Scott, but I couldn't find the chat
18 place to say did I send my answer to the question.
19 So, I 100 percent agree with you on the evaluative
20 piece, 100 percent. And this is where, I mean,
21 not to sort of connect or weave a thread that
22 might sew everything together, but this is where

1 like the stuff that NBSTRN is doing, I do think
2 provides such a valuable platform to do that sort
3 of analysis and I don't know that we have as much
4 -- well, or all of us anyone -- have as much
5 visibility into that that we maybe should or might
6 like to. But that's exactly what I was saying. I
7 think my fear is that we -- and I do -- I do
8 believe in my Pollyannish way that if you build
9 it, they will come to a degree, but you can't just
10 build it, have them come, and then not ask them
11 how their experience was when they leave the
12 ballpark.

13 So, it truly -- you have to go back and
14 look, and you have to use that data to either
15 improve the program to make those necessary
16 adjustments or say like holy crap, this didn't
17 work like we thought it was going to, and I think
18 that's very fair. So, I want to agree with you on
19 at least 75 percent of what you're saying.

20 CYNTHIA POWELL: Thank you. Robert
21 Ostrander, I've seen your hand raised earlier, but
22 I don't see it now. Did you have a comment?

1 ROBERT OSTRANDER: I really did not. I
2 mean, I had a little minor one, but I think I just
3 bumped my hand up anyway. So, I appreciate you
4 recognizing me, but for once, I'll keep my mouth
5 shut.

6 CYNTHIA POWELL: We appreciate your
7 comments. Natasha Bonhomme.

8 NATASHA BONHOMME: Great, thank you. I
9 have a couple comments and I think a couple
10 questions. But, you know, Scott really covered a
11 lot of what I was really itching to say. You
12 know, it really -- we can't start to have a
13 conversation -- a true conversation around health
14 equity until we really acknowledge that when we
15 say, oh, there's the 20 percent or the 50 percent.
16 It's always the exact same kids and it's the exact
17 same families. And if we can't acknowledge that,
18 to me, it feels like a very thought experiment as
19 opposed to what is happening with real families.
20 So, I appreciate Scott for saying that.

21 I also really wanted to acknowledge the
22 data that was presented from SMA. It was -- I was

1 really excited to see that data because I feel
2 like, phew, we really are seeing data from the
3 full system, especially the part of the system
4 that often times is left to support the families
5 and to be able to have that data was really
6 refreshing, and I really applaud -- applaud you to
7 have that infrastructure to capture that data,
8 because it is a lot, and a lot especially to put
9 on the patient advocacy organizations. So, really
10 great work happening there, so thank you for
11 sharing that, and I hope that we can see something
12 similar for many of the other conditions that we
13 tend to talk about.

14 And now to my questions. It was great to
15 get this update from LPDR and the visuals are
16 beautiful and it's really great to see how these
17 different pieces are being connected. I guess one
18 question I have in the realm of this conversation
19 about health disparities and what we're learning,
20 do we feel like now with these amazing tools, both
21 through NBSTRN and other places, that we are
22 closer to being able to answer some of the

1 questions that we've been asking for quite some
2 time around are our outcomes better, you know, are
3 there disparities, where are the disparities, how
4 are we addressing them? You know, I think because
5 the exciting part about building tools is being
6 able to implement them and to be able to say,
7 gosh, ten years ago, we couldn't answer this and
8 now we can. So, just wanting a bit on that.

9 And then lastly, my last question, I
10 guess it's directed to Carol around the great work
11 around long term follow-up and really thinking
12 about that. Could you speak a bit more to kind of
13 -- I apologize that when the slides were going
14 through, I was not able to look at them -- kind of
15 like that connection around the work that has been
16 happening and will be happening through this
17 workgroup around long term follow-up and is that
18 like really focused from the lens of the public
19 health programs, is it from a lens of, you know,
20 long term follow-up, you know, from a systems
21 perspective? If you could just highlight that,
22 that would be great. And I think that's

1 everything for now. Thank you.

2 CYNTHIA POWELL: Thanks. Amy Brower, do
3 you want to respond to Natasha's first question?

4 AMY BROWER: Sure, sorry about that.

5 Yeah. So, I think, Natasha, what you point out is
6 that the more data we have, the more useful it is,
7 and that's what we've seen over the years. But as
8 we presented, you know, this is such a unique
9 opportunity in newborn screening. Every newborn
10 gets screened, but we can't follow every newborn.
11 What we've learned over the last several years is,
12 you know, we are project-by-project, disease-by-
13 disease. We've got such an amazing range of, you
14 know, ages. So, we have from newborns all the way
15 up to 80-year-olds. So, as the data accumulates,
16 it's really becoming useful. But are we getting
17 everybody? No, we're not. And can we start to
18 answer some questions? Sure, we can, but you
19 know, again, it's disease-by-disease. I would
20 love to be able to follow, you know, very
21 condition in the way that we follow now Sickle
22 Cell, you know, SMA, you know. We've got projects

1 where we're helping states conduct annual check-
2 ins, and I think that's a good step. So, I think
3 we're getting there and we're making steps, but I
4 don't think we're anywhere near addressing some of
5 the issues that the committee has brought up.

6 NATASHA BONHOMME: I guess just to add to
7 that, I can definitely appreciate that, and I do
8 think more data is helpful. But I think what's
9 also really helpful is really understanding how
10 we're asking certain questions, and I think that's
11 something we're all learning, especially from the
12 lens of health equity is, you know, it's having
13 the data but also asking the question and then
14 being like, oh, I guess I asked this question, but
15 I actually meant this question, and really
16 building upon it that way. So, I think really
17 having a focus on both would be great. Thank you
18 for your response.

19 CYNTHIA POWELL: Carol Johnson, do you
20 want to respond?

21 CAROL JOHNSON: Yes, thank you, Dr.
22 Powell. So, Natasha, early in the presentation, I

1 talked about how there was a lot of discussion and
2 we ended up having to focus on two separate
3 projects. I think our list was extremely long and
4 encompassed both what's kind of that -- what's in
5 front of us, what do we need to work on today, as
6 well as the system, as you mentioned. That said,
7 we would love to hear from you any ideas or
8 recommendations that you would have would be very
9 much helpful. I do think, you know, we have some
10 ideas for what we need to work on in the future,
11 but maybe those aren't what we should focus on
12 right now. Maybe there are some other ideas that
13 you might have, and again members of this
14 committee might have for us as to what -- what to
15 do next. Did that answer your question, Natasha?

16 NATASHA BONHOMME: Yeah, and I think that
17 it's important to kind of think about, you know,
18 these questions from all the different viewpoints,
19 I think, as has been said.

20 CAROL JOHNSON: Absolutely.

21 NATASHA BONHOMME: I think it's been said
22 about 50 times today, newborn screening is a

1 system with lots of different parts. I think
2 that's great and so exciting to see that.

3 CAROL JOHNSON: Right. And that's why it
4 was so overwhelming in the beginning to try to
5 decide what to focus on, right? So, yes. Thank
6 you.

7 CYNTHIA POWELL: Jed Miller.

8 JED MILLER: Yes, hi. Jed Miller,
9 Association of Maternal and Child Health Programs.
10 I have a question for Carol Johnson regarding the
11 Landscape Survey. One of your slides presented
12 about eight respondents who track the number of
13 individuals lost to follow-up, and I'm just
14 curious, were any comments -- and this is, I
15 guess, a question for you or anybody else here,
16 you know, on, you know, on the panel -- is -- did
17 anybody volunteer any information about dedicated
18 programs or efforts to try to find those lost to
19 follow-up to, number one, discern if they are
20 truly lost or just administratively lost? For
21 instance, you know, if somebody moves out of state
22 or out of the country, changes providers, or just

1 something in that there's a disconnect, but they
2 don't actually -- they actually aren't lost versus
3 others who truly are lost and who really need
4 help, and there's a lot of factors that go into
5 that. I'm just kind of curious if any knowledge
6 was shared with you or if anybody else knows,
7 thanks.

8 CAROL JOHNSON: Is it okay to go ahead
9 and comment, Dr. Powell?

10 CYNTHIA POWELL: Oh, yes.

11 CAROL JOHNSON: Okay, I just wanted to
12 make sure. So, I don't know that we have that
13 level of granularity in this survey. Different
14 programs do different things to track their lost-
15 to-follow-up. Some programs have a very active
16 and robust way that they track those. I can speak
17 only for my program right now, but we do a weekly
18 review of birth certificates versus newborn
19 screens, and we absolutely do act to follow up to
20 try to get those babies in to be screened, and we
21 believe we're at about a 99.6 percent rate of at
22 least being able to determine what happened with

1 that baby, and that includes, you know, the
2 refusals that we receive as well.

3 It is -- it does vary program-by-program,
4 and this is my shameless plug for the new CLSA
5 Follow-up Guidelines that are going to be adopted
6 in the near future and working toward some minimal
7 standards in follow-up, and that is one of them,
8 and it's important, and that is why it's one of
9 our quality indicators.

10 JED MILLER: Thank you very much.

11 CAROL JOHNSON: You're welcome. Thank
12 you.

13 CYNTHIA POWELL: Chris Kus.

14 CHRIS KUS: Yes. A general question and
15 it's at the end, and I know, but I'd be interested
16 in the panelists' comments about a general
17 question about have we made progress regarding
18 newborn screening long term follow-up over the
19 past five to ten years and what do we need to do
20 to make progress?

21 CYNTHIA POWELL: Anyone want to take that
22 on? Dr. Brosco, I'll pick on you.

1 JEFF BROSCO: That's totally not fair
2 because Chris and I were talking about this was a
3 great question, so I said you should go ahead and
4 ask it. I'd like to hear actually from Carol
5 Johnson and maybe in particular a little bit about
6 what you've already reported on, but also how
7 NewSTEPS might fit into this. You know, is there
8 a way to extend or what kinds of things APHL could
9 do. One of the things that really impressed me
10 was a number of states seemed to say we need -- we
11 need standards, we need a mandate, we need -- you
12 need to tell us what we need to do.

13 CAROL JOHNSON: Correct. I absolutely
14 believe that to be true, and I speak on my own
15 behalf that people are looking for guidance,
16 they're looking for help, they want to know --
17 newborn screening programs really want to do the
18 right thing, but sometimes they have so many
19 barriers, as you saw in those slides, that they
20 can become insurmountable, right? So, I think,
21 yes, minimum standards, guidelines, what are the
22 elements of the long term follow-up program, and

1 you could ask, you know, the 54 states and
2 territories and perhaps get 35 different answers,
3 right? So, again, that comes back to we do need
4 to set some -- some standards and then from there,
5 we have to be able to convince the powers that be
6 that long term follow-up is actually not an add-on
7 component of newborn screening but an essential
8 component of newborn screening.

9 AMY BROWER: And I guess -- and I'll jump
10 and say, you know, I think some of the things are
11 true, if you build it, they will come. So, you
12 know, luckily we've been funded to build some
13 tools and infrastructure, and we've seen state
14 programs come to us. We're working with several
15 right now, you know, who are particularly
16 interested in subsets of the RUSP or, you know,
17 and that's the great thing about newborn screening
18 as a geneticist is there are so many diseases.
19 Without the tough part, there are so many
20 diseases, and so, trying to build a system where
21 you can really understand more about the diseases.
22 You know, we're learning so much about SCID, about

1 Sickle Cell, about every single condition on the
2 RUSP as we collect this data, and it's such a
3 missed opportunity that we don't have a national
4 system to follow these children, whether it's
5 letting parents, you know, enroll, letting states
6 follow them, encouraging clinicians to follow
7 them. You know, there's just so many ways that we
8 could do this, I think.

9 CAROL JOHNSON: Right. And I'll make
10 another comment and that is that we have some
11 programs that are really struggling just to call
12 out abnormal results, right? And so, I don't know
13 what comes first, and this goes back to this
14 overarching theme for today's talks is that if you
15 build it, will them come, and we also have to fix
16 some things that we're already doing, right? And
17 that is to appropriately staff and fund follow-up
18 activities, whether that's in the short term
19 follow-up or long term follow-up.

20 CYNTHIA POWELL: Debra Freedenberg.

21 DEBRA FREEDENBERG: Hi. I was just going
22 to add a little bit more to Jed's question to

1 Carol as one of those participating programs. And
2 Carol is quite right. Each program does choose
3 what they want to do, but I know that like, for
4 instance, before a child is placed in the long
5 term follow-up category -- lost to follow-up,
6 excuse me -- lost to follow-up category, there is
7 a great deal of effort that is expanded into
8 finding that child, finding out what happened to
9 them, did they switch states, did they go to
10 another provider, was there a loss of just
11 contact, and there is a great deal.

12 Now, I can't say that happens for every
13 program, and then there is a review of those
14 children that are going to be put in that category
15 before they're actually put into that category.
16 So, there really is, you know, from the state that
17 I'm involved with, a great deal of effort that's
18 expanded -- expended, excuse me, before a child
19 would be put into that category, and they're only
20 put into that category very reluctantly. It's not
21 viewed as a positive to have to put a child into
22 that category.

1 CYNTHIA POWELL: Melissa Parisi.

2 MELISSA PARISI: Can you hear me?

3 CYNTHIA POWELL: Yes.

4 MELISSA PARISI: Okay, thanks. I just
5 wanted to make a quick follow up comment to this
6 recent discussion and, in particular, Amy Brower's
7 comment. I mean, you know, from a research
8 perspective, I think we all would agree that every
9 child identified with a newborn screening
10 condition, in the best of all possible worlds,
11 would be automatically enrolled in a long term
12 follow-up research program where we would track
13 and acquire the information that we need to really
14 understand the natural history of these
15 conditions. I mean, given that they are rare
16 disorders, you know, we're just scratching the
17 surface when we nominate and vote to add a
18 condition to the RUSP.

19 So, you know, to the extent that some of
20 these systems can be put into place such as
21 through the, you know, APHL NewSTEPS or the NBSTRN
22 to allow for the accumulation of data in a

1 deidentified manner, even, in a way that can help
2 inform our understanding of these disorders, that,
3 in my mind, would be win-win and help us get
4 towards this path of creating true equity for
5 these conditions and these kids who are affected.

6 CYNTHIA POWELL: Thank you. Robert
7 Ostrander.

8 ROBERT OSTRANDER: So, now I do have a
9 quick comment, and this came from our discussions
10 in our workgroup a couple of years ago when Joe
11 and I had talked about again this federated
12 system, and I think one of the potential resources
13 that we're overlooking is the specialty centers
14 for a number of these conditions. I mean, that is
15 a nationwide network, to some extent, because I
16 think these specialty centers communicate with
17 each other pretty regular, if nothing else, at
18 national meetings and reading each other's papers
19 and literature.

20 And it seems to me that that -- we should
21 tap into that as the basis of federated system
22 and, you know, to the comment that, you know, Jeff

1 carried forward from our group, whose
2 responsibility is this? I think we should think
3 about ways to make that part of the responsibility
4 of specialty centers is collecting a, you know,
5 dataset on these various conditions that, you
6 know, are under their various purviews, thinking
7 about that diagram. You know, there are probably
8 some universal questions that apply to every kid.
9 There are some questions that apply to kids with
10 special health care needs. There are questions
11 that apply to all kids diagnosed through newborn
12 screening, and there will be disease-specific
13 questions. And again, if we could somehow suggest
14 that this is the responsibility, especially
15 centers that have some sort of national clearing
16 house that would collect that data, and maybe even
17 design the, you know, what are we looking for
18 questions. I really think we should strongly
19 think about that.

20 Again, I don't know what purview of this
21 advisory committee is in terms of advising the
22 Secretary to perhaps set up a clearinghouse, but,

1 you know, I didn't -- we've heard of a lot of
2 people that are potential -- potentially providing
3 data. But I think that specialty centers is just
4 the right size and shape as a potential data
5 source for a lot of these things.

6 CYNTHIA POWELL: Well, if I can comment
7 as someone from one of those specialty centers,
8 while I think that's a great idea and a great way
9 to do it, similar to the public health labs, we're
10 stretched so thin, you know, we can't, you know,
11 hire new people to enter that data. We just don't
12 have the funding. So, it's all coming down to how
13 do we fund this both with the laboratory as well
14 as the clinic levels.

15 ROBERT OSTRANDER: No, no. And I
16 certainly agree, and that's where I wonder where
17 you're, you know, how far the advisory committee
18 can go in terms of suggesting that. I mean,
19 suggesting that it be done is one thing, but when
20 you suggest something be done, it's best to
21 suggest a how, and obviously money -- money is an
22 issue. But, you know, the specialty centers

1 around here and the pediatric departments for the
2 NICU graduates do a wonderful job of gathering
3 data and all their NICU graduates longitudinally
4 through their lifetime up until 18 and, you know,
5 that's the kind of model that made -- made me
6 think about this is what the NICUs do.

7 CYNTHIA POWELL: I know, and I think, you
8 know, there's similar things that they do for
9 pediatric cancer and follow-up of those cases, and
10 that's one reason, you know, they've made such
11 great advances in treatment. So, I think we
12 really need to start looking outside the box, so
13 to speak, you know, to figure out ways how others
14 have funded this and how we might go about
15 suggesting how this be funded.

16 I know we're running short of time.
17 Let's see, Jennifer Kwon, you haven't had a chance
18 to speak. Go ahead.

19 JENNIFER KWON: Thanks. I actually just
20 lowered my hand because a lot of what I wanted to
21 say -- but I will respond to Chris' provocative
22 question with a provocative answer. I -- I don't

1 know that we've made great progress in long term
2 follow-up for all of the reasons that we've heard.
3 I think we've made incredible progress in making
4 treatment advances available to children with rare
5 disorders. I think that that's been sort of the
6 core job of this committee, and you've done a
7 great job. I just -- I think that the long term
8 follow-up is such a key piece, as we all know, and
9 I think that people are just scrambling about how
10 to address that need. So, I recognize that. I
11 wish I had some ideas, but just that comment.

12 CYNTHIA POWELL: Thank you. Annamarie.

13 ANNAMARIE SAARINEN: Hi, thank you.

14 Annamarie Saarinen again. I was -- I've been
15 really listening to everyone's comments, and
16 they're all just very valuable and spot on. But I
17 was trying to think of it as like we're asking a
18 question and is there a model and sort of like the
19 way recovering lobbyists sort of think about
20 things. And I did pull up -- and I'm sure it's on
21 the website -- the letter both from Chairman
22 Powell to Secretary Sebelius back in 2010 and then

1 the reply letter back from Secretary Sebelius.
2 There was more than one, as you might recall, for
3 CCHD screening. But what I loved about that
4 letter from the Secretary back to this committee
5 was that it came with an action plan. It didn't
6 come with necessarily dollars connected to the
7 action plan, and I think that may be the missing
8 link here, but it basically said like the
9 following things need to happen as we implement a
10 new condition onto the panel here, and they fell
11 into the buckets of research, surveillance,
12 screening standards and infrastructure, and
13 education and training, and under each, it
14 basically said HRSA shall do this, CMS shall do
15 this, FDA shall do this, NIH shall do this and
16 some of them were kind of time-bound, but as I
17 look at them, I can see which ones -- we could say
18 like yep, that actually happened, but I see ones
19 that I can, I don't know, I feel like I can say
20 like that didn't happen or that hasn't happened in
21 a way that was useful in coming back to this
22 committee to say upon implementation of this

1 screening as it rolled out, what pieces are still
2 missing that may impact access, equity, and
3 outcomes for children affected by that disease?

4 But I do think there are some models out
5 there. I would even consider looking at this, you
6 know, Reauthorization of the Newborn Screening
7 Saves Lives Act. What can be embedded at the
8 policy level to ensure that the fiscal and human
9 burden of doing the things that need to be done
10 when these conditions get out, it doesn't fall on
11 programs that are currently underfunded and
12 understaffed to be able to do it? And I think, at
13 the end of the day, it all comes -- it all comes
14 down to money, and if you don't fund -- and that's
15 why I use the word infrastructure -- that's what
16 it is. It's about money and funding.

17 So, I guess I would encourage us to look
18 at some of these things that are already embedded
19 in the way HHS has thought about newborn screening
20 and how, at least for CCHD, that came back with
21 like a set of deliverables that were supposed to
22 address some of these issues.

1 CYNTHIA POWELL: Thank you. So, we're
2 running out of time. Mei Baker, Melissa Parisi,
3 and Jed Miller, and then we'll need to stop.

4 MEI BAKER: I just wanted to make a very
5 quick comment for the long term follow-up. I
6 heard so many good ideas in listening, and I think
7 I want to echo what Melissa was saying. Like,
8 ideally, actually I think we should make it a
9 goal, not just -- like ideally, we will start with
10 newborn screening. I think we need to set the
11 kind of goal to do that. Otherwise, we will never
12 get to the point where we need to be. And the one
13 thing I just want to emphasize when I heard this
14 is the connections because I think that, you know,
15 we heard Amy have the wonderful presentations. I
16 think she did an amazing job to reach out all
17 this, you know, specialties and conditions. And
18 coming back to the newborn screening program, and
19 I can tell my personal experience, after short
20 term follow-up, continuing to have the connection
21 with the clinical can somewhat a little bit -- I
22 just -- I don't know what words to put it in

1 because the incentive is not there and also I
2 think the newborn screening alone is just -- to
3 me, it's very, very hard to achieve the minimal
4 longitudinal study.

5 And another thing I want to make comment
6 is because NBSTRN comes across like research.
7 This is a kind of -- sometimes kind of becomes a
8 little bit difficult for the program to get the
9 connection. So, I was hoping [indiscernible
10 3:02:24]. I was thinking like a newborn screening
11 because CDC pretty much is doing like the testing
12 part, follow-up, and most of the NewSTEPS part.
13 So, it's kind of a little bit of a consortia in a
14 way and really emphasizes [indiscernible] and
15 also, I think, we talked about the policy
16 importance. It really comes across, I mean,
17 program evaluation. So, I think then, of course,
18 during the process, we will address a lot of
19 research questions. I think maybe we can think
20 about this around program evaluation to become an
21 obligation, become as a part of what we need to
22 do. Thank you.

1 hands raised, then I want to remind everyone that
2 our next meeting will take place May 13th and
3 14th, 2021, and the February meeting of the
4 Advisory Committee on Heritable Disorders in
5 Newborns and Children is now adjourned.

6