

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

The Advisory Committee on  
Heritable Disorders in Newborns and Children

Virtual Meeting

10:01 a.m.

Thursday, February 11, 2021

Attended Via Webinar

Page 1 - 201

Reported by Garrett Lorm

1 **Committee Members In Attendance**

2

3 **Mei Baker, MD**

4 Professor of Pediatrics

5 University of Wisconsin School of Medicine and

6 Public Health

7 Co-Director, Newborn Screening Laboratory

8 Wisconsin State Laboratory of Hygiene

9

10 **Jeffrey P. Brosco, MD, PhD**

11 Professor of Clinical Pediatrics, University of

12 Miami Title V CYSHCN Director, Florida Department

13 of Health

14 Associate Director, Mailman Center for Child

15 Development

16 Director, Population Health Ethics, UM Institute

17 For Bioethics and Health Policy

18

19 **Kyle Brothers, MD, PhD**

20 Endowed Chair of Pediatric Clinical and

21 Translational Research

22 Associate Professor of Pediatrics University

1 of Louisville School of Medicine

2

3 **Jane M. DeLuca, PhD, RN**

4 Associate Professor

5 Clemson University School of Nursing

6

7 **Shawn E. McCandless, MD**

8 Professor, Department of Pediatrics

9 Head, Section of Genetics and Metabolism

10 University of Colorado Anschutz

11 Medical Campus Children's Hospital Colorado

12

13 **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

14 Professor of Pediatrics and Genetics

15 Director, Medical Genetics Residency

16 Program Pediatric Genetics and

17 Metabolism

18 The University of North Carolina at

19 Chapel Hill

20

21 **Annamarie Saarinen**

22 Co-founder

1 CEO Newborn Foundation

2

3 **Scott M. Shone, PhD, HCLD (ABB)**

4 Director

5 North Carolina State Laboratory of

6 Public Health

7

8 **Agency for Healthcare Research & Quality**

9 Kamila B. Mistry, PhD, MPH

10 Senior Advisor

11 Child Health and Quality Improvement

12

13 **Centers for Disease Control & Prevention**

14 Carla Cuthbert, PhD

15 Chief

16 Newborn Screening and Molecular Biology Branch

17 Division of Laboratory Sciences

18 National Center for Environmental Health

19

20 **Food and Drug Administration**

21 Kellie B. Kelm, PhD

22 Director

1 Division of Chemistry and Toxicology Devices  
2 Office of In Vitro Diagnostics and Radiological  
3 Health

4

5 **Health Resources & Services**

6 **Administration**

7 Michael Warren, MD, MPH, FAAP

8 Associate Administrator

9 Maternal and Child Health Bureau

10

11 **National Institutes of Health**

12 Melissa Parisi, MD, PhD

13 Intellectual and Developmental Disabilities Branch

14 Eunice Kennedy Shriver National Institute of Child

15 Health and Human Development

16

17 **Designated Federal Official**

18 Mia Morrison, MPH

19 Genetic Services Branch

20 Maternal and Child Health Bureau

21 Health Resources and Services Administration

22

1 **American Academy of Family Physicians**

2 Robert Ostrander, MD

3 Valley View Family Practice

4

5 **American Academy of Pediatrics**

6 Debra Freedenberg, MD, PhD

7 Medical Director, Newborn Screening and

8 Genetics, Community Health Improvement

9 Texas Department of State Health Services

10

11 **American College of Medical Genetics & Genomics**

12 Maximilian Muenke, MD, FACMG

13 Chief Executive Officer

14

15 **Association of Maternal & Child Health Programs**

16 Jed Miller, MD

17 Director, Office for Genetics and People with

18 Special Care Needs

19 Maryland Department of Health Maternal and Child

20 Health Bureau

21

22

1 **Association of Public Health Laboratories**

2 Susan M. Tanksley, PhD

3 Manager, Laboratory Operations Unit

4 Texas Department of State Health Services

5

6 **Association of State & Territorial Health**

7 **Officials**

8 Christopher Kus, MD, MPH

9 Associate Medical Director

10 Division of Family Health

11 New York State Department of Health

12

13 **Association of Women's Health Obstetric and**

14 **Neonatal Nurses**

15 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,

16 IBCLC

17 Vice President, Research Officer University of

18 North Carolina Health Board Director, Association

19 of Women's Health, Obstetric & Neonatal Nurses

20

21 **Child Neurology Society**

22 Jennifer M. Kwon, MD, MPH, FAAN

1 Director, Pediatric Neuromuscular Program  
2 American Family Children's Hospital  
3 Professor of Child Neurology, University of  
4 Wisconsin School of Medicine & Public Health

5

6 **Department of Defense**

7 Jacob Hogue, MD  
8 Lieutenant Colonel, Medical Corps, US Army  
9 Chief, Genetics, Madigan Army Medical Center

10

11 **Genetic Alliance**

12 Natasha F. Bonhomme  
13 Vice President of Strategic Development

14

15 **March of Dimes**

16 Siobhan Dolan, MD, MPH  
17 Professor and Vice Chair for Research  
18 Department of Obstetrics & Gynecology and Women's  
19 Health  
20 Albert Einstein College of Medicine and Montefiore  
21 Medical Center

22

1 **National Society of Genetic Counselors**

2 Cate Walsh Vockley, MS, CGC

3 Senior Genetic Counselor Division of Medical

4 Genetics

5 UPMC Children's Hospital of Pittsburgh

6

7 **Society for Inherited Metabolic Disorders**

8 Georgianne Arnold, MD

9 Clinical Research Director, Division of Medical

10 Genetics

11 UPMC Children's Hospital of Pittsburg

1	C O N T E N T S	
2		
3	WELCOME, ROLL CALL, OPENING REMARKS,	
4	COMMITTEE BUSINESS	11
5	PROCESSES FOR THE REVIEW OF CONDITIONS ON	
6	THE RECOMMENDED UNIFORM SCREENING PANEL -	
7	RUSP	26
8	CONDITION NOMINATION FORM	60
9	CONSUMER-FRIENDLY GUIDANCE MATERIALS	88
10	BREAK	91
11	PUBLIC COMMENT	95
12	CONTINUITY OF OPERATIONS PLANNING (COOP)	
13	AND COVID-19	122
14		
15		
16		
17		
18		
19		
20		
21		

1 P R O C E E D I N G S

2 WELCOME, ROLL CALL, OPENING REMARKS, COMMITTEE

3 BUSINESS

4 CYNTHIA POWELL: Good morning, everyone.

5 Welcome to the first meeting in 2021 of the  
6 Advisory Committee on Heritable Disorders in  
7 Newborns and Children. I'm Dr. Cynthia Powell,  
8 committee chair.

9 Before we begin with committee business,  
10 I need to take roll. Starting with committee  
11 members, Kamila Mistry.

12 KAMILA MISTRY: Here.

13 CYNTHIA POWELL: Mei Baker.

14 MEI BAKER: Here.

15 CYNTHIA POWELL: Jeff Brosco.

16 JEFF BROSCO: Here.

17 CYNTHIA POWELL: Kyle Brothers.

18 KYLE BROTHERS: Here.

19 CYNTHIA POWELL: Jane DeLuca.

20 JANE DELUCA: Here.

21 CYNTHIA POWELL: Carla Cuthbert.

22 CARLA CUTHBERT: Here.

1 CYNTHIA POWELL: Kellie Kelm.  
2 KELLIE KELM: Here.  
3 CYNTHIA POWELL: Michael Warren.  
4 MICHAEL WARREN: Here.  
5 CYNTHIA POWELL: Shawn McCandless.  
6 SHAWN MCCANDLESS: Here.  
7 CYNTHIA POWELL: Melissa Parisi.  
8 MELISSA PARISI: Here.  
9 CYNTHIA POWELL: And I'm here, Cynthia  
10 Powell. Annamarie Saarinen.  
11 ANNAMARIE SAARINEN: Here.  
12 CYNTHIA POWELL: Scott Shone.  
13 SCOTT SHONE: Here.  
14 CYNTHIA POWELL: And going on to our  
15 organizational representatives, Robert Ostrander.  
16 Debra Freedenberg. Maximilian Muenke.  
17 MAXIMILIAN MUENKE: I'm here.  
18 CYNTHIA POWELL: Steven Ralston. Jed  
19 Miller.  
20 JED MILLER: Here.  
21 CYNTHIA POWELL: Susan Tanksley.  
22 SUSAN TANKSLEY: Here.

1 CYNTHIA POWELL: Chris Kus.

2 CHRISTOPHER KUS: Here.

3 CYNTHIA POWELL: Shakira Henderson.

4 SHAKIRA HENDERSON: Good morning, here.

5 CYNTHIA POWELL: Jennifer Kwon. Jacob

6 Hogue.

7 JACOB HOGUE: I'm here.

8 CYNTHIA POWELL: Natasha Bonhomme.

9 NATASHA BONHOMME: Here.

10 CYNTHIA POWELL: Siobhan Dolan.

11 SIOBHAN DOLAN: Here.

12 CYNTHIA POWELL: Cate Walsh Vockley.

13 CATE WALSH VOCKLEY: I'm here.

14 CYNTHIA POWELL: Georgianne Arnold.

15 GEORGIANNE ARNOLD: Here.

16 CYNTHIA POWELL: Thank you. I'm now

17 going to turn it over to Mia Morrison, our

18 designated federal official.

19 MIA MORRISON: Thank you, Dr. Powell.

20 LRG, can you advance the slides, please? Okay,

21 while they are getting the slides set up, I'm just

22 going to go over some standard reminders that I

1 have for the committee. I want to remind members  
2 that as a committee, we are advisory to the  
3 Secretary of Health and Human Services, not the  
4 Congress. For anyone associated with the  
5 committee or due to your membership on the  
6 committee, if you receive inquiries, please let  
7 Dr. Powell and I know prior to committing to an  
8 interview. LRG can advance to the next slide.  
9 Next, thank you.

10 I also must remind committee members that  
11 you must recuse yourself from participation in all  
12 particular matters likely to affect the financial  
13 interest of any organization with which you serve  
14 as an officer, director, trustee, or general  
15 partner unless you are also an employee of the  
16 organization or unless you have first received a  
17 waiver from HHS authorizing them to participate.

18 When a vote is scheduled or an activity  
19 is proposed and you have a question about a  
20 potential conflict of interest, please notify me  
21 immediately. Next slide.

22 All committee meetings are open to the

1 public. If the public wish to participate in the  
2 discussion, the procedures for doing so are  
3 published in the Federal Register and/or announced  
4 at the opening of the meeting. For this main  
5 meeting or for this particular meeting in the  
6 Federal Register notice, we said that there would  
7 be a period for public comment. Only with  
8 advanced approval of the chair or DFO, public  
9 participants may question committee members or  
10 others present. Public participants may submit  
11 written statements. Also, public participants  
12 should be advised the committee members are given  
13 copies of all written statements submitted to the  
14 public, and we do state this in the RFN as well as  
15 the registration website.

16 All written comments are part of the  
17 official meeting record and are shared with  
18 committee members. Any further public  
19 participation will be solely at the discretion of  
20 the chair and the DFO.

21 And if there are no questions, I'll turn  
22 it over to Dr. Powell.

1           CYNTHIA POWELL: Thank you, Mia. Can we  
2 have the next slide? Thank you.

3           In December 2020, HRSA received the  
4 resubmission of the nomination package for MPS II  
5 Hunter Syndrome. HRSA has completed the initial  
6 review for completeness and the Nomination and  
7 Prioritization Workgroup is currently reviewing  
8 the evidence submitted in the package. I will  
9 continue to keep the committee updated and  
10 informed about next steps.

11           At the December 2020 meeting, the  
12 committee voted to approve the Review of Newborn  
13 Screening Implementation for Spinal Muscular  
14 Atrophy final report. After SMA was added to the  
15 RUSP in 2018, the committee developed the report  
16 in response to the former secretary's request for  
17 a report, "describing the status of implementing  
18 newborn screening for MSA and clinical outcomes of  
19 early treatment including any potential harms for  
20 infants diagnosed with SMA."

21           After the December meeting, I submitted  
22 the report to Secretary Azar and will let the

1 committee know when we receive a response from the  
2 Department of Health and Human Services. The  
3 report is now available on the Advisory  
4 Committee's website. Next slide.

5           As you know, the committee has undertaken  
6 a review of its evidence review and decision-  
7 making processes. In February 2019, the committee  
8 initiated this project by convening an Expert  
9 Advisory Panel to consider the key components of  
10 the review.

11           In 2020, the committee continued to  
12 gather feedback from both committee members and  
13 organizational representatives on ways to  
14 strengthen the evidence review process including  
15 an examination of its newborn screening decision-  
16 making criteria and the decision matrix.

17           This morning, we will address two  
18 additional components -- the nomination process  
19 and review of conditions already on the RUSP. In  
20 terms of next steps, within the coming weeks, I  
21 will form a small working group to analyze the  
22 information we have gathered and summarize changes

1 in subsequent meetings.

2 The purpose of analyzing the entire  
3 review process is to improve the process so there  
4 will be changes that may impact the information  
5 requested in the nomination form and  
6 considerations during the evidence review.

7 I would like to note that any conditions  
8 nominated in calendar year 2021 will adhere to the  
9 current condition nomination and evidence review  
10 processes. We are targeting the beginning of  
11 calendar year 2022 for nominators to use the  
12 updated condition nomination form and have  
13 packages reviewed using updated methodologies.  
14 The committee will be kept apprised of this  
15 timeline and any changes.

16 As part of this review, the committee  
17 will develop a manual of procedures and consumer-  
18 friendly guidance materials to educate newborn  
19 screening stakeholders on committee processes.  
20 Once changes are finalized, the committee website  
21 will be updated with guidance materials and a  
22 summary of the updated processes. Next slide.

1           Committee members and organizational  
2 representatives received a draft of the December  
3 2020 meeting summary to review. We received  
4 updates to the newborn screening decision-making  
5 and matrix discussion. Committee members received  
6 the revised draft in the briefing book. Are there  
7 any other additions or corrections before the  
8 committee votes? Hearing none, do I have a motion  
9 for approving the minutes?

10           SCOTT SHONE: Scott Shone, and I move to  
11 approve the minutes.

12           CYNTHIA POWELL: Is there a second?

13           JEFF BROSCO: Jeff Brosco. I second.

14           CYNTHIA POWELL: And all in favor of  
15 approval of the minutes, if you could raise your  
16 hand using the raise hand function. Has everyone  
17 had a chance to indicate their approval on the  
18 committee? Anyone opposed? All right. The  
19 minutes from the December 2020 meeting are  
20 approved. Next slide.

21           Please note that we have a slight change  
22 in our meeting schedule today. The committee will

1 reconvene from break at 12:35 p.m. eastern time  
2 instead of 12:40, and public comments will go from  
3 12:35 to 1:00 p.m. Next slide.

4           The meeting topics for today, Thursday,  
5 February 11th, are as follows. The committee will  
6 hear a series of two presentations from Dr. Alex  
7 Kemper. The first will be on processes for the  
8 review of conditions on the RUSP and the second  
9 will be to explore potential updates to the  
10 condition nomination form. Next, I will perform a  
11 brief update on the committee initiative to  
12 develop consumer-friendly guidance materials on  
13 the condition nomination and evidence review  
14 processes. As noted, in the afternoon, we'll  
15 return from break at 12:35 eastern time instead of  
16 12:40, and that's in order to hear from everyone  
17 who submitted requests to make public comments.  
18 We received these from nine individuals. Brittany  
19 Hernandez from the Muscular Dystrophy Association  
20 will update the committee on the Newborn Screening  
21 Saves Lives Reauthorization Act. Dr. Don Bailey  
22 from RTI International will discuss the Newborn

1 Screening Modernization Project. Mike Hu will  
2 provide a statement on his experiences as a parent  
3 of two children living with MPS II. Dylan Simon  
4 from the EveryLife Foundation for Rare Diseases  
5 will discuss the organization's federal and state  
6 newborn screening efforts. After Mr. Simon, we  
7 will hear from Alyssa Seager, founder of the ALD  
8 Alliance. Then, we'll hear from Debra Green from  
9 the Sickle Cell Disease Foundation, Niki Armstrong  
10 from Parent Project, Muscular Dystrophy, will  
11 discuss their ongoing Duchenne Newborn Screening  
12 pilot. Our last public comment of the meeting  
13 will be from Kimberly Tuminello and Heidi Walls  
14 from the Association for Creatine Deficiencies  
15 providing an update on guanidino acetate  
16 methyltransferase or GAMF deficiency newborn  
17 screening.

18 For the last session of the day, we will  
19 have a panel of four presenters discuss Continuity  
20 of Operations Planning within the Context of  
21 COVID-19. Please note a slight change in the  
22 agenda. This panel will begin at 1:00 p.m. Next

1 slide.

2           Today, the meeting will end at 2:25 p.m.  
3 After the main meeting, the education in Training,  
4 Follow-up, and Treatment and Laboratory Standards  
5 and Procedures Workgroups will convene from 2:40  
6 to 3:25 eastern time to discuss processes for the  
7 review of conditions on the RUSP and potential  
8 updates to the condition nomination form from each  
9 of the three workgroup perspectives. For all who  
10 are interested in attending a workgroup meeting at  
11 the end of the day today, we will provide a link  
12 to access the Zoom meetings in the chat box. We  
13 will also review instructions for accessing the  
14 Zoom links this afternoon. Next slide.

15           Tomorrow, the committee will reconvene at  
16 10:00 a.m. eastern time. We will begin with  
17 updates from the three workgroups followed by  
18 committee discussion on the workgroup suggestions.  
19 After a short break, we'll conclude the meeting  
20 with a panel exploring innovations in long term  
21 follow-up.

22           I will now turn it back over to Mia

1 Morrison, who will provide guidance for  
2 participating on the webinar.

3 MIA MORRISON: Thank you, Dr. Powell.  
4 Next slide, please.

5 Members of the public, audio will come  
6 through your computer speakers. So, please make  
7 sure to have your computer speakers turned on. If  
8 you can't access audio through your computer, you  
9 may dial into the meeting using the telephone  
10 number in the E-mail with your Zoom link. This  
11 meeting will not have an all-attendee chat  
12 feature. But we do have a period of public  
13 comment scheduled for later today.

14 Committee members and organization  
15 representatives, your audio will also come through  
16 your computer speakers, and you will be able to  
17 speak using your computer microphone. If you  
18 can't access the audio or microphone through your  
19 computer, you may also use your telephone to dial  
20 in and you may find that number in the E-mail with  
21 your Zoom link.

22 Please remember to speak clearly and

1 state your first and last name to ensure proper  
2 recording for the committee transcript and  
3 minutes. The chair will call on committee members  
4 first and then organizational representatives.

5 In order to better facilitate the  
6 discussion, committee members and organizational  
7 representatives should use the raise hand feature  
8 when you would like to make comments or ask  
9 questions. Simply click on the icon at the bottom  
10 of your screen to raise your hand. Please note  
11 that depending on your device or operating system,  
12 the raise hand feature may be in a different  
13 location. To troubleshoot, please consult the  
14 webinar instructions page in your briefing book.

15 I'll now turn it back over to Dr. Powell.

16 CYNTHIA POWELL: Thank you, Mia. As I  
17 noted in my opening remarks, the committee is  
18 currently exploring potential ways to strengthen  
19 its newborn screening decision-making processes.  
20 Today, Dr. Kemper will begin by delivering a  
21 presentation on considerations and approaches for  
22 systematically reviewing conditions on the RUSP

1 for the purposes of gaining additional information  
2 about the condition including lessons learned on  
3 adoption and implementation at both the state and  
4 national levels. After his presentation, we'll  
5 open the floor for committee members' and  
6 organizational representatives' questions and  
7 comments. Before we begin, I would like to  
8 introduce Dr. Kemper.

9 Dr. Kemper is the Division Chief of the  
10 Ambulatory Pediatrics at Nationwide Children's  
11 Hospital and Professor of Pediatrics at the Ohio  
12 State University College of Medicine. He  
13 completed his pediatric residency training at Duke  
14 University followed by combined fellowship  
15 training in health services research and medical  
16 informatics with residency training in preventive  
17 medicine at the University of North Carolina.  
18 Dr. Kemper served as a member of the US Preventive  
19 Services Task Force from 2014 through 2018. In  
20 2011, Dr. Kemper joined the Executive Editorial  
21 Board of Pediatrics and developed a new section  
22 for the Journal focusing on quality improvement.

1 In 2013, he was Appointed Deputy editor of  
2 Pediatrics. I'll now turn it over to Dr. Kemper.

3 **PROCESSES FOR THE REVIEW OF CONDITIONS ON THE**  
4 **RECOMMENDED UNIFORM SCREENING PANEL - RUSP**

5 ALEX KEMPER: Thank you very much, Dr.  
6 Powell, and good morning everyone. What I'd like  
7 to do in this presentation is just preen the  
8 issues around the opportunities that would present  
9 themselves for reviewing conditions that are in  
10 the RUSP and it's my goal to leave plenty of time  
11 for questions and comments, at least as best as we  
12 can do via Zoom. Next slide, please.

13 So, as I go through the presentation, I  
14 want you to keep this picture in mind. So, the  
15 way I think about reviewing conditions on the RUSP  
16 is that when we first review the conditions,  
17 there's a lot that we don't know. Things are  
18 blurry, there -- there are things that are often  
19 uncertain, and this is, you know, caused, as you  
20 all know, but a combination of both the rarity of  
21 many of the conditions and the fact that issues  
22 related to screening and treatment are often time

1 relatively new, and the advisory committee does a  
2 remarkable job of making recommendations in the  
3 face of that uncertainty in looking at what's  
4 known about the condition and the potential  
5 benefits and harms from the whole newborn  
6 screening process.

7 I really see looking at conditions that  
8 have been added to the RUSP as having clarity and  
9 really better understanding as illustrated on the  
10 right side of this picture. Next slide, please.

11 I put this slide up just to remind you of  
12 where this presentation fits into the process that  
13 we've undergone in terms of looking at how we can  
14 strengthen the review process, and I'll just leave  
15 this up here for a couple of seconds and I'm going  
16 to share this slide again later. But here we are  
17 talking about issues of evaluating conditions that  
18 are on the RUSP, and later this morning, I'll be  
19 talking about the nomination process. Next slide,  
20 please.

21 So, again here today, we're going to be  
22 talking about review of conditions that are --

1 that have already been added to the RUSP, and this  
2 follows along behind a number of other  
3 conversations that we've had including the values  
4 compensation, the decision-making process, and so  
5 on. Again, I just want to make sure that  
6 everybody understands the context of the way this  
7 falls. Next slide, please.

8           So, the rationale for looking at  
9 conditions that have already been added to the  
10 RUSP is it allows us to look at updates in the  
11 evidence of screening and treatment for those  
12 conditions that are on the RUSP both the primary  
13 and the secondary conditions.

14           Reevaluation of these conditions would  
15 allow us to look at new treatments, things that  
16 have emerged since the condition was first added  
17 to the RUSP to look at new clinical  
18 recommendations including issues of clinical  
19 management, better understanding of the conditions  
20 during infancy. As members of the advisory  
21 committee know, we often times struggle with  
22 developing things like the case definition and

1 determining what the primary goal of screening is  
2 versus the secondary goal. And over time, as more  
3 clinical experience is accrued, it allows you to  
4 really better understand exactly what the target  
5 of screening is.

6           It would allow us to look at longer term  
7 follow-up. Certainly, long term follow-up both  
8 delivery and understanding the outcomes are  
9 central to maximizing the benefits of newborn  
10 screening. And when we do the initial reviews,  
11 the periods of follow-up that are available are  
12 often quite short, you know, on the order of a  
13 year or two. Looking at conditions that have  
14 already been added to the RUSP would allow us to  
15 better understand the impact that it has had on  
16 public health and clinical services including the  
17 availability of clinical services and really look  
18 at the impact of expanding newborn screening for  
19 the conditions on children and families.

20           And then, I list at the bottom this issue  
21 of being able to look at unresolved issues during  
22 previous reviews. So, there has not been an

1 evaluation where important questions haven't been  
2 identified, and this really gives us a mechanism  
3 to be able to look back at any unresolved issues  
4 and, you know, this is one of the reasons when we  
5 looked at the SMA again recently, as presented at  
6 the last meeting, that whole report is really  
7 focused on open questions at the end of the  
8 previous review where SMA was added to the RUSP.  
9 I think that's a good model for how that could  
10 work. Next slide, please.

11           So, you know, the issues about this are  
12 first of all, thinking about the frequency of  
13 which conditions would be reevaluated. So, one  
14 could imagine that the advisory committee might  
15 just say let's look at conditions that have been  
16 added to the RUSP with regular frequency, you  
17 know, three years, five years, ten years. I mean,  
18 obviously, I just put those up as examples. And,  
19 you know, one of the advantages of just having a  
20 regular cycle for conditions that have been added  
21 to the RUSP is that it would bring to the core  
22 conditions that maybe people hadn't recognized as

1 being important.

2 Another approach would be just an ad hoc  
3 reevaluation of conditions based on changes  
4 related to the science or the implementation of  
5 screening, new treatments, and those kinds of  
6 things. But if there's going to be an ad hoc  
7 approach, there needs to be a formalized method to  
8 allow conditions to be nominated for review.

9 Of course, the advisory committee might  
10 want to hybrid, so regular reviews unless, you  
11 know, something of particular note got brought  
12 back up.

13 Now, I think the principles and the  
14 review criteria should be essentially the same for  
15 whether or not it's a new evaluation or a  
16 reevaluation. But obviously, not all the key  
17 questions need to be looked at. So, in  
18 partnership with the advisory committee, the  
19 specific key questions for the review could be  
20 focused and one of the advantages of looking at  
21 something that's already been added to the RUSP is  
22 this ability to add increased emphasis on things

1 like implementation or other open questions that  
2 were identified before. But the mechanisms -- the  
3 approach would be the same as with a new review,  
4 just really targeting the key questions of the  
5 things that are appropriate and also the ability  
6 to look at long term outcomes or linkage to  
7 clinical services and those kinds of things that  
8 we're just limited in our ability to do with the  
9 initial review. Next slide, please.

10           So, the other issue for the advisory  
11 committee is related to what the outcomes of this  
12 re-review would be. So, there's an opportunity to  
13 clarify the recommendation. For example, the  
14 target of screening or whether something should be  
15 considered a primary target or a secondary target  
16 and that could be tied to advances in screening  
17 technology. But, you know, there could also be  
18 recommendations about things like short term  
19 follow-up involved and long term follow-up.

20           It would also be an opportunity to inform  
21 issues related to newborn screening and care  
22 delivery. So, you know, if there were, you know,

1 specific facilitators to the screening and follow-  
2 up process or if there were unexpected barriers,  
3 those kinds of lessons learned, it could be  
4 helpful for the advisory committee in terms of  
5 making new recommendations.

6 I list removal here. The removal is not  
7 the goal. Conditions that have been added to the  
8 RUSP have already gone through this fairly  
9 rigorous process. So, I just put that out there,  
10 but I don't think that that's the primary goal of  
11 this re-review process. Next slide, please.

12 So, this sort of mirrors the picture that  
13 I put up before with the art restoration. Again,  
14 when the advisory committee makes its  
15 recommendations initially, you know, the lay of  
16 the land may be a little uncertain. There's often  
17 times worry about potential harms or barriers to  
18 follow up as would be the dragons and the sea  
19 monsters and the reevaluation really gives the  
20 opportunity to better understand the geography of  
21 newborn screening and if there are unexpected  
22 issues or potential harms, things that could be

1 done to navigate around those. I think I've  
2 stretched out that analogy probably as far as I  
3 can go, but I hope that puts it into perspective.  
4 Next slide, please.

5           So, I'm going to stop a second and just  
6 want to leave questions for the advisory  
7 committee. Again, as Dr. Powell mentioned, not  
8 everything needs to be resolved right now. But I  
9 hope that we're able to have some discussion  
10 around these points.

11           So, first of all, what information would  
12 be most important for you to learn about from the  
13 re-review? What kind of findings would be helpful  
14 in general? In what ways could a re-review help  
15 guide improvements to the process of newborn  
16 screening and follow-up, especially the issues of  
17 long term follow-up? And then, finally in terms  
18 of a process thing, I'd be interested to hear  
19 members of the advisory committee weighing on  
20 whether or not they think that there ought to be  
21 just some sort of, you know, routine periodic  
22 reevaluation versus an ad hoc nominated process

1 based on things that might have changed since the  
2 initial evaluation.

3 And so, now that I've laid out the  
4 issues, Dr. Powell, I'll had things back off to  
5 you.

6 CYNTHIA POWELL: Thank you, Dr. Kemper.  
7 We'll now take questions and comments from  
8 committee members first followed by organizational  
9 representatives. As a reminder, please use the  
10 raise hand feature, and if anyone has problems  
11 with that, just either say something or raise your  
12 actual hand. I'll call on your in order of when  
13 you raised your hand. Please remember to unmute  
14 yourself, speak clearly, and state your first and  
15 last name before speaking.

16 Let's see, and I saw -- Kellie Kelm, did  
17 you have a question or comment? I couldn't tell.  
18 I saw your hand raised first, but I know you were  
19 having some problems raising your hand.

20 KELLIE KELM: No, maybe I found the raise  
21 hand button. But, no, I'm sorry, I don't.

22 CYNTHIA POWELL: Okay. All right. On my

1 screen, I'm just going to go to who I see right  
2 now. So, Scott Shone.

3 SCOTT SHONE: Thank you, Dr. Powell. So,  
4 Scott Shone from North Carolina. So, I -- I  
5 guess, Alex, they took the slide down. I think it  
6 might be helpful if we could -- I don't know if  
7 that would block Dr. Powell's view of who is in  
8 there, but I think putting those questions back up  
9 is helpful to think back.

10 But I think -- I'll start with frequency  
11 to review because that was the first thing what  
12 grabbed my attention was. So, later on this  
13 afternoon, I'll be presenting about the National  
14 Contingency Plan, and written into legislation is  
15 that it has to be reviewed every five years, where  
16 typically something like that is reviewed after a  
17 major change. I wonder, Alex, if we can thread  
18 the needle on both is that there is a frequency  
19 with which the committee looks at the whole panel  
20 just to see if there's anything of concern,  
21 interest, or change, but then also have built into  
22 that review some sort of assessment. I don't know

1 what it is, and you said we don't have to answer  
2 that today. But a new therapeutic -- a new  
3 technology to screen for the disorder or something  
4 else that we learn. So, I think that the answer  
5 is somewhere in between both, if possible, because  
6 I don't want to have to wait for a time, but also,  
7 it would be good to not forget that we need to  
8 look at it.

9 I think in terms of what do we need to  
10 know, I think there's a range of technical from  
11 the screening side itself, diagnostic, and  
12 obviously on the technical side, you know,  
13 recently -- or not even recently anymore -- there  
14 is a recommendation on SUAC and Tyrosinemia type 1  
15 and then you look at other types of, you know,  
16 targets.

17 Diagnostic -- therapeutic, but also, I  
18 always want to bring us back to, you know, the C  
19 in ACHDNC is children, and if we're going to be  
20 looking at -- looking at these disorders down the  
21 road, it might give the committee an opportunity  
22 to start making recommendations or looking at sort

1 of the transition of these kids into childhood and  
2 other recommendations associated with that.

3           So, while we might be looking at and  
4 considering on the newborn screening side of these  
5 disorders, perhaps this gives us the opportunity  
6 to stretch out legs into the mission of the  
7 committee beyond the newborn period of newborn  
8 screening itself. That's my comment.

9           KELLIE KELM: Thanks a lot. I'm going to  
10 just jump in there, Scott, while I have the floor.  
11 I think these are the slides for the questions for  
12 the next presentation, not this presentation.

13           CYNTHIA POWELL: All right. Next, Mei  
14 Baker.

15           MEI BAKER: Hi, Mei Baker, committee  
16 member. So, my question and comment is associated  
17 with the secondary condition or secondary target.  
18 I think we need to be very careful how we phrase  
19 that. I do believe when the new condition is  
20 nominated, so in our mind, it's screening for a  
21 condition. I think it's important to include the  
22 information when you use any markers screening

1 this condition, what else potentially can pick it  
2 up. I wouldn't use condition. The reason I can  
3 kind of give you some example -- for example, you  
4 use TREC for SCID. You may pick up some  
5 [indiscernible 34:04] deletion. This is a  
6 condition. But if we are using C3 acylcarnitine  
7 to identify the PAMMA, but you also may pick up  
8 the maternal B12 deficiency situation. So, the  
9 infant condition can be a maternal condition.

10 And also, let's say we use SMN1 Exon 7 --  
11 homozygous Exon 7 deletion to identify -- to  
12 screen for SMA. If you do not have SMA2  
13 [indiscernible 34:46] part of the program, you may  
14 pick up the infant with a homozygous Exon 7  
15 deletion with SMA2 copy number 67. Theoretically,  
16 that could happen, and this kiddo may not end up  
17 in treatment.

18 So, I think before -- ahead of time, when  
19 a nomination is going forward and based on the  
20 understanding we have how we can list the  
21 potential situation, I think is helpful. And I  
22 want to emphasize we may use wording carefully

1 because secondary condition means do you want them  
2 or you don't want them, and not everything is a  
3 disease state. Thank you.

4 CYNTHIA POWELL: Thank you. Michael  
5 Warren.

6 MICHAEL WARREN: Thank you. A couple of  
7 questions related to implementation that I'd want  
8 to think about. One is as we add new conditions  
9 incrementally, what are the implications for  
10 states as they are adding this to their panels?  
11 How are they funding that? How is that impacting  
12 resources that are required both from a laboratory  
13 standpoint but also the short-term follow-up  
14 standpoint on the public health side? I'm always  
15 curious, as I've shared with you all before, where  
16 there are opportunities for us on the federal  
17 public health side to think about how we supports  
18 states and what those needs are. Similarly, what  
19 are the gaps that states are seeing in terms of  
20 follow-up and access to care for conditions?

21 The second question I would have related  
22 to all of those things would be equity. And so,

1 I'm not just is screening being implemented and  
2 what's that process like, but what does that look  
3 like across populations and -- and are we doing  
4 this in an equitable way, whether that's by race  
5 and ethnicity, whether that's by rurality, but  
6 important for us to consider that after we look at  
7 implementation. Thank you.

8 CYNTHIA POWELL: Carla Cuthbert.

9 CARLA CUTHBERT: Hi. This is Carla  
10 Cuthbert here. I just want to share some comments  
11 that some of our CDC colleagues had when we were  
12 viewing some of these comments. So, one of my  
13 colleagues pretty much stated, you know, in terms  
14 of the information we would want most to have from  
15 the review, it was stated we want to be able to  
16 identify the gaps and obstacles to truly fulfill  
17 the "promise" of newborn screening essentially.  
18 So, again, this is something that is more of a  
19 wholistic view, which I think that we really  
20 understand.

21 Secondary conditions, I think -- and  
22 again, we don't want to be cavalier about this --

1 should any of them be upgraded to core, and we  
2 want to make sure that there is, you know, a  
3 rigorous process for that review, not just well,  
4 this looks good. That's not the process here.  
5 So, we want to make sure that perhaps that could  
6 be a question that could be discussed.

7 I want to concur with Mike Warren.  
8 Health equity did come up. That is something that  
9 -- that has been part of the conversation for  
10 quite some time now. Are certain populations  
11 being disproportionately managed? I think that we  
12 -- when we were thinking about this, we thought  
13 that the process of screening seems to be fairly  
14 equitable. However, the, you know, there may be  
15 parts that in the process that are less so. So,  
16 is there proper confirmatory testing and  
17 diagnostic capability available to certain -- to  
18 all populations? Continued care -- are there  
19 specific groups that are being lost to follow-up.  
20 If so, why and how? So, we're really -- that  
21 would really be helpful to inform really the  
22 impact of our process.

1           Long term follow-up -- again, we  
2 recognize that there are challenges with sustained  
3 funding for this. But again, looking at access to  
4 care, looking at patients that are lost to follow-  
5 up.

6           As a lab person, you know, I really  
7 wanted to -- I'm specifically interested in, you  
8 know, some of the state program challenges and  
9 successes, and I really want to emphasize  
10 successes because I think that in this  
11 conversation, we might just be looking for well,  
12 what's wrong. I think that we really should also  
13 recognize that as we have spent time doing  
14 screening for a number of years that the states  
15 may have really come up with really helpful  
16 efficiencies that are helping to make things  
17 better and to streamline their processes and to  
18 really recognize what we have done well and really  
19 balance that with what are the gaps and what do we  
20 need to really fix and change and so on.

21           So, for the challenges, identifying risks  
22 and issues, identifying perhaps ways to strengthen

1 testing and algorithms, interpretations, and that  
2 again, once we get information about outcomes can  
3 really truly feed back into the testing algorithms  
4 that we've got. So, that really should be used to  
5 help inform how we actually do some of the testing  
6 as multiplexing, second-tier tests and other ways  
7 that we're doing things, have those things been  
8 helpful.

9           So, again, idealistically, I think having  
10 some form of checklist that sort of mirrors the  
11 nomination prioritization in a package that we  
12 submit initially to sort of close that loop so  
13 that the things that we're looking at at the  
14 beginning are things that we're looking at to sort  
15 of see how have we done, have we done some of this  
16 fairly well.

17           Again, what, you know, these things will  
18 all help to guide improvements. And again, as a  
19 federal entity and a funder, really I'm looking at  
20 what -- where do we need to reapply resources to  
21 help with the states.

22           I want to concur with Scott. I really do

1 think that some form of hybrid in terms of the --  
2 of the review process would be helpful. I think  
3 that we need to be -- whatever we do, we need to  
4 be very intentional about it so that we're not  
5 leaving out some condition that's not terribly  
6 exciting to us at that point in time. So, we  
7 really do need to have some sort of regularity  
8 associated with the review. So, again, every  
9 three years or five years -- three years might be  
10 a little tough depending on what we're looking at.  
11 But, you know, maybe we can just work that in to  
12 the data that we're going to be collecting.

13           You know, I also do think that there  
14 might be opportunity to -- I like Scott's idea of  
15 prioritizing things that might look urgent now  
16 that need to be addressed now, and so, there might  
17 be an ad hoc element to this. But I also think  
18 that we may also be able to leverage any of the  
19 studies being done right at this point -- at that  
20 point in time to be able to bring to the table  
21 things that are relevant and that are concerning.  
22 I know that that was a lot, but I just wanted to

1 be able to share some of our thoughts. Thank you.

2 CYNTHIA POWELL: Thank you. Jeff Brosco.

3 JEFF BROSCO: Yeah, Jeff Brosco,  
4 committee member. So, just to remind everyone,  
5 this afternoon, the Treatment and Follow-up  
6 Workgroup will be dealing with these kinds of  
7 questions and then tomorrow, we also have the  
8 panel that talks about a lot of the same kind of  
9 overlapping things. And so, particularly for  
10 Carla and some of the others who have a lot of  
11 detailed stuff, if you could send me -- if you're  
12 written that out, that would be great because we  
13 want to make sure we cover that. Mostly, I'm  
14 trying to take notes.

15 I think the big message I would like  
16 folks to hear right now, though, is there are all  
17 sorts of things that we would love to know, and  
18 the question is, what does the advisory committee  
19 -- what's its role in following up on some of the  
20 conditions. And, you know, for example, Carla  
21 mentioned how important it is to do some of the  
22 lab follow-up, and that's an important thing.

1 Equity is clearly something that we're going to  
2 discuss this afternoon and tomorrow. Which of  
3 those things fall clearly on the advisory  
4 committee and which might be more a state function  
5 or professional organization function or a  
6 research function or a treatment group function?  
7 And I would say that this is probably -- the  
8 advisory committee should probably focus most on  
9 what we can learn about the process of the RUSP  
10 going forward and thinking all the questions we'd  
11 like to answer, that's probably the one that's  
12 most relevant to us as a group.

13 CYNTHIA POWELL: Thank you. Shawn  
14 McCandless.

15 SHAWN MCCANDLESS: Thank you, Cindy. I  
16 agree with many of the comments that have been  
17 made already, and I'll try to be brief, but I have  
18 quite a few notes.

19 It seems to me that the purpose of the  
20 review should be to document the goals of adding a  
21 particular condition to the newborn screening  
22 panel are being met, and that would involve

1 collecting certain types of data on a national  
2 basis, collecting it from all of the states, if  
3 possible. I think that that -- those -- the types  
4 of data that we would be specifically interested  
5 in would be looking for evidence of benefit of the  
6 newborn screening program, evidence of harms  
7 related to the newborn screening program, evidence  
8 of value of the newborn screening program, and  
9 that would include trying to have a good handle on  
10 sensitivity and specificity for the disorders,  
11 false alarm rates, cost, and other aspects that  
12 are related to the outcomes. We will be looking  
13 for new information that becomes available  
14 regarding natural history of the disease or the  
15 altered natural history after initiation of  
16 therapy, new therapies, unexpected consequences of  
17 therapies, and long term outcomes.

18 We would also want to, I think, have a  
19 mechanism for monitoring for sentinel events  
20 related to the process of newborn screening,  
21 things that we might not have been able to  
22 anticipate at the time that came up, but one-off

1 things that would point us toward taking a deeper  
2 dive at the time of this review.

3           And then finally, I would advocate that  
4 the reviews need to be regularly scheduled, but  
5 they're likely to be at different intervals,  
6 depending on the condition. And I think that if  
7 one were to look at PKU, you would want to -- if  
8 you were looking for outcome information and other  
9 follow-up information, that review you would want  
10 to do down the road in five or ten years. For  
11 Pompe where most children die at a year or two of  
12 life, a 3-year evaluation would be a perfectly  
13 appropriate time. So, I would advocate for some  
14 flexibility that's determined at the time that the  
15 program is initiated, when should the review be  
16 done.

17           And the last thought I had was that I  
18 think that the -- the review should be considered  
19 an evaluation, not necessarily -- I don't  
20 necessarily think we need to apply sort of the  
21 decision matrix to every review. But the review  
22 should -- should be done to confirm that we're

1 meeting the goals of adding a condition to the  
2 RUSP to being with, and if there is a question,  
3 it's raised in that process, then a matrix-based  
4 review of whether the recommendation needs to be  
5 changed or removed should follow on. That should  
6 be a decision that's made at the time. Thank you.

7           CYNTHIA POWELL: Thank you. Bob  
8 Ostrander.

9           ROBERT OSTRANDER: It took a second to  
10 unmute. This is Robert Ostrander, a key  
11 organizational rep. I'm going to try to stay  
12 focused on what I think is one of the biggest  
13 issues we need to talk about with this, and that  
14 is the unintended consequences of these public  
15 health measures that are based on small studies  
16 and pilots initially and specifically harms.

17           So, I think one of the main purposes of  
18 this should be to identify if unanticipated harms  
19 or harms out of proportion to benefit are found.  
20 So, I think there should be a focus, number one,  
21 of how we choose which conditions -- so, maybe  
22 we'll have a schedule for some, but I think

1 anything that as we, you know, periodically look  
2 through the list, whatever sort of workgroup does  
3 that, the folks in the room should say were there  
4 concerns about potential harms when this was added  
5 to the RUSP that we kind of decided did not weigh  
6 the reasons to add it or are there concerns now  
7 about unintended harms that would prompt a review  
8 on the ad hoc basis.

9 I think, you know, this happens. For  
10 those of in general medicine, we see this all the  
11 time. I mean, we've kind of gone in a big circle,  
12 for instance, in adults with PSA screening, you  
13 know, once we started screening for prostate  
14 cancer, and just like with these newborn  
15 screenings, we're suddenly detecting a whole lot  
16 more cases, and, of course, the cases we're  
17 detecting are the ones that are relatively milder  
18 and may not have needed treatment, and there was a  
19 lot of, you know, harm outweighing benefit once  
20 that got implemented. But I think there is  
21 potentially certainly with some of these  
22 conditions that have either milder forms or late-

1 onset forms that we may harm some folks. And that  
2 might be one reason to remove something from RUSP  
3 if it turns out the screening, as we did with  
4 prostate cancer sort for a while, or at least, you  
5 know, make sure that those caveats get in there.

6           So, you know, that really was my comment  
7 is we need to realize that early on -- I think  
8 early on, you know, be very aware that we're not  
9 causing harm outside of benefit because the  
10 implementation ratio didn't match the pilot ratio,  
11 probably because of increased case detection.

12           CYNTHIA POWELL: Thank you. Kamila  
13 Mistry.

14           KAMILA MISTRY: Kamila Mistry from AHRQ.  
15 Just a quick question. So, I like Jeff's note  
16 about, you know, really attaching our ideas around  
17 evaluation to the goal of the committee, because I  
18 think we can get very broad in terms of what we're  
19 doing and trying to really understand the swim  
20 lane or what those goals are, I think, can tighten  
21 sort of our purview and I think also help to curb  
22 some of the discussion or maybe ground some of the

1 discussion in the workgroups.

2           Also, I wanted to ask Alex a quick  
3 question around -- I think, Alex, you noted that  
4 the evaluation or the metrics, potentially as we  
5 could call them, would vary or could potentially  
6 vary depending on the condition. Is that -- did  
7 you -- is that what you said?

8           ALEX KEMPER: Yeah. I think what I meant  
9 was like the particular -- like the range of  
10 questions that would need to be asked might vary.  
11 So, you know, things you might ask for -- the PKU  
12 or the hemoglobinopathies might be different than  
13 Pompe disease, you know, again, I'm just picking  
14 on those conditions. You know, it's sort of the  
15 old guard versus the new guard or, you know, if  
16 there were particular issues about the  
17 availability of services or, you know, issues of  
18 disparities and those kinds of things. I could  
19 see where at the time the re-review began, the  
20 advisory committee might -- might, you know,  
21 charge us to look at particular things or look for  
22 the difference of, you know, specific things. So,

1 instead of like a one-size-fit-all sort of thing  
2 is what I think about. Does that make sense?

3 KAMILA MISTRY: Yeah. I just think there  
4 might be, you know, from evaluation perspective,  
5 there might be some systematic answer or core  
6 element that we sort of focus on and then, I  
7 guess, in addition to because I think that allows  
8 us to look at things broadly as a program as well  
9 in terms of our goals. Thanks.

10 ALEX KEMPER: Yeah, thank you.

11 CYNTHIA POWELL: Anyone else who hasn't  
12 had an opportunity to give their opinions or ask a  
13 question? Oh, let's see, Natasha Bonhomme.

14 NATASHA BONHOMME: Hello. I'm Natasha  
15 Bonhomme with Genetic Alliance. Thank you for  
16 this presentation. You know, I really just wanted  
17 to echo a lot of what has been said.

18 First, you know, I think really thinking  
19 about the review process and not necessarily the  
20 order of what conditions would be re-reviewed, but  
21 really making sure that it is -- I don't know if  
22 it's a mix or how we would prioritize it, but that

1 we aren't just focused on the newer conditions. I  
2 think there could be a lot learned from the  
3 conditions that we've been screening for decades  
4 at this point. You know, my mind definitely goes  
5 to Sickle Cell Disease and really seeing where are  
6 we at with the stats and how has that changed or  
7 ebbed and flowed over the years. So, I really --  
8 I think that would be important.

9           And then, I know that equity has come up  
10 a number of times, which is great. But I think  
11 really delving deeper and -- and I don't know if  
12 this is just -- well, I don't think it's just  
13 within the context of this question, but really  
14 broadly just what does equity look like in newborn  
15 screening and, you know, from a condition  
16 perspective but also from a whole process  
17 perspective. I think there is still some digging  
18 that needs to be done around that. I think often  
19 times, we do lean on when thinking about equity,  
20 we look at lost to follow-up, which unfortunately,  
21 sometimes those conversations go to well, why  
22 aren't parents coming back as opposed to saying

1 what are the either barriers in the system that  
2 are leading to some of those inequities. But I  
3 think that really taking -- using this as an  
4 opportunity to look at where are all those other  
5 gaps as Dr. Cuthbert was saying, I think could be  
6 a really good opportunity to go a couple of layers  
7 deep in newborn screening around equity, which I  
8 know is of great importance to this committee.  
9 So, thank you.

10 CYNTHIA POWELL: Thank you. Anyone else?  
11 Okay, I don't see anyone else. Thank you all very  
12 much for your thoughts and feedback about this  
13 important --

14 MAXIMILIAN MUENKE: Cindy, there was  
15 someone -- Debra Freedenberg had her hand up.

16 CYNTHIA POWELL: Oh, I'm sorry. I didn't  
17 see you, Deb. Go ahead, Debra Freedenberg.

18 DEBRA FREEDENBERG: Thanks. Yeah, I  
19 don't have a hand raise, so thank you.

20 I agree with a lot of what's been said in  
21 terms of what we should be thinking about in terms  
22 of review, and I think what I really had wanted to

1 bring up is that we have a lot of new advances on  
2 the "legacy conditions," and that as we think  
3 about review, we should incorporate the new  
4 therapies and technologies to evaluate our  
5 efficacy in terms of newborn screening and the  
6 conditions because on a lot of these conditions,  
7 when they were put on the RUSP, the newer  
8 therapies were not available, and I agree  
9 wholeheartedly with Natasha that we do need to  
10 look at it in terms of systematic failures that  
11 are out there and that, you know, not just left to  
12 follow-up but, you know, what is it within the  
13 system that's preventing that full equity that we  
14 all would like to see.

15           So, I just wanted to make a very brief  
16 comment. Sorry, I can't find a raise hand button  
17 anywhere in my system. So, sorry about that.  
18 Thanks.

19           CYNTHIA POWELL: Yeah. Yeah, I don't  
20 know, everyone's screen is a little different, but  
21 on mine, if you just kind of hover your mouse  
22 along the lower part of your screen, you'll see

1 it.

2 DEBRA FREEDENBERG: Yeah, I tried that.

3 CYNTHIA POWELL: If not, just shout out.

4 So, anyone else who can't raise their hand on the  
5 screen and has -- wants to make a comment?

6 Okay. Well, thank you all very much. I  
7 think, you know, clearly from our discussion at  
8 our last meeting and today, this is a very  
9 important thing, you know, that we're planning to  
10 do, and as, you know, we mentioned at the  
11 beginning, we will be looking at this in more  
12 detail. The workgroups this afternoon will have a  
13 chance to discuss it more, so we'll be able to get  
14 feedback from others who we're not able to hear  
15 from this morning.

16 Also, tomorrow, we're going to hear a  
17 round table discussion about some of the  
18 challenges in doing this and other considerations  
19 regarding longitudinal follow-up. I think, you  
20 know, certainly people have brought up important  
21 things regarding what the -- what information  
22 would be most important and, you know, I've heard

1 from several of you that, you know, thinking about  
2 the equity issues about, you know, what is the  
3 outcome of adding a condition to the RUSP and  
4 thinking about the, you know, specific condition  
5 that we're screening for as well as other  
6 conditions that we're -- we're going to pick up,  
7 looking at, you know some of the conditions on the  
8 secondary list and thinking about, you know,  
9 perhaps what, you know, whether they should be  
10 moved up to the -- to the primary list on the  
11 RUSP.

12           And I think also, you know, not drawing  
13 on everything again but, you know, how are states  
14 going to do this? You know, it may be easy for  
15 states to give information on, you know, the  
16 numbers screened and the numbers detected and  
17 numbers confirmed but, you know, I have concerns  
18 about longer term follow-up and how we're going to  
19 be able to get that information as well as how  
20 we're going to be able to pay for it.

21           So, you know, thank you very much. Let's  
22 see. Oh, just looking at some messages that I'm

1 getting from Mia. So, as I said, we're going to  
2 be discussing this further, and we'll report back  
3 to the committee with next steps for the review of  
4 conditions on the RUSP.

5 All right. Next, we're going to consider  
6 ways to strengthen the nomination process by  
7 exploring possible updates to the condition  
8 nomination form. Dr. Kemper will present on  
9 possible revisions to the nomination form  
10 including suggestions that have been provided to  
11 the committee within the past few years. These  
12 suggested revisions were included in the briefing  
13 book, and I'll now turn it back over to Dr.  
14 Kemper.

15 **CONDITION NOMINATION FORM**

16 ALEX KEMPER: Thank you very much. So,  
17 this is -- this presentation is just going to  
18 recap conversations we've had before around the  
19 nomination process, and I do have some questions  
20 to pose to you all at the end -- questions which  
21 you might have accidentally seen a second ago.  
22 So, I hope that didn't ruin the exciting mystery

1 at the end. Next slide.

2 So, the objectives of this part were to  
3 inform the advisory committee about ways to  
4 strengthen the overall decision-making process and  
5 develop future [indiscernible 59:50] again.

6 That's our overarching work that we're doing right  
7 now. Next slide.

8 And again, I just showed you this before.  
9 So, improving the nomination process is where we  
10 are now, and again, this fits into the overarching  
11 work that we're doing around strengthening the  
12 review process. Next slide.

13 And again, we did things before around  
14 the review process, and now we're talking about  
15 the nomination. Next slide.

16 So, this is a screen grab of the process  
17 for nominating a condition, which includes points  
18 to the nomination package and the next steps.  
19 Again, this is what's up on the advisory committee  
20 website. Can you advance, please?

21 And so, you all are well aware of the  
22 nomination process, but I just want to recap

1 things a little bit to help motivate the  
2 conversation about opportunities to strengthen it.

3           So, external nominators prepare the  
4 nomination package and they submit it to HRSA.  
5 HRSA then reviews the nomination package for  
6 completeness and works with the nominators if  
7 there are any major gaps in that process, and  
8 ultimately the package, once completed, goes to  
9 the Nomination and Prioritization Workgroup, which  
10 looks at issues related to the seriousness of the  
11 condition, whether or not there's a clear case  
12 definition, the analytic validity of screening,  
13 the potential clinical utility around screening,  
14 the available treatments, and whether or not there  
15 is prospective pilot data. Again, the advisory  
16 committee expects there to be some sort of pilot  
17 data before recommendation can be made.

18           The Nomination and Prioritization  
19 Workgroup assesses whether or not the requirements  
20 are met and whether or not there is likely  
21 sufficient evidence to move forward. It then  
22 presents this information to the advisory

1 committee, who then votes about sending it to the  
2 Evidence Review Group for a full review.

3           Once something is handed to the Evidence  
4 Review Group, the full evidence review is -- you  
5 know, we've discussed at many meetings the scope  
6 of that -- that process has to be completed in  
7 nine months. So, there's this critical time  
8 element to the process. Next slide.

9           So, the nomination process is really  
10 guided both by what is needed to move something  
11 along as well as the needs of the Evidence Review  
12 Group once something is handed off. So, the more  
13 complete something is, the more straightforward  
14 the evidence review process is, so, for example,  
15 making sure that the case definition -- the target  
16 of screening is clear and that kind of thing.

17           And so, the question has come up about  
18 how can the nomination process be more aligned to  
19 the evidence review process or even down the line,  
20 the decision-making process that the advisory  
21 committee would have to go through. So, again, by  
22 strengthening the information that comes in, then

1 the review process and ultimately the decision-  
2 making process can be streamlined and made more  
3 efficient.

4           So, the question has come up around, you  
5 know, what additional information could be there,  
6 and what information is important to have early in  
7 the process. And certainly, from reviews we've  
8 done like SMA, even though the experts are in the  
9 field, as it comes, you know, on the nomination  
10 process, it helps us to put together our technical  
11 expert panel.

12           There are a lot of things that could go  
13 into the nomination process that would expedite  
14 the review process. And, of course, moving  
15 forward, if the nomination form is modified, there  
16 will have to be work done to make sure that the  
17 nomination or the potential nominators and the  
18 public are aware of these changes and Dr. Powell  
19 talked about certainly if the nominal form and  
20 process were to change, that would not be  
21 retrospectively applied to the current nominators  
22 and put them at any sort of time disadvantage.

1 Next slide.

2           So, this table shows how the current  
3 nomination form looks and then potential areas of  
4 expansion. So, of course, it has the nominator's  
5 contact information, but -- and this has been a  
6 good starting place for us in terms of identifying  
7 who the experts are but explaining that would be  
8 helpful. The form provides information about the  
9 condition and the treatment, but the more granular  
10 that is, the easier that is in the evidence review  
11 process. You can see that the form asks about  
12 standard metrics regarding screening, but even  
13 more detail, if it's available, would be helpful  
14 including pilot study contacts, algorithms, and so  
15 forth.

16           The nomination form asks for key  
17 references from published articles. But providing  
18 even more information on available registries for  
19 the condition or if there is important unpublished  
20 data would be helpful.

21           So, you know, of course the tension is  
22 the degree to which the nomination form provides

1 all that information versus the potential work the  
2 nominators have to do. But having all the  
3 information that's listed on the righthand column  
4 would certainly facilitate the review process.  
5 Next slide.

6           So, actually, you know, could we go back  
7 to the previous slide for one thing? I neglected  
8 to say something. Even though the nomination  
9 form, you know, could be expanded to include these  
10 features, I don't want anyone to think that the  
11 advisory -- that the Evidence Review Group would  
12 take shortcuts in terms of not doing all of our  
13 usual due diligence in terms of looking for  
14 unpublished data, assessing the quality, and that  
15 kind of thing. So, again, this is just providing  
16 additional information to help point us in  
17 directions, but it wouldn't change our usual  
18 process in terms of looking for everything that we  
19 could possibly find and also assessing the  
20 qualities. So, certainly the work that we would  
21 do would go beyond this. Next slide, please.

22           So, in terms of opening this up for the

1 advisory committee, I'd ask you to reflect on  
2 whether or not the elements from the nomination  
3 form and what's needed for the evidence review  
4 line up, is there anything that's missing. And  
5 so, I'd appreciate your thoughts about it.

6           And then, if the nomination form does  
7 change, you know, what are the opportunities that  
8 are available to facilitate the nomination  
9 process. So, we don't want to make the nomination  
10 process burdensome or really, you know, more  
11 difficult to do than it is already. But we would  
12 like to capture this additional information if  
13 it's available, so making sure that we're able to  
14 be clear and communicate to our stakeholders and  
15 the public is obviously important, but I wonder if  
16 there are other opportunities that are out there  
17 that we're not thinking about that could  
18 facilitate the nomination process.

19           So, with that, Dr. Powell, I will hand it  
20 back to you to facilitate the discussion.

21           CYNTHIA POWELL: Thank you. Thanks, Dr.  
22 Kemper. We'll now take questions and comments

1 from committee members first, followed by  
2 organizational representatives. And once again, a  
3 reminder, please use the raise hand feature if you  
4 can. I'll call on you in order of when you raised  
5 your hand, although it's a little difficult from  
6 my screen to be able to tell whose first.

7 Remember to unmute yourself, speak clearly, and  
8 state your first and last name before speaking.

9 Okay, I'm just -- Mei Baker.

10           MEI BAKER: Hi. I think I'm going to try  
11 to plea my case one more time and kind of -- I  
12 think the nomination form, even now that it's done  
13 so, when we screen, I mean, nominate a condition  
14 for screening for and we also -- I would suggest  
15 asking information about if you use specific  
16 markers, what else you may also identify because I  
17 think -- I personally feel like a bonus and it  
18 doesn't do harm. But also, we should have  
19 prepared so the committee may have a harm. So, we  
20 need to be aware and when we [indiscernible] a  
21 condition, take this into consideration and  
22 additionally, an example I want to give to you,

1 for example, XALD and you identify Zellweger  
2 syndrome. In my mind, that's not bad. It's good.  
3 And so, it's why I try to avoid the use of the  
4 term to define the scenario. I think each  
5 scenario has a different scenario. But I think we  
6 should phrase the way to ask this kind of  
7 situation. Thank you.

8 CYNTHIA POWELL: Scott Shone.

9 SCOTT SHONE: Thank you, Dr. Powell.  
10 This is Scott Shone. So, I -- Mei, I think that -  
11 - so, that is asked, right? So, I guess, Alex,  
12 I'm trying to understand some of the tweaks you  
13 want to make to the form itself seem to be -- to  
14 help facilitate the evidence review, right? Not  
15 that we're talking here about changing the N&P  
16 process or what we're evaluating as part of N&P to  
17 evidence review, and before I quiet down, the  
18 reason I ask that is because having been part of  
19 the last few N&P reviews, I feel like we have --  
20 at least I have had a good line of what is part of  
21 our assessment, what are the questions that the  
22 Nomination and Prioritization Workgroup are

1 looking at to make a decision about them pushing a  
2 condition to the floor -- let me back up -- about  
3 recommending for the advisory committee to  
4 recommend for full evidence review.

5           And so, I have another question, but I  
6 just want to make sure I understood your  
7 presentation correctly that that's part of the  
8 goal here.

9           ALEX KEMPER: Yeah. And, you know,  
10 certainly, I don't want to step on the, you know,  
11 outside of my purview, and so I'll have Dr. Powell  
12 comment in a second. But, you know, there's a  
13 tension when you -- when the Nomination and  
14 Prioritization Workgroup evaluates something  
15 because there's going to be uncertainty regarding  
16 whether or not it should be added to the RUSP at  
17 the time something goes through the Nomination and  
18 Prioritization Workgroup. You know, we don't want  
19 the Nomination and Prioritization Workgroup to,  
20 you know, spend so much time trying to look at  
21 everything and then only put those things in  
22 where, you know, there's a high level of certainty

1 that something will be added to the RUSP, because  
2 that, you know, the whole point of the evidence  
3 review process is to kind of lay there everything  
4 that's known about newborn screening. And so, I  
5 would hate to push so much stuff to the Nomination  
6 and Prioritization Workgroup that they feel like  
7 they need to do a complete evaluation before  
8 things go through.

9           The lens that I was taking on it is both  
10 to provide additional information that may help  
11 you, you know, in terms of, you know, like you're  
12 full of certainty before you push things through.  
13 Again, are you up to that level that's 100  
14 percent? But also, just reflecting on the fact  
15 that we have nine months to put together a high-  
16 quality evidence review and the individuals who  
17 are nominating the condition are often times, you  
18 know, the national and the international experts  
19 in the condition. And so, to the degree that they  
20 can provide us more information to make sure that  
21 we can really come to a good case definition that  
22 we really know what's out there and what's not out

1 there would be really helpful.

2 So, I don't see this as like a wholesale,  
3 you know, disrupting of what the Nomination and  
4 Prioritization Workgroup does, which is already a  
5 difficult enough task.

6 Before I ask you whether or not I  
7 answered your question, I'll have Dr. Powell weigh  
8 in just to make sure that I didn't cross any lines  
9 that I'm not supposed to cross.

10 CYNTHIA POWELL: Yeah. No, I agree. I  
11 mean, I think it's not just information for the  
12 full evidence-based review but also for the  
13 Nomination and Prioritization Workgroup to  
14 consider as much information as is available, you  
15 know, and certainly published information is a lot  
16 easier to get at and review, you know, versus, I  
17 know Alex, especially for what you and KK do, you  
18 know, trying to dig up the gray data is very  
19 challenging and time-consuming. So, you know, we  
20 definitely want to work together with the groups  
21 that are putting forth nomination packages and I  
22 guess we'll be able to talk a little bit about

1 that in terms of some of the consumer-friendly  
2 materials that we hope to develop.

3 ALEX KEMPER: And just to build on that  
4 again, I don't want to make the bar so high for  
5 nominators that people are dissuaded from  
6 nominating because they feel like they need to  
7 have, you know, all this additional stuff that is  
8 really to help us know which path to go in. Does  
9 that -- Scott, does that answer your question?

10 SCOTT SHONE: Yeah. No, absolutely it  
11 does because I think that what -- I think that  
12 what you've added -- what you've recommended to be  
13 added to the form makes a lot of sense in that  
14 aspect. So, I agree with that. And I don't think  
15 it's an -- I don't -- my perception is it wouldn't  
16 be a significant burden to request that additional  
17 information because I actually think it will  
18 inevitably help conditions that are ready to move  
19 forward to evidence review, help the N&P group get  
20 to that decision.

21 I do feel that what we ask for now when  
22 nominators have the data and complete it

1 thoroughly is very -- I think it's very well  
2 thought out and the group that brought that  
3 together prior to me joining, I think should be  
4 commended for really how well that process was  
5 thought out in advance of evidence review. And I  
6 think that I'd like to commend HRSA and the team,  
7 who have done a lot of work around educating  
8 nominators and helping facilitate package  
9 production or giving feedback. We've had a couple  
10 recently where we've provided feedback, and I  
11 think it's great. So, the minor tweaks, I think  
12 this is a great quality improvement review and  
13 these minor tweaks that are being recommended, I  
14 feel only benefit without adding a substantial  
15 burden to the process.

16 ALEX KEMPER: Thank you.

17 CYNTHIA POWELL: Thanks. Jeff Brosco.

18 JEFF BROSCO: I agree with what Scott  
19 said. And Alex, I think that the original, you  
20 know, Scott and I have been in a bunch of the  
21 Nomination and Prioritization Workgroups over the  
22 last few years, and I think the process is pretty

1 good and HRSA has done a great job, and your  
2 suggestions are all excellent ones I think can be  
3 done.

4 I think tying this to the previous  
5 conversation we just had between 10 and 11:00, I  
6 think there's a critical piece we're missing and  
7 sort of minor piece that would be nice to add.  
8 And that critical piece is if you think about how  
9 Carla framed follow-up, "Are we fulfilling the  
10 promise of newborn screening?" and Shawn's was  
11 really nice too, "Are our goals being met?" I  
12 think we should ask the nominating group to say  
13 what are the goals and a couple specific  
14 measurements. So, is it merely mortality? Is it  
15 ventilator-free survival? Is it a quality of life  
16 measure? Saying here's how we know we've been  
17 successful. They're in the position because  
18 there's, you know, there's families, there's  
19 advocates, there are the world experts in those  
20 conditions, and they're probably in the best  
21 position to be able to say here's how we know  
22 we've been successful. That helps all the rest of

1 us sort of judge, okay with a likelihood of  
2 getting there and in longer term, seeing whether  
3 it's been true or not.

4 So, I think asking the nominating group  
5 to say what are the two or three or four key  
6 outcomes measures that we can look at and say yes,  
7 we hit a home run or we're not quite there yet  
8 would be really useful.

9 And then sort of a minor second thing is  
10 at least say something about the prospect of  
11 longitudinal follow-up. You know, we have this  
12 registry in place -- you sort of mentioned that --  
13 and here is how we could going forward keep track  
14 of whether we've met those goals or not. And I  
15 think we've mentioned in previous years before the  
16 lost year of 2020, that this wouldn't be part of  
17 the nomination scoring, right, because some groups  
18 obviously have a lot more resources than others,  
19 but at least saying we have a national registry,  
20 two-thirds of kids are in it, you know, we think  
21 this could keep going forward, and we'd be able to  
22 judge whether we met our goals or not.

1           ALEX KEMPER: That's very helpful. Thank  
2 you.

3           CYNTHIA POWELL: Thank you. Robert  
4 Ostrander.

5           ROBERT OSTRANDER: Yeah, hi. Robert  
6 Ostrander, AAFP. I'm going to just -- I thought I  
7 might be able to lower my hand when I saw Jeff up  
8 there talking about long term follow-up.

9           But there are two parts of the  
10 longitudinal follow-up. Question one of them is  
11 the data collection to see if we're meeting our  
12 goals and that would be wonderful to have -- to  
13 see if there's a plan in place -- if they could  
14 put that on the nomination form -- is, you know,  
15 like all the forms you fill out online, you know,  
16 it's optional or this field is not required. But  
17 I do recommend that we have a required field that  
18 says what -- not what the data gathering of  
19 longitudinal follow-up is -- but actually the care  
20 portion of longitudinal follow-up. And we on the  
21 Long Term Follow-up and Treatment Subcommittee run  
22 into -- we've had this sort of dichotomy

1 discussion about what do we mean by longitudinal  
2 follow-up. Do we mean data gathering and success  
3 or do we mean actually the clinical side.

4           And, you know, as a clinician, I always  
5 think about longitudinal follow-up as actually  
6 being the care. And I think there should be a  
7 requirement that there be some image of what  
8 happens after the initial intervention to follow  
9 these kids. It doesn't mean the system has to be  
10 in place, but I think the word we've used, you  
11 know, before our hiatus this year, you know, was  
12 some sort of a blueprint or a vision. If there  
13 isn't a blueprint or a vision of how that's going  
14 to happen -- how these kids are going to be taken  
15 care of after the initial intervention, assuming  
16 it's a one-and-done intervention, which some are  
17 and some aren't, or even, you know, the foods for  
18 the metabolic diseases. If there isn't a vision  
19 of how -- how that's going to be done, then I  
20 don't think that it's ready for addition to the  
21 RUSP. I mean, there has to be an idea of how  
22 that's going to happen, and I don't think the

1 pilot studies necessarily provide that, and that's  
2 what we're relying on right now for our evidence  
3 review.

4 So, I would like to see a line on there  
5 that has to have something in there of what our  
6 vision is for the treatment side of longitudinal  
7 follow-up as opposed to the data-gathering side of  
8 it if that makes sense to people.

9 CYNTHIA POWELL: Yes, thank you. Jed  
10 Miller.

11 JED MILLER: Yes. Hi, Jed Miller  
12 Association of Maternal and Child Health Programs.  
13 I think at one point last year, we had discussed  
14 the value of knowing the resources behind a given  
15 nominator and I know on the first page of the, you  
16 know, of the form there. It talks about the  
17 nominator and the organization, and then any other  
18 sponsoring organizations, and I'm just wondering  
19 based on that, you know, would there be value in  
20 having a disclosure of sorts to say this is how  
21 much, you know, money -- the total operating  
22 budget of this organization, for instance, and if

1 any money has been specifically allocated for this  
2 nomination process. And I'm wondering if that  
3 would give a better context for the nomination,  
4 and thinking about, you know, people who have  
5 fewer resources, whether that would help level the  
6 playing field, so to say.

7 And, you know, I might go so far to say  
8 one could argue that that top information could be  
9 redacted, right, from everybody else so that it's  
10 purely on the merits of what, you know, the rest  
11 of the package is and everything. I presume that  
12 some, you know, you could infer who perhaps  
13 nominated something. But I'm just thinking about  
14 that, you know, that context and seeing, you know,  
15 it seems like there's a lot of value in knowing  
16 what's behind, you know, a given nomination.  
17 Thank you.

18 CYNTHIA POWELL: Thank you. Melissa  
19 Parisi.

20 MELISSA PARISI: Hi. This is Melissa  
21 Parisi from NICHD/NIH. I just wanted to make a  
22 brief comment sort of following up what Mei Baker

1 was saying earlier and a couple others have  
2 commented on, which is the whole issue of the case  
3 definition.

4 I think that when a nomination packet is  
5 put forward, that the nominators do their best  
6 effort at case definition and, you know, I think  
7 that that's a very reasonable starting point, but  
8 I wonder if it's worth asking is there the  
9 potential for the case definition to be expanded,  
10 and by that I mean, you know, as we know from some  
11 of the examples given, such as XALD also picking  
12 up Zellweger Syndrome, and we know that X-linked  
13 SCID ended up being, you know, a much broader case  
14 definition.

15 In some situations, actually, the case  
16 definition gets more refined through the whole  
17 process of actually reviewing the evidence and  
18 then having experience with the condition as in  
19 the case of SMA. So, I'm just thinking that if  
20 there's some way to capture in maybe a speculative  
21 manner from the nominator, ways in which they  
22 think. There may need to be some modifications to

1 the case definition based on the experience that  
2 accumulates. That might be a helpful  
3 consideration as well because I think that, you  
4 know, we do our best when we're trying to  
5 anticipate a given condition's screening needs but  
6 then there's really nothing like the benefit of  
7 having more experience to help us understand a  
8 little bit better what exactly is being picked up  
9 on.

10 CYNTHIA POWELL: Thank you. Natasha  
11 Bonhomme.

12 NATASHA BONHOMME: Great. Thank you.  
13 Natasha Bonhomme. Two points that I think --  
14 actually, maybe they're one -- and my focus is on  
15 this last question in terms of opportunities to  
16 facilitate the process, and I think taking this on  
17 is really great and having this conversation is  
18 really important, but also to note not everything  
19 can be embedded in a form. And, you know, a lot  
20 comes from -- no matter how hard you try, a lot  
21 comes from conversations with other people and,  
22 you know, being able to go through and see how the

1 nominations have happened in the past. And so, I  
2 just want to be able to throw that out there.  
3 Probably, gosh, it may be almost close to eight or  
4 so years ago, Genetic Alliance was tasked as  
5 through some other funding to be a technical  
6 assistance around the nomination process, where  
7 people would just call in and say -- and I triaged  
8 a lot of those calls -- and a lot of times, it was  
9 like am I on the right path, I saw this group do  
10 this, I saw that group do that. And again, I say  
11 that just to say there are some things that can't  
12 be necessarily captured in a form.

13           And also, I wanted to comment on, you  
14 know, I really liked Jed Miller's idea in terms of  
15 other information that we could capture, and  
16 again, wanting to say that maybe there are things  
17 that we don't need to capture right at the  
18 beginning that, you know, these groups don't just  
19 magically disappear once they go through the  
20 nomination process. You know, there may be some  
21 types of data that we want to capture on the back  
22 end that will then help inform the next group that

1 goes around. So, not thinking of it just in terms  
2 of that one nomination process, that one  
3 nomination of that condition, but as a whole  
4 entire system and what can we learn and kind of  
5 iterate as we go condition by condition. So,  
6 those were the -- the main pieces that I just  
7 wanted to share. It doesn't have to be everything  
8 in this, even though it's really clear a lot of  
9 work and thought has gone into it.

10           CYNTHIA POWELL: Thank you. Anyone else  
11 who hasn't had an opportunity? All right,  
12 Annamarie is seconding what Natasha just said.  
13 Anyone who is having an issue with the raise hand  
14 feature who hasn't been able to comment? I'm not  
15 seeing anyone.

16           So, thank you all. You know, I think  
17 again this is also an important area. I've heard,  
18 you know, people say in the past like the  
19 nomination form is deceptively easy and there is a  
20 lot more that needs to go into it, although, you  
21 know, being on the other side and wanting to get  
22 as much information in a timely manner as

1 possible, you know, it is challenging, and we do,  
2 you know, benefit, I think, from having as much  
3 information as possible. I think, you know,  
4 overall people feel it sounds like, you know, it's  
5 -- we're just looking at some tweaking versus any  
6 type of major revision that it works quite well  
7 already. Thinking about issues of case  
8 definition, which is really something that we  
9 can't, you know, is often not available at the  
10 time the committee initially, you know, reviews  
11 things. But that will be important.

12           Shawn McCandless, I see you have your  
13 hand up.

14           SHAWN MCCANDLESS: Yeah, thanks, Cyndy.  
15 This is Shawn McCandless. You said something that  
16 I think triggered something that I've been  
17 reflecting about recently, and I'm relatively new  
18 to the committee, so I may not really fully grasp  
19 the intricacies of the mission and the expectation  
20 of this committee. But it does seem to me that  
21 the nomination form is not so much -- it's not  
22 sort of one group of people asking another group

1 of people to do something for them. It's really a  
2 way of formally initiating a relationship, a  
3 process that you're going to enter together with  
4 the committee and the nominator to develop a  
5 package of information and evidence that's needed  
6 to -- for the committee. The committee ultimately  
7 has the responsibility to make the decision. The  
8 nominators have the responsibility to support the  
9 committee and help gather the evidence that's  
10 needed as much as they can with lots of resources  
11 available through Alex's group and others.

12           But to me, I think it's really important  
13 that we keep in mind that -- that it's not -- it  
14 shouldn't be a competitive or it should be viewed  
15 very much as a relationship-building opportunity  
16 of mechanism, and therefore, it's not sort of a  
17 you put it in and then you cross your fingers and  
18 wait to see what happens, but rather you put it in  
19 and you enter into a relationship and start this  
20 process together to collect data and come to a  
21 decision.

22           CYNTHIA POWELL: Cate Walsh Vockley.

1           CATE WALSH VOCKLEY: Hi, Cate Walsh  
2 Vockley, National Society of Genetic Counselors.  
3 In the interest of seconding comments, I wanted to  
4 second Jeff Brosco's comment about including  
5 information about goals of newborn screening,  
6 particularly because this gives an avenue or an  
7 opportunity to get some of the parents'  
8 perspectives since they are involved in the  
9 nomination process. We hear very different  
10 perspectives from folks like the Newborn Screening  
11 Round Table, and I think definition of goals might  
12 give us a broader perspective from the parents'  
13 side, and I think that's really important.  
14 Thanks.

15           CYNTHIA POWELL: Thank you. Mia  
16 Morrison.

17           MIA MORRISON: Thanks, Dr. Powell. This  
18 is Mia Morrison, Designated Federal Official, and  
19 I think that this has been mentioned, but I'd just  
20 like to reiterate that HRSA and Dr. Powell, we're  
21 available to provide technical assistance to  
22 groups that are nominating conditions, and I think

1 that Scott Shone mentioned earlier that we have  
2 been providing TA to different nominating groups  
3 earlier this year. So, I just wanted to state  
4 that.

5 CYNTHIA POWELL: Thank you. And as with  
6 our earlier topic, you know, the workgroups this  
7 afternoon will have an opportunity to discuss this  
8 and hear from workgroup members who otherwise may  
9 not have had an opportunity to give their opinions  
10 and provide feedback. And then, we'll hear  
11 tomorrow morning from the workgroup chairs or co-  
12 chair about that.

13 And let's see, anyone else? Let me just  
14 double check my list here. Okay. All right.  
15 Let's see. So, we're running a little bit ahead  
16 of time, but that's okay. We can go on. I was  
17 just going to give a brief presentation about some  
18 of the consumer-friendly materials that we're  
19 hoping to develop, and I can see those slides.  
20 Thank you.

21 **CONSUMER-FRIENDLY GUIDANCE MATERIALS**

22 CYNTHIA POWELL: All right. So, as part

1 of this effort working with the group from HRSA  
2 and others, hearing all of your feedback, we plan  
3 to develop educational resources directed to  
4 newborn screening stakeholders on the nomination  
5 and evidence review processes. You know, we  
6 understand that the nomination form is complex and  
7 as Mia just said, you know, getting feedback ahead  
8 of time, you know, we think is very helpful and  
9 certainly, you know, possible. I think what  
10 happens more often is that, you know, the  
11 nomination package is submitted and then, you  
12 know, HRSA goes over it very carefully and lets  
13 people know what -- what information is missing  
14 and sometimes, you know, that's a quick fix,  
15 sometimes it may be take longer.

16 So, we will be incorporating changes from  
17 review of the evidence review process and hope to  
18 have some frequently asked questions or list  
19 available on the website, and we welcome, you  
20 know, additional feedback from other stakeholders  
21 regarding what, you know, might be most helpful in  
22 this.

1           Any -- any additional comments or  
2 suggestions about this process? I saw something.  
3 Okay. Let me just check. Let's see, Natasha, did  
4 -- did you have a comment about this or something?  
5 I see your hand up, but it might be from before.

6           NATASHA BONHOMME: Yeah. I didn't know  
7 if you wanted comments on this piece in terms of  
8 consumer-friendly materials yet or if you were  
9 still going in to add more slides. So, sorry.

10          CYNTHIA POWELL: No, I don't think we  
11 have any more slides. So, go ahead.

12          NATASHA BONHOMME: Yeah, obviously this  
13 is a topic I'm passionate about. But, of course,  
14 I'm really excited to see that this effort is  
15 underway, though I know it's been something that's  
16 been discussed for quite some time. So, I think  
17 this will be really great and really value add. I  
18 don't know who the right person would be to share  
19 this, but, you know, in I believe it was 2016 or  
20 '17, Alex, KK, and I had a number of different  
21 draft materials really focused on, you know, how  
22 do we explain the nomination process. We had some

1 text and some diagrams. You know, happy to share  
2 that. Just let me know who to forward those  
3 materials to. But I'm just wanting to say that  
4 we're, you know, excited to be able to see this  
5 part happen because it's really important to make  
6 sure that the consumers and people who are  
7 actually going through this process have these  
8 types of resources. So, thank you for this  
9 effort.

10 CYNTHIA POWELL: Thank you. Anyone else?  
11 Okay. Let's see. Let me just check with Mia.  
12 We're running a little ahead, but probably nobody  
13 would be too disappointed to have a longer break.  
14 Is that what we want to do, Mia?

15 MIA MORRISON: Yeah. I think we can just  
16 give everyone a longer break and we'll still plan  
17 on reconvening at 12:35 for public comment.

18 CYNTHIA POWELL: Sounds good. Thank you.

19 **BREAK**

20 CYNTHIA POWELL: Welcome back, everyone.  
21 I'm going to take attendance again. So, I'll call  
22 the roll. Kamila Mistry. I think she's going to

1 be a little delayed. So, she'll be back. She'll  
2 be rejoining us shortly. Mei Baker.

3 MEI BAKER: Here.

4 CYNTHIA POWELL: Jeff Brosco. Kyle  
5 Brothers.

6 KYLE BROTHERS: Here.

7 CYNTHIA POWELL: Jane DeLuca.

8 JANE DELUCA: Here.

9 CYNTHIA POWELL: Carla Cuthbert. I think  
10 she may be a bit delayed getting back also.  
11 Kellie Kelm.

12 KELLIE KELM: Here.

13 CYNTHIA POWELL: Michael Warren.

14 MICHAEL WARREN: Here.

15 CYNTHIA POWELL: Shawn McCandless.

16 SHAWN MCCANDLESS: Here.

17 CYNTHIA POWELL: Melissa Parisi.

18 MELISSA PARISI: Here.

19 CYNTHIA POWELL: I'm still here.  
20 Annamarie Saarinen. I think she was possibly  
21 going to be a little late too, so. Scott Shone.

22 SCOTT SHONE: Here.

1 CYNTHIA POWELL: Robert Ostrander.  
2 ROBERT OSTRANDER: Here.  
3 CYNTHIA POWELL: Debra Freedenberg.  
4 DEBRA FREEDENBERG: Here.  
5 CYNTHIA POWELL: Max Muenke.  
6 MAXIMILIAN MUENKE:  
7 CYNTHIA POWELL: Steven Ralston.  
8 STEVEN RALSTON: Here.  
9 CYNTHIA POWELL: Jed Miller.  
10 JED MILLER: Here.  
11 CYNTHIA POWELL: Susan Tanksley.  
12 SUSAN TANKSLEY: Here.  
13 CYNTHIA POWELL: Chris Kus.  
14 CHRISTOPHER KUS: Here.  
15 CYNTHIA POWELL: Shakira Henderson.  
16 SHAKIRA HENDERSON: Here.  
17 CYNTHIA POWELL: Jennifer Kwon.  
18 JENNIFER KWON: Here.  
19 CYNTHIA POWELL: Jacob Hogue.  
20 JACOB HOGUE: Here.  
21 CYNTHIA POWELL: Natasha Bonhomme.  
22 NATASHA BONHOMME: Here.

1           CYNTHIA POWELL: Siobhan Dolan. Cate  
2 Walsh Vockley.

3           CATE WALSH VOCKLEY: Here.

4           CYNTHIA POWELL: Georgianne Arnold.

5           GEORGIANNE ARNOLD: Here.

6           CYNTHIA POWELL: Okay. Anybody who's  
7 joined in the last minute or so that didn't get a  
8 chance to answer before? Okay, thank you.

9           So, now, we're going to go onto public  
10 comments. In accordance with the Federal Advisory  
11 Committee Regulations, members of the public had  
12 the opportunity to register to provide written and  
13 oral public comments. The committee received one  
14 written public comment on newborn screening for  
15 WHIM, W-H-I-M Syndrome, an immunodeficiency  
16 condition. We received eight requests to provide  
17 oral public comment. Please note that three of  
18 the individuals who will speak also submitted a  
19 written summary of their remarks, which were  
20 distributed to the committee.

21           First, we will hear from Brittany  
22 Hernandez, and I'll give you the order of

1 speakers. Brittany Hernandez, Dr. Donald Bailey,  
2 Mike Hu, Dylan Simon, Alyssa Seager, Debra Green,  
3 Niki Armstrong, and last Kimberly Tuminello and  
4 Heidi Wallace. So, first we'll hear from Brittany  
5 Hernandez.

6 **PUBLIC COMMENT**

7 BRITTANY HERNANDEZ: Thank you, Dr.  
8 Powell, and thanks to the committee for the time  
9 today. I will be brief. I want to provide a  
10 short update on the reauthorization status in  
11 Congress of the Newborn Screening Saves Lives Act.  
12 As everyone here knows, the authorization for the  
13 program lapsed in 2019. I have had the pleasure  
14 of working with other stakeholders across the  
15 newborn screening community towards a positive  
16 reauthorization of the program. I am pleased to  
17 report that the reauthorization bill has been  
18 introduced in the House side by our longstanding  
19 champion Lucille Roybal-Allard of New York, and we  
20 anticipate quick introduction on the Senate side  
21 by the same Senator Maggie Hassan here relatively  
22 soon.

1 I also wanted to share some information  
2 provided by March of Dimes regarding funding  
3 levels. So, for 2021, both HRSA and the NSQAP got  
4 \$1 million increases and we are continuing to  
5 advocate for 2022 for robust increased funding for  
6 the work across HRSA and the work that all of you  
7 are doing. If there are any questions from the  
8 committee members or anyone else on the call about  
9 the status of the Newborn Screening Saves Lives  
10 Reauthorization Act, I will be happy to take them,  
11 and I am easy to find at the Muscular Dystrophy  
12 Association. So, thank you very much.

13 CYNTHIA POWELL: Thank you very much for  
14 the update.

15 Next, we'll hear from Dr. Don Bailey.  
16 Don, are you available?

17 MIA MORRISON: Dr. Bailey, if you're on  
18 the line, please raise your hand.

19 CYNTHIA POWELL: Here he is. We can't  
20 hear you, Don.

21 MIA MORRISON: LRG, is it possible to  
22 unmute Dr. Bailey?

1           VINCENT: Unfortunately, it's not on our  
2 end. We're looking okay over here.

3           MIA MORRISON: Okay. Thank you, Vincent.

4           Dr. Powell, why don't we go to the next  
5 public comment, and hopefully Dr. Bailey can  
6 troubleshoot while we hear from our next  
7 commenter.

8           CYNTHIA POWELL: Okay. We'll hear next  
9 from Mike Hu.

10          MIKE HU: Thank you, Dr. Powell, for the  
11 opportunity to speak here. Hello, everyone. My  
12 name is Mike Hu. I'm a father of three boys. Ten  
13 years ago, my two older sons were diagnosed with  
14 MPS2, which I'm sure you are familiar with, as  
15 it's RUSP nomination is being reviewed.

16          In my boys' case, a single point mutation  
17 caused the key lysosomal enzyme IDS to completely  
18 lose its function and large pseudomolecules  
19 accumulate in other bodily tissues leading to  
20 organ malfunctions, developmental delay, and will  
21 eventually lead to premature death.

22          Diagnosed around the same time at the age

1 of 4 and 1, both boys have undergone nearly a  
2 decade of standard enzyme replacement therapy, and  
3 the younger one has so far fared much better both  
4 physically and developmentally. One key  
5 difference is his early diagnosis at the  
6 asymptomatic stage, thanks to his brother's  
7 diagnosis, and the early start of treatment when  
8 physical and neurological damages were limited.

9           Imagine if they were both diagnosed as  
10 newborns and treated immediately. Our journey  
11 with MPS2 has turned me into a devoted advocate.  
12 Three years ago, I resigned from my full-time job  
13 as a molecular diagnostic test developer to focus  
14 on newborn screening initiatives, which I believe  
15 is key to systematically winning the battle  
16 against devastating genetic disease like MPS2.

17           As a parent, I deeply believe in the  
18 collective effort and landmark work by the ACHDNC  
19 since its inception. As an advocate and a  
20 diagnostic industry veteran, I believe there's a  
21 lot more we can do to improve the system and help  
22 more babies and their families. We're witnessing

1 the takeoff of exponential growth in technology  
2 and therapeutic innovations. What has happened in  
3 the past decade was unimaginable ten years ago.  
4 To stay ahead of the curve, we must start making  
5 systemic changes that can help accommodate  
6 disruptive technology advancements and maximize  
7 the therapeutic benefits of these innovations.

8 I appreciate the effort from the  
9 committee to have started reviewing the process  
10 for improvements. Other comments which we will  
11 hopefully hear from Dr. Don Bailey and Ms. Alyssa  
12 Seager have some excellent points about the RUSP  
13 nomination system. I will not provide any  
14 spoilers, but I do want to emphasize how critical  
15 it is for us to revamp the system now.

16 The current newborn screening system was  
17 established out of the needs for fundamental  
18 changes and has been a textbook example of  
19 systemic innovation. It is up to all of us to  
20 keep up the spirit, embrace the new challenges,  
21 and enact changes to make possible a brighter  
22 future when all affected babies will get timely

1 diagnosis and treatment and lead better lives.

2 Thank you.

3 CYNTHIA POWELL: Thank you very much. I  
4 think we're able to hear Don Bailey now. Don, can  
5 you go ahead?

6 DON BAILEY: Yes. Can you hear me now?

7 CYNTHIA POWELL: Yes.

8 DON BAILEY: Great. Good afternoon.

9 Sorry about that. Thank you for the opportunity  
10 to speak today. I think I know most of you. I'm  
11 Don Bailey, Distinguished Fellow at RTI  
12 International and a former member of this  
13 committee for six years.

14 Today, I'm pleased to represent a  
15 consortium of rare disease leaders that are  
16 collaborating to identify ways that newborn  
17 screening can prepare for a likely rapid expansion  
18 of transformative treatments including cell and  
19 gene therapies in the coming decade.

20 In September of 2020, the consortium,  
21 which includes EveryLife Foundation for Rare  
22 Diseases and leading rare disease companies

1 announced an independent effort to evaluate the  
2 capacity of newborn screening in the United States  
3 to provide timely diagnosis of all newborns who  
4 may benefit from new treatment if and when such  
5 treatments are approved for use in the United  
6 States.

7 My team and I at RTI, one of the world's  
8 leading nonprofit research institutes will  
9 complete the first phase of the project. It's a  
10 study of the strengths and limitations of the  
11 current system. The study team has convened five  
12 panels with an outstanding group of key  
13 stakeholders across the newborn screening spectrum  
14 to assess the readiness of the system to keep pace  
15 with medical innovation and to identify potential  
16 solutions that meet the needs of people with rare  
17 disease.

18 So, the study -- we're moving very  
19 quickly. The study will be completed about May of  
20 this year when preliminary findings will be  
21 available and published. The consortium is very  
22 pleased, as you can imagine, that the committee

1 continues to consider recommendations for  
2 strengthening your processes and the system at  
3 large, and we sure hope that the findings of our  
4 modernization assessment will inform and support  
5 your efforts.

6           If the committee wishes, I would be  
7 pleased to present a full report of findings and  
8 recommendations at a future committee meeting.  
9 So, thanks very much for allowing me to speak  
10 today.

11           CYNTHIA POWELL: Thank you. Next, we'll  
12 hear from Dylan Simon.

13           DYLAN SIMON: Thank you, Dr. Powell. I  
14 would like to thank the committee for providing  
15 the opportunity to address you today. Again, my  
16 name is Dylan Simon, and I'm the Newborn Screening  
17 Policy Manager at the EveryLife Foundation.

18           The EveryLife Foundation is a nonprofit,  
19 nonpartisan organization dedicated to empower the  
20 rare disease patient community to advocate for  
21 impactful science legislation and policy that  
22 advances the equitable development of

1 [indiscernible 29:31] diagnosis, treatment, and  
2 cures. Today, I want to give you an update on our  
3 recent newborn screening initiatives.

4           At the federal level, as Brittany  
5 discussed, we've been part of leading the rare  
6 disease community coalition efforts dedicated to  
7 the passage of the Newborn Screening Saves Lives  
8 Reauthorization Act. We are excited that the  
9 House reviewed this legislation on January 25th,  
10 beginning the vital process of working to ensure  
11 that this important piece of legislation is  
12 finally signed into law. Later this month, we are  
13 hoping to convene with nearly 800 rare disease  
14 community advocates through our Rare Across  
15 America event, during which time they will have  
16 the opportunity to educate the representatives on  
17 the importance of newborn screening and seek their  
18 support for legislation.

19           We will continue to work with the rare  
20 disease community to ensure that the impact of  
21 passage of this legislation will have on patient  
22 communities as well as understood by policy

1 makers.

2           We also remain focused on shortening the  
3 timeline between when a condition has been added  
4 to the RUSP and what is screened for at the state  
5 level. As those present are aware, patient  
6 advocacy organizations worked for decades with  
7 community leaders to develop the evidence  
8 necessary to ensure that RUSP nomination packages  
9 are ready for review by this committee. Once  
10 conditions have met the evidentiary requirements  
11 of that condition, those same organizations and  
12 patient communities then face an additional hurdle  
13 of state implementation, a process that requires  
14 significant resources and many more years. The  
15 newborns go undetected and lack access to life-  
16 saving therapies and innovations.

17           The EarlyLife Foundation and RUSP  
18 legislation ensures that states must screen for  
19 all RUSP conditions within a specified amount of  
20 time following a condition's addition to the RUSP,  
21 while also ensuring that there's a long term  
22 planning choice for a newborn screening program to

1 facilitate this implementation. Within states  
2 where this legislation has passed, we have worked  
3 with the state labs and legislators to ensure that  
4 both resources and timeline requirements meet the  
5 needs of each program.

6 We have already successfully passed  
7 legislation in California and Florida and this  
8 year we will be pursuing similar legislation in  
9 Arizona, Georgia, Ohio, and North Carolina.

10 Lastly, in December, we convened a panel  
11 to review the impact of COVID-19 on newborn  
12 screening programs as part of our 2020 workshop.  
13 We heard from state labs and state leaders and are  
14 in the process of [indiscernible 31:28] to a  
15 manuscript. One central theme throughout our  
16 discussion was the importance of considering  
17 newborn screening as an essential service to  
18 ensure that there's a plan in support of the  
19 programming times of public health emergency and  
20 that the plan must encompass a full range of  
21 services rather than just be focused on emergency  
22 planning for laboratory services. We look forward

1 to continuing discussions to identify changes we  
2 need to make in emergency preparedness planning  
3 and to ensure that the positive lessons that we  
4 have learned from COVID can be integrated into our  
5 policy moving forward.

6 I want to thank you again for the  
7 opportunity and we are excited for all the great  
8 work that's occurring within the newborn screening  
9 space, and we're looking forward to working with  
10 you to continue how to effectively navigate and  
11 engage within the community. Thank you so much.

12 CYNTHIA POWELL: Thank you. Next, we'll  
13 hear from Alyssa Seager. Is Alyssa available?  
14 Ms. Seager? I think not. We'll go on to Debra  
15 Green if she's available.

16 ALYSSA SEAGER: Hi, I'm here. Can you  
17 hear me?

18 CYNTHIA POWELL: Yes.

19 ALYSSA SEAGER: Thank you. So, hi. I'm  
20 Alyssa Seager from the ALD Alliance, and I'll be  
21 speaking on behalf of the EveryLife Foundation.

22 Dear Chairwoman Powell and members of the

1 Advisory Committee for Heritable Disorders in  
2 Newborns and Children. On behalf of the over 30  
3 million Americans living with rare diseases, the  
4 EveryLife Foundation is pleased to offer the  
5 following comments to inform the advisory  
6 committee's ongoing conversations about the review  
7 process for new RUSP nomination packages.

8           To inform our policy work, we convene the  
9 Community Congress, a forum for collaboration  
10 representing over 200 individual rare disease  
11 patient advocacy organizations in addition to over  
12 90 other health care and biotechnology  
13 organizations. Our Newborn Screening and  
14 Diagnostics Working Group is dedicated to ensuring  
15 that patients receive their earliest access to  
16 lifesaving opportunity. Newborn screening is the  
17 first step in reaching a diagnosis, preventing the  
18 diagnostic odyssey that impacts too many. It  
19 eliminates disparities in accessing a diagnosis,  
20 ensuring equitable access to earlier intervention  
21 and treatment. This provides individuals with the  
22 right to a healthy life, continually proving that

1 newborn screening is one of the most successful  
2 public health programs in the country.

3           Over the next decade, we anticipate an  
4 increase in the number of RUSP nomination  
5 submissions. Since the creation of the RUSP,  
6 patient organizations have led the nomination  
7 effort for multiple conditions, often spending  
8 years generating the evidence needed to submit a  
9 successful nomination. While efforts continue,  
10 the current requirements make it impossible to  
11 bring forth RUSP approvals fast enough to keep  
12 pace with the opportunities innovation is bringing  
13 to our community.

14           We are aware that the committee is  
15 working to evaluate how conditions are reviewed.  
16 As you consider recommendations for improvement,  
17 we urge you to consider the following significant  
18 challenges.

19           Successful RUSP nominations require  
20 prospective population-based pilots that may cost  
21 millions and take years to complete, which is not  
22 fusible for many patient organizations. Without

1 newborn screening, it is challenging to satisfy  
2 criteria regarding treatment and intervention  
3 metrics in the absence of early diagnosis. The  
4 same challenges associated with developing a  
5 treatment for rare disease will exist when  
6 assessing the benefit of newborn screening.  
7 Disease rarity, heterogeneity, and other disease-  
8 specific considerations may impact the ability to  
9 assess the benefit of newborn screening within a  
10 population.

11           Recognizing the significant workload of  
12 the committee and the pipeline of conditions that  
13 may be nominated, we urge you to consider the  
14 following to accelerate the review of new  
15 disorders to the RUSP. The assessment of the  
16 benefit of screening for new conditions should  
17 recognize the challenges above. Accepting a  
18 degree of uncertainty regarding the amount of data  
19 available following the approval of a treatment or  
20 intervention and include other sources such as  
21 patient and community insights.

22           The FDA may approve an indication broader

1 that the population studies in clinical trials.  
2 The committee should consider opportunities to  
3 leverage FDA decision-making regarding the benefit  
4 of treatment in infants and young children to  
5 create a central database to track incoming data  
6 for conditions planning to submit a condition to  
7 the RUSP. This will provide the ability to better  
8 evaluate long term outcomes for RUSP conditions.

9 We are sincerely grateful for all of the  
10 work and dedication to our rare communities. The  
11 EveryLife Foundation and our Community Congress  
12 Newborn Screening and Diagnostics Working Group  
13 are ready to support your work, and we look  
14 forward to engaging with you over the next coming  
15 months. Thank you.

16 CYNTHIA POWELL: Thank you. Is Debra  
17 Green on? If you could raise your hand to be  
18 identified so that we can get you unmuted. No?  
19 All right. We'll go on to Niki Armstrong.

20 NIKI ARMSTRONG: Hello. On behalf of  
21 Parent Project Muscular Dystrophy, thank you for  
22 the opportunity to speak today. My name is Niki

1 Armstrong, and I serve as the Newborn Screening  
2 Program Manager for PPMD. I am pleased to be  
3 presenting here about our Newborn Screening  
4 Duchenne Pilot in New York state. For the last  
5 seven years, PPMD has been leading a national  
6 effort to build a newborn screening infrastructure  
7 for Duchenne in the US aimed at developing the  
8 evidence to support Duchenne newborn screening.  
9 This initiative and the associated collaborations  
10 have resulted in multiple publications as well as  
11 diagnostic tools and resources for primary care  
12 providers and families. Our Duchenne effort has  
13 convened experts and established the partnerships  
14 required to implement nationwide newborn screening  
15 for Duchenne. PPMD's Duchenne Newborn Screening  
16 Program incorporates expertise from leaders within  
17 NIH, HRSA, SCA, CDC, AAP, the American College of  
18 Medical Genetics and Genomics, past CMD pilots,  
19 the broader newborn screening community, and the  
20 Duchenne community.

21 As a result of all these collaborative  
22 efforts, in October of 2019, we initiated a

1 Duchenne Newborn Screening Pilot in New York  
2 state. The pilot was designed to set up,  
3 validate, and conduct a consented pilot screen for  
4 infants born at select hospitals in New York state  
5 and utilize this tool, resources, and expertise at  
6 PPMD, the Newborn Screening Translational Network,  
7 and the New York State Department of Health.

8 Out pilot is being funded through a  
9 unique model. PPMD has convened a pre-competitive  
10 consortium of biopharmaceutical industry partners  
11 with a commitment to early diagnosis and  
12 intervention in Duchenne. Consortium members  
13 currently include PPMD, PTC Therapeutics, Sarepta  
14 Therapeutics, PerkinElmer, Solid Bioscience, and  
15 Pfizer, Inc. The pilot is guided by a steering  
16 committee comprised of representatives from  
17 federal agencies, provider groups, and from key  
18 Duchenne's stakeholder communities. The pilot is  
19 utilizing the FDA-approved CK-MM assay.

20 More than 20,000 babies have been  
21 screened in the state of New York as of the end of  
22 2020 and three newborn boys with Duchenne Becker

1 and one female carrier have been identified.  
2 Families with a child with Duchenne or Becker are  
3 followed in the health system's associated  
4 Multidisciplinary Neuromuscular Clinic. Parents  
5 complete surveys to provide their input on the  
6 family's perspective. The pilot has now surpassed  
7 the one-year mark and continues to demonstrate the  
8 unwavering commitment of our partners and strength  
9 of the infrastructure, even as it proceeded at  
10 ground zero of the pandemic.

11 We are so grateful to the leadership  
12 within New York state, within the state  
13 laboratories, the birthing centers, the specialty  
14 clinics, and the primary care provider sites. The  
15 ability of the teams to pivot, to continue to  
16 enroll families by changing to remote enrollment,  
17 and then to see the families via telehealth showed  
18 extraordinary dedication. We are grateful to all  
19 those working with us to ensure that the babies  
20 identified through this program are receiving the  
21 most immediate expert and comprehensive follow-up  
22 care possible.

1           Today, we would like to extend our  
2 gratitude to the families, experts, and partners  
3 who have helped us get this far. With four  
4 approved therapies and a research pipeline filled  
5 with potential therapeutic interventions, newborn  
6 screening will provide optimal opportunities for  
7 care and treatment in Duchenne. Our Duchenne  
8 Newborn Screening Pilot in New York state is an  
9 exciting and critical next step in improving  
10 outcomes for children with Duchenne. Thank you.

11           CYNTHIA POWELL: Thank you. And finally,  
12 we'll hear from Kimberly Tuminello and Heidi  
13 Wallace.

14           HEIDI WALLACE: Hi, good morning. My  
15 name is Heidi Wallace, and I'm the parent of two  
16 children with GAMT deficiency. My oldest daughter  
17 is 17, and she was diagnosed at 5 and suffers from  
18 intellectual disability and is not independent.  
19 My 9-year-old son was diagnosed at birth, and he  
20 is in every way a typical 9-year-old. I'm also  
21 the president of the Association for Creatine  
22 deficiencies and I work in the Utah Public Health

1 Lab in the Newborn Screening Informatics Program.

2           And I'm here today with good news. We  
3 have successfully identified a baby with GAMT  
4 deficiency in newborn screening in Utah and for  
5 just a little history lesson for anyone who is new  
6 on the committee, we were -- GAMT was nominated  
7 five years ago for consideration and in that very  
8 same meeting, was when the new criteria were  
9 created prior to the vote. And unfortunately, the  
10 one true positive was the criteria that we did not  
11 meet, and a lot of committee members kind of felt  
12 like their hands were tied by those rules they had  
13 just voted to implement. And so, by one vote we  
14 did not move forward, and so, we've spent five  
15 years hoping for one baby to be identified, and  
16 so, we are hopeful to be back in May and have a  
17 vote on GAMT.

18           And just a little more background on  
19 GAMT. It can be -- it is detected by elevated  
20 guanidinoacetate, which can be multiplexed with  
21 existing amino acid and acylcarnitine screening.  
22 It does not require an additional punch of blood,

1 it does not require an additional instrument or  
2 laboratory technician. It's frequently referred  
3 to as a no-brainer for newborn screening. The  
4 treatment is over-the-counter supplements that  
5 cost less than \$100 for the first year of life.  
6 We have children who have grown up diagnosed since  
7 birth because of siblings, and so, we have good  
8 evidence that this is not, you know, impossible  
9 treatment. It's a very successful treatment and  
10 we're excited to be back in May.

11           And I do want to just address that  
12 criteria. I know that the committee is  
13 considering changing the criteria and I want to,  
14 just on behalf of all of the very rare disease  
15 groups like ours, tell you the difficulty that  
16 that criteria places on us. We are rare. We have  
17 a small community. So, we don't have a lot of  
18 money. Our treatment is over-the-counter, safe,  
19 and effective, but it's not backed by a big pharma  
20 company who is paying for pilots and paying for  
21 advocacy work and what not. And so, for a rare  
22 disease that is such a no-brainer, slam-dunk

1 disease in every other way, this criteria has been  
2 very, very difficult, and unfortunately, we have  
3 even come to know families who have been born  
4 since five years ago whose children have displayed  
5 symptoms and have been diagnosed the hard way.

6           And so, I would encourage, you know, deep  
7 thought about that one criteria. When we came  
8 five years ago, there was a very thorough research  
9 paper written by Dr. Marcia Pasquale, and many  
10 experts have told us there is more data and  
11 scientific evidence in that paper -- in that  
12 research that Dr. Pasquale did than in finding one  
13 child. It, you know, it -- the diagnosis did  
14 confirm the levels that we have seen in reserve  
15 dried blood spots and retrospectively testing  
16 those. So, you know, it -- it reaffirms what we  
17 know, but it did not reveal anything new. And so,  
18 we're just really hopeful that that's one of the  
19 criteria that is being reconsidered so that the  
20 committee's hands aren't tied and best decisions  
21 can be made for other disorders down the road.

22           So, we look forward to coming back in May

1 and thank you for your time.

2           CYNTHIA POWELL: Thank you. Is Kimberly  
3 Tuminello also going to speak? Can you raise your  
4 hand if you're on the call. Okay. I think we  
5 need to move on. Thank you all very much for your  
6 comments today, and we look forward to further  
7 discussions.

8           We're going to move on to our next  
9 session on Continuity of Operations Planning or  
10 COOP and COVID-19. The pandemic has highlighted  
11 emerging needs and COOP planning for state newborn  
12 screening programs. For example, most COOP plans  
13 do not incorporate strategies to address the  
14 prolonged and widespread impact of disruptions  
15 caused by the current public health emergency.  
16 This panel, comprised of representatives from the  
17 Texas, North Carolina, New York, and North Dakota  
18 newborn screening programs will highlight their  
19 experiences with COOP planning and enactment as  
20 well as future considerations.

21           After we hear from the four panelists  
22 presentations, there will be an opportunity for

1 committee members and organizational  
2 representatives to ask questions and engage in  
3 discussions. Excuse me.

4           Before I turn it over to our first  
5 speaker, I'd like to introduce today's panel. Dr.  
6 Susan Tanksley is the APHL organizational  
7 representative and the laboratory operations  
8 manager in the laboratory services section of the  
9 Texas Department of State Health Services in  
10 Austin. She manages the day-to-day operations of  
11 Texas Public Health Laboratory, which encompasses  
12 the state newborn screening, clinical chemistry,  
13 microbiology, environmental chemistry, and  
14 emergency preparedness laboratories. Dr. Tanksley  
15 received a Ph.D. in genetics from Texas A&M  
16 University and has been certified as a high-  
17 complexity laboratory director through the  
18 American Board of Bioanalysis since 2005. She is  
19 also the committee's organizational representative  
20 from APHL.

21           Dr. Scott M. Shone, a current committee  
22 member, is the director of the North Carolina

1 State Laboratory of Public Health. He is a board-  
2 certified, high-complexity clinical laboratory  
3 director trained in molecular microbiology and  
4 immunology. Dr. Shone spent nine years as the  
5 director of the newborn screening laboratory for  
6 the state of New Jersey. During his tenure, the  
7 program expanded screening from 20 to 55 disorders  
8 and maintained essential services during multiple  
9 states of emergency. Dr. Shone is a member of the  
10 Newborn Screening Technical Expert Panel for the  
11 Clinical and Laboratory Standards Institute.

12 Joyal Meyer began her employment with the  
13 North Dakota Department of Health in November  
14 2011. She has served as the director of the North  
15 Dakota Newborn Screening and Follow-up Program  
16 since January of 2015. She has experience working  
17 with the maternal and child health population and  
18 Optimal Pregnancy Outcome Program and the  
19 Coordinated School Health Program. She has worked  
20 in both the hospital and clinic setting with the  
21 prenatal population and has provided nursing care  
22 to mothers and their newborns following their

1 birth. Joyal's educational experience includes a  
2 Bachelor of Science and nursing from MedCenter One  
3 College of Nursing in Bismarck, North Dakota and a  
4 Master of Science and nursing administration from  
5 the University of Mary in Bismarck, North Dakota.

6 Finally, we'll hear from Dr. Michele  
7 Caggana, who is the deputy director of the  
8 Division of Genetics, chief of the Laboratory of  
9 Human Genetics, and the director of the Newborn  
10 Screening Program in New York. She is board  
11 certified in clinical molecular genetics by the  
12 American Board of Medical Genetics and a fellow of  
13 the American College of Medical Genetics and  
14 Genomics. She is involved in many newborn  
15 screening efforts and works with the CDC and the  
16 APHL. She is the chair of the APHL Newborn  
17 Screening Committee and a member of the National  
18 Advisory Child Health and Human Development  
19 Council.

20 I will now turn it over to  
21 Dr. Tanksley.

22



1 will be talking about the Continuity of Operations  
2 Plan as well as his experiences in North Carolina.  
3 And Joyal Meyer will be giving her perspective on  
4 COOP from North Dakota. And then Dr. Michele  
5 Caggana will be speaking about her experiences  
6 during the pandemic in New York. Next slide.

7           So, just a reminder of what Continuity of  
8 Operations Planning is, and this is from the  
9 Newborn Screening Contingency Plan Version 2. So,  
10 the COOP for newborn screening program and its  
11 public health lab should have two basic features.  
12 One being a comprehensive pre-identified list of  
13 core testing to support activities -- basically,  
14 kind of a list of everything that you need to have  
15 in place if you have to rebuild. And then  
16 secondly, an actual plan of action to ensure that  
17 those core activities can be continued without  
18 delay. Next slide.

19           And I borrowed this slide from Stan  
20 Berberich, and when we think about emergencies --  
21 and that's what we're really planning for with  
22 Continuity of Operations -- in the context of

1 newborn screening, it's not just disasters. There  
2 are the big things like our current pandemic, like  
3 hurricanes that have been faced, but it could be  
4 even small things. For instance, we had to  
5 implement our COOP because there was an FDA recall  
6 on a kit that we were using for second-tier  
7 testing, and so, the ability to continue operating  
8 to continue testing. And so, an emergency for  
9 newborn screening is anything that can prevent  
10 timely identification or adequate intervention for  
11 babies who are born with the disorders that we are  
12 screening for. Next slide, please.

13           So, I want to take you back to 2005 when  
14 Hurricane Katrina hit Louisiana and Iowa was able  
15 to provide emergency newborn screening for the  
16 residents of Louisiana with those babies. And so,  
17 and I borrowed some slides, again from Stan  
18 Berberich, and so, I want to thank him for sharing  
19 those with us.

20           So, Hurricane Katrina hit New Orleans on  
21 August 29th of 2005. On the 30th is when the  
22 levees were breached, and then on the 31st of

1 August is when the Louisiana Public Health Lab  
2 realized that they had to have help. And they  
3 instituted the EMAC, which is the Emergency  
4 Management -- it's a compact -- and Iowa responded  
5 to that on September 1st. During that time frame  
6 between September 1st when you look down there, as  
7 early as September 7th, Louisiana sent the first  
8 batch of specimens. So, it's a very -- it's about  
9 a week timeframe, but if you consider the fact  
10 that there wasn't an actual agreement between  
11 Louisiana and Iowa at that time, the immense  
12 amount of work that had to happen during the  
13 timeframe. On September 6th is when Iowa was  
14 selected and the details were finalized. But the  
15 very next day is when Louisiana sent that first  
16 batch of specimens to Iowa. Next slide, please.

17           And so, because there wasn't a plan ahead  
18 of time, all of the logistics had to be worked out  
19 in that very short timeframe. So, some of the  
20 details are these gaps that are listed here.  
21 Just, you know, the day-to-day operations, how  
22 would specimens get sent to Iowa, which facilities

1 would they be receiving them from, what do they do  
2 if they have missing information or rejected  
3 specimens, how are they going to report results?

4           And then, they had to acknowledge a lot  
5 of things and decide how they were going to handle  
6 those differences. So, they had -- there were  
7 different disorders that the two states were  
8 screening for. They had different testing  
9 methodologies. There are policies that may differ  
10 between states such as babies who have been  
11 transfused, when a baby is collected and what that  
12 might -- how that impacts an actual test result.

13           And then, from the perspective of Iowa,  
14 how do you rapidly increase your throughput? Next  
15 slide, please.

16           And so, another detail that had to be  
17 worked out was the legal construct for the  
18 agreement between Louisiana and Iowa, and they  
19 chose to use the Emergency Management Assistance  
20 Compact, EMAC, and EMAC is a congressionally  
21 ratified organization that provides the form and  
22 structure for interstate mutual aid. The most

1 important issues that EMAC resolves is the  
2 liability issue and then the reimbursement. So,  
3 how will the state that's doing the work for  
4 another state actually be paid for that? And that  
5 agreement was activated in one day. So, next  
6 slide.

7 So, after the emergency situation is over  
8 or at least the emergency state part of it is  
9 over, it's always important to have an after-  
10 action discussion and note the lessons learned.

11 And so, these were some of the initial  
12 lessons learned that the state of Iowa put  
13 together.

14 First of all, it can be done. Even  
15 though there wasn't a plan in place to begin with,  
16 they were able to work together and rapidly make  
17 those decisions and initiate the testing in Iowa  
18 for Louisiana.

19 There is capacity within the newborn  
20 screening community, and EMAC was probably the --  
21 the biggest lesson learned from Hurricane Katrina,  
22 and you've probably heard Stan talk about EMAC and

1 its importance, and he definitely stressed that  
2 with newborn screening programs around the nation.  
3 Next slide.

4           So, the partnership that was formed  
5 between Iowa and Louisiana was very successful.  
6 But it served as a reminder of the urgent need for  
7 states to establish COOP plans. And just a  
8 reminder that every emergency is going to have its  
9 own fingerprint. It, you know, every situation is  
10 going to differ to some degree, and although you  
11 have a plan, it will require adaptive creativity  
12 to implement it. Even though the planning that  
13 took place between Iowa and Louisiana was  
14 extremely short, it was essential that those  
15 decisions be made -- be worked out ahead of time.  
16 Next slide.

17           So, New Jersey had learned a lot from  
18 Louisiana's experience with Hurricane Katrina and  
19 so, Scott Shone was the director in New Jersey at  
20 that time, and he had talked with Stan Berberich  
21 about EMAC, and they had -- and New Jersey had  
22 started to meet with EMAC about its potential use

1 in the case of an emergency. The Public Health  
2 Lab had been placed organizationally within  
3 emergency preparedness and had recently moved onto  
4 the campus of the New Jersey State Police, which  
5 also housed the Regional Operations Emergency  
6 Center. So, there were a lot of things that came  
7 together and as Scott had entitled this slide,  
8 Preparedness, Luck, and Serendipity, because it  
9 was just amazing how these things had come  
10 together, not without planning.

11 NYMAC had also been working on a regional  
12 COOP plan as well. And in addition, New Jersey  
13 Newborn Screening Program, who was contracting  
14 with UPS for courier services, had started having  
15 discussions with UPS about COOP, and it just so  
16 happened that the representative for UPS at the  
17 time was pregnant, and so, she had a very -- she  
18 was very engaged in newborn screening.

19 So, at that time, New Jersey didn't  
20 actually have a formal COOP in -- a formal COOP  
21 developed, but basically everybody had begun  
22 talking about it. Next slide, please.

1           So, on October 25th of 2012, is when the  
2 New Jersey Newborn Screening Program started to  
3 realize that Hurricane Sandy just may impact them.  
4 And so, at that time, the program started planning  
5 what they would do if they had to shut down. And  
6 so, they started a lot of key discussions with  
7 different partners. You know the message, newborn  
8 screening is essential, and they started having  
9 discussions about how would they get samples to  
10 the lab if the infrastructure that they had set up  
11 in the state shut down. Next slide.

12           So, on October 29th is when the storm  
13 started to come onshore and they were pretty much  
14 now just not in an impact zone but a direct hit.  
15 Because they had had so many discussions with UPS  
16 ahead of time, UPS had already decided that they  
17 were shut down, but they did make provisions to  
18 deliver to the lab that day. So, that day the New  
19 Jersey program had 19 staff in the lab, and they  
20 managed to wrap up all the testing of all  
21 specimens that they had in the lab so that there  
22 wouldn't be any samples left over. And they had

1 to begin planning for alternate specimen delivery  
2 options because they had already been told that  
3 UPS was shutting down. They were already shut  
4 down, but they had made that like last delivery to  
5 the program, but that they would have to find  
6 alternate delivery if they were going to receive  
7 any specimens. And so, they began having  
8 discussions with the New Jersey State Police.  
9 Next slide, please.

10 And so, this is a picture of the New  
11 Jersey lab where newborn screening occurs, and  
12 that's what it looked like on October 30th or I  
13 should say prior to Hurricane Sandy. Next slide.

14 And then this is a shot from inside the  
15 lab on October 30th and those are solar panels  
16 that are over the atrium, and there was glass  
17 everywhere, and the building was on emergency  
18 generator power. So, staff went in and assessed  
19 the building so they could determine the impact of  
20 the storm and whether they would be able to  
21 process. Next slide.

22 At the same -- so, during this timeframe,

1 you can see the impact of Hurricane Sandy on New  
2 Jersey. Next slide.

3           So, on October 30th also, the Regional  
4 Operations Emergency Center was in full  
5 activation, and Governor Christie was there along  
6 with many other people, and one of those  
7 individuals happened to be the Commissioner of  
8 Health for New Jersey, which was very fortunate.  
9 At that time, Governor Christie approved the use  
10 of State Troopers to get specimens to the lab.  
11 There was communication out through the New Jersey  
12 Hospital Association and that hospitals were to  
13 transport specimens to the Regional Medical  
14 Coordinating Centers and then the New Jersey State  
15 Police transported the specimens to the laboratory  
16 at 4:00 that day. There were only 7 staff who  
17 could make it into the lab that day, but they  
18 worked hard to get all the specimens processed  
19 that they could. Not all hospitals were able to  
20 get samples to those medical coordinating centers  
21 that day, but they did get a lot of specimens that  
22 day. Next slide, please.

1           So, looking at the rest of the week,  
2 things started -- the lab took over communication  
3 the very next day with the hospitals. Follow-up  
4 staff had to move to -- relocate to the laboratory  
5 because their building was shut down. And  
6 additional staff showed up to work on the 32nd  
7 [sic.] UPS resumed delivery except for a few  
8 hospitals on November 1st. And then on November  
9 2nd, there were only two hospitals that remained  
10 effective and normalcy had returned to the lab.  
11 Next slide, please.

12           Again, after any critical event, it's  
13 important to have after-action reports so that you  
14 can record lessons learned, and some of those  
15 lessons learned are recorded on the right side of  
16 the slide there from the newborn screening  
17 perspective.

18           And so, it's just really important to  
19 note those things because those are the details  
20 that you then take back to your Continuity of  
21 Operations Plan and build into it. Next slide,  
22 please.

1           So, this slide is just a reminder to  
2 everyone out there that newborn screening is a  
3 system. It's not just the newborn screening  
4 program. There are so many partners that are part  
5 of the process. And the newborn screening program  
6 itself can only control one part, and that's the  
7 program. This holds true for COOP as well. And  
8 so, the newborn screening program can't be the  
9 only party within a COOP plan. There has to be  
10 communication between and across all of the  
11 partners. Next slide, please.

12           So, if you break down the newborn  
13 screening process, you can see where some of those  
14 system partners fit in. And so, in the  
15 preanalytical aspect as well as the postanalytical  
16 aspect, you know, we have all of those clinics and  
17 hospitals and the courier involved, and it's just  
18 that little part at the top where the newborn  
19 screening program between the laboratory testing  
20 and follow-up program, that's the piece that --  
21 that we, as newborn screening programs, can  
22 control. But it's incredibly important to have

1 communication across all of those system partners.

2 Next slide, please.

3           So, with COVID-19, we've been facing a  
4 very different problem -- a very different  
5 emergency situation for the last year than anyone  
6 has ever really planned for. And there's been  
7 pandemic planning but in a lot of those  
8 situations, I know that we planned for having  
9 staff out, but we didn't plan for a lot of the  
10 situations that have been faced as far as the  
11 shortages are concerned.

12           And so, APHL has been in conversation  
13 with newborn screening programs regarding issues  
14 faced with the pandemic from very early on. And  
15 so, we decided to gather impact and to develop a  
16 survey so that we could get more information from  
17 newborn screening programs.

18           So, the results of this survey are  
19 included in the meeting materials that Mia sent  
20 out most recently. So, if you haven't had a  
21 chance to look at that yet, you can see the full  
22 results from the survey, but I'll go over briefly

1 a few parts of the results.

2           So, the survey was fielded from November  
3 2nd to the 24th of last year. It was sent to all  
4 newborn screening programs in the states as well  
5 as District of Columbia, Puerto Rico, and Guam for  
6 a total of 53 programs. Multiple responses were  
7 allowed per state to capture the laboratory  
8 follow-up and other perspectives. So, 34 total  
9 programs responded, and that breaks down to 11  
10 states that submitted multiple responses and 23  
11 states who submitted a response that combined both  
12 laboratory and follow-up -- or follow-up, sorry.  
13 And so, next slide, please.

14           So, one of the questions asked about  
15 issues with transportation or courier, and there  
16 were a few states who noted that they didn't have  
17 any issues with transportation or courier;  
18 however, you can see from the slide that -- that  
19 there were many who experienced delays with postal  
20 service or private couriers, and one of the  
21 specific issues or some of the specific issues  
22 noted were changes with pickup and delivery

1 schedules or locations. Next slide.

2           So, other transportation or courier-  
3 related issues had to do -- and this is quotes  
4 from a couple of the surveys -- where there is a  
5 change in processes in a hospital or birthing  
6 facility that caused delays because specimens were  
7 misplaced because of that change in the process.  
8 In another situation, there were specimens that  
9 were lost because of changes in courier personnel.  
10 Next slide.

11           And almost every state who responded  
12 faced -- all but one who responded -- has faced  
13 some sort of staffing challenge throughout the  
14 pandemic. Many states have noted that they had  
15 staff that were redistributed to focus on COVID  
16 efforts. States have experienced staff who  
17 retired early, who changed jobs. Because of the  
18 vast demand for laboratory staff, there's been an  
19 incredible competition created for that, and so,  
20 we've experienced definitely in our lab in Texas  
21 where we've lost experienced staff because they --  
22 they've been able to go to another company who can

1 pay more than we can because they're experienced  
2 and can go straight in and do COVID testing for  
3 another company.

4 We had staff who had problems with  
5 homeschooling and with child care since so many  
6 schools shut down and many schools are still shut  
7 down or children are still virtual -- doing  
8 virtual learning. There have been hiring freezes,  
9 which just makes the problem worse. And furloughs  
10 is a similar issue. So, next slide, please.

11 Some of the other staffing challenges  
12 that were noted were really low morale among  
13 staff, and we've had discussions about -- about  
14 morale and among different members of APHL.  
15 Teleworking is something that is, I would say,  
16 fairly new for almost every newborn screening  
17 program where in a lot of cases, follow-up staff  
18 especially were sent home to do their work, so  
19 they had to change processes in a very short  
20 amount of time to adapt to going, for example,  
21 from a paper-based system to paperless-type  
22 systems, even if they had -- even -- even when you

1 have computer systems, there's still a lot of  
2 things that were done via paper and fax that have  
3 now changed fully electronic.

4 Of course, there have been staff who have  
5 tested positive or family members who have tested  
6 positive, which causes the inability to work.  
7 Transportation issues when transportation has been  
8 shut down. And technology issues for those who  
9 are teleworking from home. Next slide, please.

10 One of the biggest impacts that we've  
11 been talking about recently and really was the  
12 impetus for this survey is some of the supply  
13 shortages that have been created, most notably  
14 there's a worldwide shortage of plastics. And so,  
15 consumables made with plastics are in really high  
16 demand, and pipette tips, in particular, have been  
17 an extreme concern for newborn screening programs.  
18 So, it's fantastic that we've implemented  
19 molecular technologies in our screening processes.  
20 But it's almost caused parts of the newborn  
21 screening programs to shut down due to that supply  
22 shortage and so, there's a lot of creativity

1 that's been introduced to be able to continue  
2 testing. And so, in addition to pipette tips, you  
3 know, also microtiter plates and in addition to  
4 that, even -- even the ability to get service on  
5 instruments has been limited and delayed because  
6 of the incredible demand created by the demand for  
7 COVID testing. Next slide, please.

8           So, even -- even when newborn screening  
9 is considered an essential service, there are  
10 different parts of the program that may be  
11 prioritized differently, and this was a quote from  
12 one of the respondents who said that even within  
13 their newborn screening program that their long  
14 term follow-up wasn't considered a priority  
15 program within newborn screening, and most of  
16 their follow-up staff had been reassigned to COVID  
17 duties, which had put an incredible strain on them  
18 to continue tracking the information that they had  
19 been working on so diligently. And they said they  
20 continued to advocate with leadership and be  
21 involved in COOP planning, but it's difficult to  
22 negotiate and change our priority level in the

1 middle of this pandemic response. Next slide.

2           So, how do we ensure that newborn  
3 screening is considered a priority and is  
4 considered essential and so, these are some of the  
5 responses that were noted. Communication with  
6 leadership through Continuity of Operations  
7 Planning, by engaging external stakeholders, and  
8 some noted just by establishing newborn screening  
9 as an essential service within the health  
10 department.

11           There have been concerns expressed more  
12 recently since the survey was fielded about  
13 individuals who have to work onsite, such as in  
14 the lab, and being considered essential from the  
15 stance of for the purpose of vaccine distribution.  
16 And so, again, the whole how do we -- how do we  
17 ensure that newborn screening is maintained as a  
18 priority, an essential service, and everything  
19 that should go with that? Next slide, please.

20           So, throughout this timeframe, as I  
21 mentioned, APHL has been talking to its members  
22 and developing resources and putting information

1 together. And so, this is just a list of some of  
2 the resources that have been put together.  
3 Throughout every committee and subcommittee and  
4 workgroup, there have been discussions about COVID  
5 impact, about COOP planning. APHL has reached out  
6 individually when they've heard of issues. There  
7 have been hot topics webinars that have been put  
8 together. Examples of that are things about like  
9 how do you staff during a pandemic, how do we  
10 improve the safety of our workers, managing staff  
11 during the pandemic. And their website has all of  
12 the information compiled on this as well as the  
13 Listserv that is extremely active for real-time  
14 information sharing. Next slide.

15           This is just a picture of the NewSTEPS  
16 website and information on COVID-19 is on the top  
17 banner, and so, it's easily located regardless of  
18 whether you have a log-in for NewSTEPS or not.  
19 Next slide.

20           So, I want to end by listing some of the  
21 resources that are currently available to newborn  
22 screening programs. So, the Emergency Management

1 Assistance Compact, again, that is what was  
2 utilized by Louisiana for assistance after  
3 Hurricane Katrina. There are state-specific  
4 health alert networks and each state has one,  
5 which may have a prescribed template that all  
6 programs must follow. Hospitals must have COOP  
7 plans. And then the Newborn Screening Saves Lives  
8 Act specifically mentions the National Contingency  
9 Plan for Newborn Screening and the most recent  
10 version, not the one that was just introduced, I  
11 haven't seen it, but the last one did have the  
12 requirement for National Newborn Screening  
13 Contingency Plan and Scott Shone will be talking  
14 about that next along with his perspective for  
15 North Carolina. And that's my last slide, thank  
16 you.

17 SCOTT SHONE: Great. Okay, thank you.  
18 Good afternoon, everybody, and thank you to Susan  
19 for a great overview and for telling the New  
20 Jersey story. Great job, Susan. I just wanted to  
21 -- I don't know if people can see this on my  
22 camera, but Susan showed that picture of the glass

1 -- the solar panel shattering. This is a piece of  
2 glass I picked up when I walked into the lab that  
3 day and took that picture. I still have it in my  
4 office as a reminder. It in no way prepared me  
5 for what we've been going through last year. But  
6 it's always a reminder of how things can go wrong.

7           So, for my presentation today, I'm going  
8 to wear really two hats -- committee member and  
9 speak my thoughts as to what we should do as the  
10 advisory committee with respect to the contingency  
11 planning and as a state lab director as my role  
12 here in North Carolina, and I actually was going  
13 in a chamberesque fashion wear two hats and change  
14 them on and off, but I -- as most of you know --  
15 I'm incredibly vain about my hair, so I ditched  
16 the hats today.

17           And so, before I begin, I do want to  
18 acknowledge all of my colleagues in the public  
19 health labs across the country as well as all of  
20 our partners, whether they are follow-up partners  
21 throughout our Divisions of Public Health or  
22 others. This has been an unbelievable time, and I

1 appreciate the committee giving us time to talk  
2 about our lessons learned while we're in the  
3 middle of it as well as APHL for allowing me to  
4 speak. So, next slide, please.

5           Just some real quick background. Susan  
6 mentioned the Newborn Screening Saves Lives Act.  
7 While the original act from 2007 directed CDC with  
8 HRSA and state agencies to develop a National  
9 Contingency Plan to be used by states, regions, or  
10 consortium of states in the event of a public  
11 health emergency. And in 2008, CDC and HRSA  
12 pulled together a workshop including federal  
13 partners, state public health programs, a variety  
14 of stakeholders to develop the initial CONPLAN  
15 Version 1, which Susan mentioned. And so, that  
16 was published in 2010. Next slide, please.

17           The Reauthorization Act of 2014  
18 specifically stated that this plan needed to be  
19 updated at least every five years. This was what  
20 I alluded to this morning when Alex was talking  
21 about how often should we re-review disorders.  
22 Built into the Reauthorization Act is that we need

1 to assess what we're doing on a routine basis and  
2 as Susan demonstrated during her presentation,  
3 Iowa and New Jersey, after the event, evaluated  
4 what happened, what could we do better moving  
5 forward, and so, that's the same -- same concept  
6 here is it's at least every five years or really  
7 in the event of something that happens, and I  
8 think we're in the middle of that.

9 We're also coming up on five years, so  
10 it's kind of again serendipitous of realizing that  
11 we need to take a look at this and that this is an  
12 opportunity for the advisory committee to make  
13 comment.

14 So, in 2015, AMCHP was brought in to  
15 partner with CDC and HRSA as well as APHL and  
16 expert stakeholders from the newborn screening  
17 system to update this Newborn Screening  
18 Contingency Plan, and it was my pleasure to serve  
19 on that advisory committee. I believe I was  
20 selected as a result of our experiences with  
21 Hurricane Sandy, and Stan was also on their based  
22 on his experiences. But it had entire system

1 representation and input. You can see here public  
2 health labs in general are wonderful partners in  
3 the advocacy association, specialists, Title V,  
4 and a variety of other professional organizations,  
5 some of whom are represented on this committee.

6 And so, it's a very long list of  
7 contributors that I will not share. You know who  
8 you are. Many of you are on the call -- I've been  
9 scrolling through the participant list. Thank you  
10 again for all your work on CONPLAN V2. Next  
11 slide, please.

12 And so, essentially, our process is made  
13 up of -- we held advisory committee calls. We  
14 actually launched with a public comment survey in  
15 the winter transition from 2015 to 2016 where we  
16 encouraged people to review CONPLAN V1 and then  
17 asked a series of questions to solicit thoughts  
18 and input on how can we make adjustments and edits  
19 and changes. We actually held an in-person  
20 working meeting -- remember what those in-person  
21 meetings used to be like -- and so, we broke up,  
22 and it was really divide and conquer. We had

1 subcommittees that took apart different pieces of  
2 the CONPLAN and began to develop resources for  
3 that, and that was really comprised of what you  
4 see on the graphic on the right, which was four  
5 main -- there were four main foci of -- of update.  
6 We looked our strategic objectives for the plan.  
7 They were updated. So, they were tweaked. We  
8 added -- we also reordered them to be more  
9 functional in terms of chronology of the newborn  
10 screening process but also a level of just  
11 thinking as you think through a newborn screening  
12 system.

13           Point of care was woefully left out,  
14 largely because how early the CONPLAN 1 was  
15 drafted. So, we assured that EDHI was represented  
16 strongly, but also CCHD was added. We certainly  
17 need to make sure that our point of care newborn  
18 screening tests are included in all of this. This  
19 is not just about assuring dry blood clot testing,  
20 but all these critical first tests that these  
21 babies get when they're born.

22           We updated the responsibility matrix, and

1 I will just say here, reflecting on the CONPLAN  
2 for this -- for this talk, it occurs to me that we  
3 probably as a committee added people to the matrix  
4 and added responsibilities without really them  
5 fully grasping that they now had these  
6 responsibilities. And so, lessons learned moving  
7 forward is not only do we have to incorporate all  
8 these people but really make it clear as what are  
9 their roles when this happens. And so, the  
10 responsibility matrix was a great idea, and I  
11 think really well drafted. But again, closing the  
12 loop on that is making sure that everybody in the  
13 matrix understands that.

14 We updated our appendices and what I  
15 thought was great was we developed new resources,  
16 which I will elaborate on in future -- in three or  
17 four slides. We submitted -- the advisory  
18 committee submitted all of these revision  
19 recommendations to our federal partners. It  
20 underwent a thorough CDC and HRSA review and  
21 actually was published in August of 2017. Next  
22 slide, please.

1 I'm going to go into the strategic  
2 objectives really quickly and talk about they  
3 encompass the whole process of newborn screening  
4 from communication, education, assuring that  
5 there's a framework for blood spot, hearing, and  
6 CCHD, specimen collection, and transport as needed  
7 to the laboratory, testing, results reporting,  
8 diagnostic follow-up, treatment management, and  
9 then obviously anything else we think of that  
10 needs to happen.

11 And Susan sort of foreshadowed a little  
12 bit of my thought here which is that of all of  
13 these bullets, only two really rely solely on the  
14 newborn screening programs, right? The laboratory  
15 and follow-up. That is specimens are processed  
16 and tested and that the results are reported and  
17 followed up accordingly.

18 The rest of this, while the programs have  
19 a role in the other bullets, the rest of this  
20 involves the entire system. Susan's slide -- and  
21 I'm going to use that graphic a little later --  
22 but just to continue with her comment, the most

1 effective continuity plan and preparedness is  
2 going to rely on way more than our newborn  
3 screening programs. Next slide, please.

4           This is a great infographic. I'm hoping  
5 it's visible. If it's not, I will reflect on Sue  
6 Barry, who put up a wonderful metabolic pathway  
7 several years ago and said, "I put this up to  
8 impress you, not for you to read it." I actually  
9 hope you can read this. And so, you know, across  
10 the top is our communication strategy and assuring  
11 that communication happens. Joyal Meyer and  
12 Michele Caggana are speaking after me. Michele is  
13 going to talk, I think, about some communication  
14 challenges during the pandemic and how in the  
15 middle there, a plan for communications to all  
16 stakeholders during emergency event is  
17 established. Programs can't out that hospitals  
18 close their L&D through a newspaper or through a  
19 news alert, you know, in response. There's a  
20 cascade of communication throughout the system  
21 that has to happen and, you know, during Sandy, we  
22 identified that our partner, who was leading

1 communication, was doing a great job of  
2 communicating but not to the right people. And  
3 so, you know, it's critical in terms of this  
4 understanding.

5 Education is often like sort of thrown  
6 out. That brochure is just thrown out. But I  
7 think we need to think about how do we tweak  
8 education when there are so many other competing  
9 priorities in an emergency to make sure that we're  
10 still communicating effectively and accurately.

11 And there's a whole host of -- down the  
12 columns here -- these enabling functions that go  
13 with of the strategic objectives. And I'll just  
14 reiterate again that the yellow and blue in the  
15 middle are really where the state -- where the  
16 state lags -- rather the programs are focused --  
17 processing samples, reporting results. I think  
18 we, as an advisory committee, need to look across  
19 this whole infographic and think about where do we  
20 -- on the committee as well as organizational reps  
21 -- fall in assuring that all of this continues  
22 during an emergency, and are we prepared for that

1 process. Next slide, please.

2           We -- the advisory committee for the  
3 rewrite or the revisions for the CONPLAN 2 were  
4 focused on making sure that the plan was usable --  
5 that it wasn't just a document that said you need  
6 to have a continuity plan and these are all the  
7 things that should be in it and that's it, because  
8 largely, that just sits as a binder on somebody's  
9 bookshelf gathering dust. We deliberately talked  
10 about what would help ease use in the event of --  
11 let me back up -- ease use to prepare and set up  
12 for preparedness but also in the event of an  
13 emergency.

14           And so, we tried to really facilitate  
15 uptake and that was intended for people who were  
16 developing COOPS or who were revisiting their  
17 existing ones and looking further. So, EMAC --  
18 Susan mentioned EMAC. So, EMAC was a big part of  
19 our -- of the CONPLAN V2 and how to employ and use  
20 it and prepare for its use. We also had a variety  
21 of MOUs that other states had used to share. We  
22 addressed the common themes that people often

1 thought of. This state has a different disorder  
2 panel than me. How are we going to pay for  
3 things? We deliberately addressed these hot  
4 topics to help people think through how to get  
5 through that, right, because when push comes to  
6 shove and you need to make sure babies are  
7 screened for these critical time-sensitive and  
8 time-critical disorders, we need a path to make  
9 sure that that happens, right? I will say that  
10 not once during the deliberations on the advisory  
11 committee did we ever think about a global  
12 pandemic that impacted every single person at the  
13 same time. And hindsight is 20/20, but the good  
14 news is we have all lived this, and my last slide,  
15 I'll talk about how I think we need to revisit  
16 CONPLAN 2 for 3 and begin to think about pandemic  
17 planning in the scope of newborn screening and  
18 public health. Next slide, please.

19 Kate Taft from AMCHP presented to the  
20 advisory committee in 2017 and in the 2017 -- the  
21 2013 to 2017 advisory committee report to  
22 Congress, the existence of this plan was

1 mentioned. It passed HRSA and CDC clearance. The  
2 plan was always what I call to get the band back  
3 together. We were going to bring back the  
4 advisory committee for the CONPLAN to come up with  
5 a dissemination plan to advertise it's published  
6 and also to develop review and revisions. And we  
7 really didn't do that. APHL did a great job of  
8 announcing it's existence, CDC pushed this out.  
9 The fact that it existed again, I think, was well  
10 known. There were presentations at the Newborn  
11 Screening Symposia, as I said, at the advisory  
12 committee itself. But that last bullet about the  
13 intention to work with programs to develop,  
14 implement, and maintain, I think is a failure of  
15 the system. We just did not do this. Me neither,  
16 right? In my role in New Jersey or my role here  
17 in North Carolina, we have not yet really taken  
18 this and put it to use, and that is the challenge  
19 that we need to have -- that we need to take on as  
20 a system to improve.

21           So, if we go to the next slide, I'm going  
22 to put on my lab director hat, and as I said, I

1 don't have a hat, but I'll hold up my North  
2 Carolina Flag pin. And so, as the North Carolina  
3 State Lab Director, I went to our Newborn  
4 Screening Program manager, Kimberly Blake, and I  
5 said Kimberly, okay, what is your perspective of  
6 COVID-19 and how it impacted us here. I think we  
7 had a lot of successes. Carla mentioned earlier  
8 we need to celebrate our successes as well as  
9 acknowledge our challenges. So, I think we -- we  
10 did a fairly good job responding. There obviously  
11 were challenges where we split up the staff in two  
12 early on, you know, pre-masking, pre-plexiglass,  
13 pre-reorganizing the lab. We were just, all  
14 right, half of you are here on one day, half of  
15 you are here three days later so that we don't  
16 have overlap and so, there were those challenges.  
17 But I think it helped us avoid significant  
18 exposure, people being out. Obviously, everybody  
19 had our challenges.

20 But some of the themes have happened in  
21 North Carolina, which Susan alluded to in the  
22 survey, reallocation of resources to other areas.

1 I will say that inevitably, every time when I  
2 would ask our team what are we doing, it was  
3 always, how is the COVID team, how is newborn  
4 screening? Obviously biased based on my history  
5 and my current role, but -- but that was  
6 important. We weren't diverting pipette tips from  
7 newborn screening to the COVID team, but we  
8 understood that vendors were reallocating based on  
9 COVID, not on newborn screening, and so that was -  
10 - that was something that we had to address. So,  
11 supply chain was a big issue.

12 Our courier was a problem. We had it  
13 impacted by staff exposures and obviously volume  
14 delays, and we all fell victim to USPS, especially  
15 in October, November, December, and I'd stay it's  
16 still ongoing. I have staff who just got  
17 Christmas cards that were mailed in December. So,  
18 there's still challenges, I think, in our courier  
19 system depending on what courier you use for what  
20 aspect of your -- of your program.

21 And finally, project management -- and we  
22 had resource reallocation. Our IT team was having

1 to configure multiple COVID tests, was having to  
2 respond to adding new instrumentation, our  
3 facilities team was making sure that we were  
4 adding all of these new instruments and all of  
5 these new pathways for COVID, and we had to make  
6 sure that we were still in the process of adding  
7 disorders to our newborn screening team, right?  
8 So, our program is undergoing a massive expansion  
9 to bring us congruent with the RUSP this year and  
10 so, our resources internally to the state lab here  
11 were redirected mostly on the human scale.

12 I also asked Kimberly, okay, what else,  
13 and I put her quote here. She said, "Business  
14 decision makers were otherwise occupied," which to  
15 me meant Scott, your attention was drifted  
16 elsewhere and when we needed you to make a  
17 decision as lab director, you, unfortunately,  
18 weren't able to. And rightfully so, I mean, all  
19 of us are spending 12 to 16 hours a day with 99  
20 percent of that was on COVID for a long period of  
21 time, but it -- I think it's a real eye-opener to  
22 leadership on how much we have to pay attention to

1 this, and I've been a lot more deliberately  
2 hopefully now, that we can continue to move things  
3 forward.

4 So, it's important to remember that  
5 success or everything thinks it's this straight  
6 line, but even without a pandemic, it takes a long  
7 circuitous route to get there and the pandemic  
8 just adds a whole bunch of more squiggles. Next  
9 slide, please.

10 And so, I want to end my last two slides  
11 on how I think we can move forward, right? So, I  
12 look at this picture a lot and think, oh, I feel  
13 like the truck. But I think this is how programs  
14 feel -- newborn screening programs, metabolic  
15 genetic programs, pediatric endocrinology  
16 programs, you know, we all have this going on.  
17 Pre-pandemic, maybe half as many people on the  
18 truck. But now, it is -- there's just an  
19 overwhelming amount of things to tackle, right?  
20 But -- next click.

21 We operated like freight trains,  
22 especially newborn screening programs. We just

1 go. We barrel -- we find solutions. We address  
2 timeliness. We add disorders. We address  
3 unsatisfactory samples. Follow-up finds the  
4 parent that is missing. You know, we trug along  
5 and barrel through anything in our way because we  
6 are freight trains. The problem is there is an  
7 enormous inertia to adding cars onto this train.  
8 And that's the next slide.

9           We need train cranes. I practiced saying  
10 that all last night, and I did it right. We need  
11 cranes to help put more cars on the program  
12 tracks. So, next slide.

13           So, what do I think we need to do moving  
14 forward? First of all, assuring newborn screening  
15 is part of our public health lessons learned from  
16 the COVID response. There is a tremendous amount  
17 of energy, effort, money going into being prepared  
18 for the next infectious disease, viral pandemic,  
19 et cetera. We have to look at our public health  
20 system, which has been exploited to be so well  
21 worn, inequitable, inefficient, and we have to  
22 make sure that newborn screening is part of the

1 discussion of how are we going to fix this with  
2 the resources that are coming in. We need to  
3 identify cranes. Programs are not going to be  
4 able to do this. This is not another pile on top  
5 of that truck. We have to work together and  
6 deliberately work with programs for system  
7 improvement to make sure that we can implement  
8 plans and therefore be prepared when this happens  
9 again. And planning is not preparedness. We need  
10 to exercise, we need to learn, in between these  
11 events, we need to keep this process of continuous  
12 quality improvement ongoing. And it has to be a  
13 system approach. It has to be. Again, programs  
14 can't do this alone -- any programs. I'm  
15 obviously very protective of our newborn screening  
16 program, but every program has to work together to  
17 make this happen.

18           And so, my challenges as an advisory  
19 committee member, Dr. Powell, is that we have to  
20 figure out to communicate with the Secretary, to  
21 whom we advise, to make sure that newborn  
22 screening remains part of HHS's efforts to improve

1 the Public Health Response System moving forward.  
2 Whether that's through mechanisms that aren't  
3 currently focused on newborn screening --  
4 obviously, there's a lot of money coming in  
5 through the ELC grants -- or whether we revisit  
6 PHEP, the Public Health Emergency Preparedness  
7 Process. I had great success when I was in New  
8 Jersey to get a modicum of funds when that was a  
9 key focus of PHEP. But we need to explore and  
10 think as big and as diverse as a pandemic on how  
11 we're going to respond. And that's my last slide.

12 I really appreciate it and I am so  
13 grateful for the talks coming up from our partners  
14 at -- in Nebraska and New York. Thank you.

15 JOYAL MEYER: Thank you so much, Scott.  
16 Thank you everyone for having me here today. My  
17 name is Joyal Meyer. Can you guys hear me okay?  
18 Susan, I can see you. Yep, okay. Awesome.

19 My name is Joyal Meyer, and I'm the  
20 Newborn Screening Program Director for the North  
21 Dakota Newborn Screening Program. So, today I'm  
22 going to be providing an overview on our program

1 here in North Dakota. Next slide, please.

2           So, I just wanted to provide an overview  
3 from a North Dakota perspective. And so, the  
4 total population that we have in North Dakota in  
5 2019 was 762,000, and so, the population of people  
6 per square mile is 9.7. Only Montana, Wyoming,  
7 and Alaska have a smaller population per square  
8 mile. So, there are 39.1 million acres and nearly  
9 90 percent of North Dakota's lands are farms and  
10 ranches. North Dakota is the top producer in the  
11 nation of spring durum wheat, dried peas, beans,  
12 flax seed, canola, and honey. And so, North  
13 Dakota is also the number one producer of honey,  
14 and so a fun fact that last spring, honey bee  
15 workers needed actually -- they needed to have  
16 quarantine letters -- exemption letters at the  
17 beginning of COVID to be able to transport the  
18 bees back to North Dakota from California to their  
19 winter home. So, next slide.

20           So, as you can see from these pictures,  
21 and if you can fast forward two times, please.  
22 There you go, thank you. As you can see from

1 these pictures, the weather in North Dakota is  
2 very unpredictable. And so, the picture on the  
3 left there is actually one of our malls here in  
4 Bismarck, which is the capital of North Dakota  
5 mall parking lot, where you can see the tops of  
6 some of those cars. And the picture on the right  
7 was taken a few years ago off of Interstate 94.  
8 And so, we have very unpredictable weather. The  
9 high for today is -9 degrees with a wind chill of  
10 --makes it feel like 33 below. And so, we have  
11 had snowfall in June, and so the cold  
12 temperatures, unexpected blizzards, and poor road  
13 conditions can make for some challenging times for  
14 our courier service in North Dakota. Next slide,  
15 please.

16           So, in North Dakota, we have 28  
17 independent local public health agencies, and some  
18 of these are combined, as you can see on the map.  
19 So, 75 percent of the local public health systems  
20 and units serve as a single city or combined city  
21 and county jurisdiction, while 25 percent serve  
22 the multicounty jurisdictions. And so, the

1 majority of the multicounty jurisdictions shown in  
2 the light brown reside in the western portion of  
3 our state. The public health units are required  
4 to meet standards and follow the state laws and  
5 regulations, but they do exercise their own powers  
6 and have administrative authorities to make  
7 decisions that meet their local needs. Next  
8 slide, please.

9           So, there are approximately 12,000 babies  
10 born each year in North Dakota. We have 12  
11 birthing facilities and out of the 12 birthing  
12 facilities, 4 of the 12 approximately have 60  
13 percent of our total births in North Dakota. Two  
14 of the main facilities are located in central  
15 North Dakota and 2 are located in the eastern  
16 portion of the state. Next slide.

17           So, this graph shows the occurrent births  
18 that we had in North Dakota from 2011 until 2019,  
19 and so, this includes all babies born in North  
20 Dakota, so not just the North Dakota residents.  
21 And, as you can see, from 2011 to 2016, the birth  
22 rate increased every year with a peak in 2016

1 being 13,035 births. So, this was an exponential  
2 growth, which was a result of the oil boom in  
3 western North Dakota, and so individuals and their  
4 families relocated to North Dakota for jobs in the  
5 oil industry. Next slide.

6 In North Dakota, we also have 5 federally  
7 recognized tribes and 1 Indian community, which  
8 are shown in yellow on this map. There are 31,329  
9 American Indians that live in North Dakota and  
10 they make up 4.9 percent of our total population.  
11 Sixty percent of those 4.9 percent live on  
12 reservations, and over 40 percent of these  
13 American Indians are under 20 years of age.

14 And so, with the government systems, they  
15 have no authority -- we have no authority to track  
16 down children and families on the reservations  
17 because they function independently from the  
18 federal and state government. So, each tribe has  
19 its own constitution and set of laws to govern and  
20 conduct with -- that they govern and conduct with  
21 the jurisdiction. So, at times, this has posed  
22 some challenges in locating babies that we've had

1 with abnormal screens that reside on reservations  
2 in North Dakota. Next slide.

3           So, as the population in North Dakota has  
4 grown, the diversity in North Dakota has also  
5 increased. And so, this graph shows the number of  
6 births to foreign-born women who are North Dakota  
7 residents from 2009 to 2018. And so, 11 percent  
8 of the total births in 2018 in North Dakota were  
9 to foreign-born women, and over the past 10 years,  
10 women from 176 different countries have given  
11 birth to babies in North Dakota. Next slide.

12           So, this slide illustrates that as the  
13 number of births have increased in North Dakota,  
14 so have the number of confirmed traits and  
15 disorders. In 2019, there was a significant rise  
16 in confirmed traits and disorders. And so, this  
17 included an increase of hemoglobin and cystic  
18 fibrosis traits and babies diagnosed with cystic  
19 fibrosis. Next slide.

20           The University of Iowa State Hygienic  
21 Library began screening for newborn screening in  
22 North Dakota in 1992 and also in 2007 nurses at

1 the University of Iowa hospitals and clinics began  
2 doing short-term follow-up services for North  
3 Dakota. North Dakota and Iowa currently have a  
4 memorandum of understanding for the laboratory  
5 screening and for the follow-up services that they  
6 provide. North Dakota began outsourcing newborn  
7 screening in the '90s because of the increasing  
8 fees of equipment and laboratory costs. It was  
9 also more feasible to partner with Iowa when we  
10 began screening for metabolic disorders since they  
11 had the expertise and infrastructure to do so.

12 In addition to North Dakota and Iowa, the  
13 State Hygienic Lab also provides screening and  
14 follow-up services to South Dakota and Alaska, and  
15 Iowa processes the billing for North Dakota  
16 newborn screens and invoices North Dakota  
17 facilities who in turn bill the patient's  
18 insurance.

19 And just as an FYI, the current newborn  
20 screening fee in North Dakota is \$96.00, and that  
21 includes lab processing, short-term follow-up  
22 services, which is provided by Iowa, the courier

1 service, Iowa physicians also provide medical  
2 consultation as a backup for our North Dakota  
3 specialists on the evenings, weekends, and  
4 holidays. Next slide, please.

5           So, we have a courier system, and our  
6 courier is called Meadowlark Logistics, and they  
7 have transported specimens for us and they have  
8 transported specimens from North Dakota to Iowa 7  
9 days per week, 365 days per year since July 1st of  
10 2019. So, prior to that, we only had  
11 transportation 5 days per week for the birthing  
12 facilities and some of the larger facilities we  
13 did have transportation available on Saturdays.  
14 And so, there was no transportation available on  
15 Sundays, even though the State Hygienic Lab was  
16 open 7 days a week.

17           During the weekdays, specimens are  
18 transported via ground transportation and then  
19 flow into Fargo, North Dakota where they are  
20 transported from Fargo to Minneapolis, and they  
21 are combined then with the South Dakota specimens  
22 and driven to Iowa. So, it's quite the process

1 for our specimens, but it works out great.

2           During the weekends, the specimens are  
3 transported strictly by ground transportation, and  
4 in the case of an emergency, our courier system  
5 can transport specimens on any charter plane if  
6 they need to. And so, they have many shared  
7 customers and close relationships in our  
8 surrounding states. Next slide.

9           This graph is courtesy of the NewSTEPS  
10 data repository and it includes timeliness data  
11 from 2015 through 2020. And so, as you can see,  
12 since 2016, more than 95 percent of our specimens  
13 collected had time-critical results that have been  
14 reported out by 5 days of life. And so, this high  
15 percentage is really a result of our continuous  
16 education to our 12 birthing facilities, the  
17 outstanding courier service that we have, and the  
18 work of our partners in the Iowa lab and short-  
19 term follow-up programs. So, we certainly  
20 couldn't do it without all of our partners. Next  
21 slide.

22           So, in the Newborn Screening Century Code

1 for North Dakota, it's mandated that the program  
2 provide education to licensed clinicians, hospital  
3 staff, public health nurses, and citizens of the  
4 state. And so, in the fall of 2015, we actually  
5 began having in-person trainings with all of our  
6 12 birthing facilities and so, these visits have  
7 not only benefited the hospital, but also us as a  
8 program, and we have found that, you know, we  
9 found our newborn screening champions during these  
10 visits and have really developed relationships and  
11 rapport with the nurses and the lab staff there.

12 We have created education modules that  
13 provide an overview of the newborn screening  
14 programs and disorders that are included in our  
15 panel. We've hosted hot topic lunch and learn  
16 sessions for our partners. We've had a few -- two  
17 newborn screening conferences in North Dakota and  
18 had great support and attendance at both of those  
19 events that we've had.

20 We also have an advisory committee that  
21 meets on a quarterly basis, and we are fortunate  
22 to have representation from all of our 12 birthing

1 facilities on this committee as well as our travel  
2 partners, parents, and family support groups.

3 Next slide, please.

4           So, after we [inaudible - audio cut out]  
5 the hospitals, the percent of our poor-quality  
6 specimens decreased significantly. So, this graph  
7 is also courtesy of the NewSTEPS data repository,  
8 and it includes the number of unsatisfactory  
9 specimens collected from 2014 through 2020.

10           And so, North Dakota has been fairly  
11 stable with poor-quality specimen, below 1  
12 percent, until the beginning of COVID last spring,  
13 where we experienced an increase of poor-quality  
14 samples similar to other state newborn screening  
15 programs.

16           The poor-quality rate has since declined  
17 and we have continued to provide education to  
18 hospitals and clinic staff on a routine or as-  
19 needed basis virtually. Next slide.

20           North Dakota is a member of the Heartland  
21 Regional Genetics Collaborative as well as other  
22 states that are listed on this slide. And so, the

1 goal of this network is to increase genetic  
2 services, particularly for medically underserved  
3 populations, and we do this by helping to increase  
4 accessibility to care by enhancing telehealth and  
5 teleogenetic services that are offered to parents  
6 or patients and their families while maintaining  
7 that high quality of care.

8 In North Dakota, our only metabolic  
9 geneticist is located in Fargo, which is on the  
10 eastern part of the state. So, this has posed  
11 some challenges for families, especially those  
12 that live on the western part of the state. And  
13 so, telehealth has really been a great opportunity  
14 for us to connect with those families, especially  
15 during the -- through COVID and during our winter  
16 months. Next slide.

17 So, though North Dakota -- the North  
18 Dakota Newborn Screening Program does not have a  
19 formal contingency plan, at this time, we are  
20 planning to partner with the other states who also  
21 use Iowa as their screening laboratory. And since  
22 Iowa processes North Dakota newborn screening

1 specimens, the North Dakota State Lab also has had  
2 a minimum -- has had minimal involvement in our  
3 program. The state lab and the newborn screening  
4 program utilize the same courier service, and so  
5 we -- as we move forward in developing our COOP  
6 plan, we plan to include our state lab in the  
7 planning to help streamline our processes.

8           So, I wanted to mention a few things  
9 about the state lab that we have in North Dakota.  
10 They are a member of the Northern Plain Consortia,  
11 which includes North Dakota, South Dakota, Idaho,  
12 Montana, and Wyoming. And so, prior to COVID,  
13 there were 18 employees that were at the state  
14 lab, and that included laboratory and support  
15 staff. And so, after COVID, the number of  
16 laboratory and professional support staff  
17 increased to 140 full-time and part-time  
18 employees. So, it went from 18 to 140 and at the  
19 peak of testing, they were at 140 full-time  
20 employees. So, they had to increase their support  
21 significantly.

22           Prior to COVID, the state lab in North

1 Dakota was -- the operating hours were 8 to 5 with  
2 no weekends and no holidays, and then after COVID  
3 started, the operating hours are 24 hours a day  
4 currently and 7 days a week, and this includes  
5 weekends and holidays.

6 The courier transportation prior to COVID  
7 was 5 days a week and now they currently have 7  
8 days a week. Similar to other state labs, their  
9 lab has also noted limited supplies and testing  
10 for them.

11 So, for our newborn screening program, we  
12 do have 2 FTEs at the state level, so it's myself  
13 and another nurse coordinator, and we have been a  
14 part of the COVID response since the -- on behalf  
15 of the Department of Health since March of last  
16 year. So, just this week on Monday, I was  
17 actually relieved of my COVID response duties, so  
18 I am happy to be moving on and back to newborn  
19 screening work. So, my coworker, Amy, is still  
20 assisting with the COVID response, and we're  
21 hoping in the next few weeks that we will both be  
22 solely working on newborn screening response --

1 newborn screening again. So, if there's another  
2 surge in cases, we will need to assist with those  
3 -- that response.

4           As far as what is on the horizon, if you  
5 go to the next slide please, the North Dakota  
6 Newborn Screening Program began doing long term  
7 follow-up in North Dakota back in 2019 and so,  
8 we've been working for the past couple of months  
9 to develop a system and a data base that we can  
10 track and follow newborns after they have a  
11 confirmed disorder. So, we were recipients of the  
12 APHL Continuous Quality Improvement Grant and so,  
13 we've been really working closely with our health  
14 information staff and IT staff to work through  
15 some datapoints that we want to capture and see  
16 where it's best to store that data at for easily  
17 accessible information for our providers.

18           The North Dakota Newborn Screening  
19 Program welcomes future discussions on any  
20 contingency planning, and we would be happy to be  
21 involved in future meetings related to this topic.

22           And thank you for allowing me to share

1 our perspective on newborn screening in North  
2 Dakota. You can go to the next slide.

3 And that has my contact information. If  
4 you have any questions, I'm happy to answer.

5 MICHELE CAGGANA: Hi everyone. Can you  
6 hear me? Okay. My name is Michele Caggana. On  
7 behalf of myself and [indiscernible 1:55] members,  
8 I want to thank you for your interest in this  
9 topic. It has taken a lot of our time over the  
10 last year and allowing the opportunity to share  
11 our experience. You will definitely hear some  
12 common themes throughout my talk this afternoon.  
13 Next slide, please.

14 So, interestingly, people always think of  
15 spring as a time for rebirth. But in newborn  
16 screening, we're interested in all the births.  
17 And in early March 2020, we became aware of  
18 several changes that were happening in New York  
19 City, which I'm going to discuss in this  
20 presentation. These things included the  
21 possibility of early discharges from hospital,  
22 changing availability of specialists and

1 providers, as some were deployed to emergency  
2 departments or told to close their offices and do  
3 telehealth. We also received reports from  
4 downstate of parental hesitancy in bringing their  
5 babies on public transport or to the hospital and  
6 even to their pediatric doctor or clinic. We  
7 heard about many provider clinics and offices  
8 closing as well.

9           So, in hearing these issues, we realized  
10 that we had to maintain our operations and  
11 potentially with greatly reduced staff, and we  
12 were also concerned about our own staff, their  
13 well-being, and their families also. Next slide.

14           So, beginning on early March, Beth Vogel,  
15 Joe Orsini, and myself sprang into action because  
16 we knew we had to think outside the box and make  
17 some changes really quickly. So, one of the  
18 benefits of this, which kind of is a theme  
19 throughout this talk, is that we were already  
20 working on some efficiencies in our program and  
21 COVID actually accelerated the implementation of  
22 these measures. And so, we did get a benefit out

1 of it. We engaged our staff for the perspectives  
2 because we realized that we would need to ramp up  
3 our COOP planning in the event that many of our  
4 staff became ill, and we had to maintain  
5 operations because we were a mandated public  
6 health program. And so, we had to screen. We  
7 couldn't afford to not screen specimens that were  
8 coming in. Next slide.

9           So, we decided to look at the entire  
10 newborn screening system from our perspective, and  
11 I'm going to review what we accomplished and the  
12 things that we did in each of these areas of the  
13 laboratory newborn screening in general. Next.

14           So, first and most importantly, we had to  
15 consider what our outside challenges were going to  
16 be. As early as March 2nd, we began getting  
17 phones calls from our New York City Hospital  
18 Newborn Coordinators that parents were refusing to  
19 come in to get repeat specimens collected and that  
20 their outpatient clinics were closing. We also  
21 were told the hospital administration was telling  
22 the labor and delivery departments that the babies

1 were not -- the families were not going to be  
2 allowed to come back to the nursery for any  
3 specimen collections and that some of the pickup  
4 locations for newborn screening specimens were  
5 changing. Some hospitals even reported that the  
6 couriers weren't allowed to enter the hospital,  
7 let alone come up to the floor to pick up  
8 specimens. So, we had to work with UPS to change  
9 the pickup locations so the drivers knew where  
10 they could go in the hospitals in order to pick up  
11 the specimens.

12           Of special concern, the hospitals were  
13 telling the nurseries that they were going to  
14 release babies and their mothers as soon as  
15 possible within 12 to 24 hours after delivery and  
16 this posed somewhat of a problem for us and  
17 spawned a lot of data analysis quickly on our end,  
18 because our references ranges were all set up for  
19 babies who were greater than 24 hours of age when  
20 the specimen was collected. As I'll show later,  
21 luckily the neonatologists were successful in  
22 pushing back on this.

1           We also learned that some of our  
2 specialty care center staff were being redeployed  
3 to emergency departments and ICUs and they were  
4 also ultimately told to embrace telemedicine. So,  
5 they were told their hospital offices were  
6 closing.

7           Our newborn screening coordinators told  
8 us that they could no longer process paperwork or  
9 accept any of our phone calls about collection of  
10 repeat specimens, and we've been working on doing  
11 E-mail communication with them, so this became our  
12 main mode of communication.

13           And as you probably know, our governor  
14 first called to reduce essential workforce by 50  
15 percent in mid-March and just two weeks later, we  
16 entered a full New York state pause, and with  
17 that, New York basically closed down. Next slide.

18           So, as far as accessioning is concerned,  
19 we put a protocol in place to separate specimens  
20 from our COVID-positive mothers, and we put them  
21 into their own batches on our tally. And we did  
22 this in case we would need to pull them later on

1 for any kind of study, and also to prevent our  
2 data entry staff from having to handle them.

3           We sent an E-mail blast of almost 10,000  
4 out to our health care provider E-mail list  
5 informing them of the various changes that were  
6 going on. We notified them that the provider  
7 offices might need to collect repeats -- this is  
8 something they weren't necessarily accustomed to  
9 doing -- and that they might have to actually  
10 manage referrals by themselves. So, we included a  
11 distribution of information and education about  
12 specimen collection and information on how to  
13 order forms. And we also put together fact sheets  
14 for the various newborn screening conditions that  
15 went out so that the providers would know what to  
16 do based on the fact sheets if they had to  
17 actually work on a referral for a metabolic  
18 condition on their own.

19           It was good to see that we saw a rise in  
20 requests for newborn screening collection forms.  
21 So, at least we know that the providers actually  
22 read the E-mail. Most of our providers only keep

1 a few forms on hand because they're not used to  
2 collecting repeat specimens, and so they heeded  
3 the warning and actually ordered collection forms.

4 We also initiated Saturday testing in  
5 order to increase the time that might be needed  
6 for us to find babies with critical screening  
7 results knowing that parents were hesitant to  
8 answer phones and bring babies in, and it also  
9 helped us to manage our daily workflow, you know,  
10 anticipating that some of our staff would become  
11 ill and we would have a decreased number of people  
12 to actually do the lab work piece.

13 The newborn screening program also helped  
14 with COVID sero-prevalent studies, and I'll talk a  
15 little bit more about that. These were offered to  
16 the public in New York and essential workers and  
17 others and we put our high throughput skills to  
18 work to help accession specimens for the sero-  
19 prevalent studies. Next slide.

20 Our data entry staff were moved to  
21 another building on Friday, the 13th of March, of  
22 all days, and we did this in order to decrease

1 density and free up space for COVID testing and  
2 accessioning. So, newborn screening kind of  
3 condensed down to allow expansion of testing for  
4 the virus if it was necessary for the state. And  
5 these decisions were all made very quickly.

6 We also implemented an all-hands-on-deck  
7 data entry mode. So, what we ended up doing was  
8 scanning packs of the newborn screening  
9 demographic forms. We created an electronic  
10 checkout sheet so that people could work remotely,  
11 if necessary, and check out a pack and do the data  
12 entry, so we would know who was working on what  
13 piece. It also enabled staff to begin doing data  
14 entry even if they were off site as soon as  
15 accessioning was complete each day. We had two  
16 students who worked nights and weekends because  
17 they were taking remote classes, and they helped  
18 to keep up caught up very well.

19 Just a few days later after we moved the  
20 staff out of here to another building, two weeks  
21 later, we set up to offer remote staff -- remote  
22 work to administrative and grant-funded staff,

1 again to decrease the density onsite. Next slide.

2 Follow-up was very busy as well, as I  
3 mentioned. They were also moved on March 13th to  
4 decrease density, and our IT staff person, Chris,  
5 quickly set up -- and our IT staff at the center -  
6 - set up remote access for our staff. Most of  
7 follow-up did go remote by March 30th, and all but  
8 one staff working remotely. And this was a big  
9 accomplishment because, as mentioned, it's  
10 something that newborn screening just wasn't  
11 accustomed to -- being able to do work remotely.

12 We also spent a lot of time with the  
13 educational piece that I discussed, and we also  
14 updated the language on our reports to make them  
15 more descriptive. So, Beth Vogel pulled a lot of  
16 data and we reviewed the information and ended up  
17 creating risk-based language for our borderline  
18 results. So, for example, instead of the banner  
19 reading to collect a repeat as soon as possible  
20 that it was a positive newborn screen, now it  
21 reads something like 1 in 10 infants with this  
22 result for PKU were diagnosed with classical PKU

1 and then it says, please collect a repeat as soon  
2 as practical. So, in this way, the provider was  
3 given information to determine how to promptly get  
4 the family so they had that information to see  
5 like how urgent they would get that family in to  
6 collect the repeat specimen.

7 In addition, we added TPN language for  
8 multiple analytes when they were out of range, and  
9 we decided to refer babies based on the regular  
10 referral levels when their specimens were  
11 suboptimal.

12 Prior to COVID, we only referred out  
13 infants who had a suboptimal specimen collected  
14 when their results were at the emergency or  
15 critical level that we typically use for weekend  
16 callouts. So, this was one of those pipeline  
17 changes that I spoke about earlier that we were  
18 able to do because COVID was with us.

19 We also sent E-mails out to all of our  
20 specialty care center directors and their staff,  
21 and we asked them to update their contact  
22 information and let us know if there were any

1 changes to their staff as well. And we had a call  
2 with our CF Specialty Care Center directors to  
3 review the CF Foundation's COVID guidelines and  
4 told the centers to review any issues related to  
5 CF disease and the issues with COVID.

6           Because the New York State sero-prevalent  
7 study was ongoing and they needed quick access to  
8 cards to be able to collect blood on finger  
9 sticks, our newborn screening forms were used in  
10 the early stages of the sero-prevalent study for  
11 the public. So, we fielded some very entertaining  
12 phone calls from the public looking for their  
13 COVID results because, of course, they had our  
14 phone number because people at the collection  
15 sites gave them the pink copy of our collection  
16 forms, which we typically give to parents of  
17 newborns. And so, our phone number was on there,  
18 and they ended up calling us, and we had some good  
19 laughs about some of those voice messages. Next  
20 slide, please.

21           In the laboratory, as I mentioned, we  
22 tested on Saturday and we began the remote data

1 entry, and all of our lab staff helped with that  
2 as well. We were able to analyze all of our data  
3 for lysosomal storage diseases, for tyrosinemia,  
4 and adrenoleukodystrophy, and this continued on an  
5 as-needed basis and we no longer do the Saturday  
6 testing. At the time, the staff were very willing  
7 to come in and help with this.

8           We also set up -- and this was a huge  
9 lift -- we set up our instrumentation for remote  
10 data analysis, and this was in the event that we  
11 had very limited testing staff on hand. And so,  
12 we thought that if staff were well enough, if they  
13 were home because they were ill or in quarantine  
14 but they were well enough, they could work from  
15 home to do data analysis as if they were sitting  
16 right at the instrument, and we still have staff  
17 doing this for some of our sections.

18           We also reassigned some of our staff to  
19 maximize the timeliness for referrals and a  
20 separate area was set up for pulling repeat  
21 specimens to control density in the accessioning  
22 area.

1           We cross-trained some of our own staff,  
2 and this is an ongoing effort that we're working  
3 on. But we also pulled in some nonessential staff  
4 from the environmental mass-spec lab, and that was  
5 in the event that we were short-staffed in the  
6 newborn screening program so that we had  
7 additional people who were not within our program  
8 that would be able to help out. These folks were  
9 also trained on mail opening and punching as well,  
10 and we also made our GALT testing a  
11 semiquantitative test and streamlined the assay so  
12 that more people could be trained on it. Because  
13 GALT is time critical, we wanted to make sure we  
14 would be able to get that done, and previously  
15 calling out GALT results was done -- it was more  
16 of a subjective call rather than a  
17 semiquantitative call. So, this was also another  
18 thing that was in the pipeline that we were able  
19 to put into place due to COVID.

20           We also kept in contact with our vendors  
21 on supplies and equipment. We had some issues  
22 with service people being able to come in, and we

1 had some equipment that was ordered but delayed  
2 due to worldwide shortages on parts and staffing  
3 because staff were required on their end to  
4 assemble the instruments. So, we did have some  
5 delays there.

6           And then we began to hear of early --  
7 pretty early on of intermittent shortages in lab  
8 supplies, and that was talked about -- tips and  
9 gloves as well -- and this occurred as, you know,  
10 as COVID testing ramped up, supplies became more  
11 sparse for the newborn screening program. Next  
12 slide.

13           So, basically, the take-home message was  
14 that we realized we really needed everybody to  
15 chip in in order to manage our workload and still  
16 remain functional. So, we -- we typically looked  
17 at where we needed help and solicited help from  
18 staff. Some of our newborn screening staff  
19 actually worked on the COVID accessioning for the  
20 viral testing after their shifts and on weekends  
21 in newborn, you know, the weekend shifts for COVID  
22 after newborn screening, and this gave us -- some

1 of our staff some scheduling flexibility as well.  
2 And, as I mentioned earlier, we helped accession  
3 the sero-prevalent samples and on one morning, we  
4 accessioned 3,700 specimens prior to 8:30 a.m.  
5 when the newborn screening mail arrived. And that  
6 meant opening packages, looking at quality, and  
7 also getting them punched. So, that was, you  
8 know, people were really proud of that.

9           Because newborn screening is accustomed  
10 to high throughput work, our staff were very happy  
11 to share their expertise, and they felt like they  
12 were helping.

13           Chris, our IT person, has been working  
14 remotely and has implemented all the reporting  
15 changes that we needed and any other necessary  
16 changes and assisted with getting people onboarded  
17 for remote work.

18           In general, we report to work with a  
19 temperature check. Now, it can be done at home --  
20 previously, it was done onsite for everyone -- and  
21 we went from having masks requested to mandatory.  
22 And, of course, as you all know, any in-person

1 meetings or events remain on hold. Any meetings  
2 that are held in person are held in very small  
3 numbers in very big rooms, and WebEx is our new  
4 best friend, and we're able to get most of our  
5 staff web cams so that we can actually see them  
6 when we're having some meetings. Next slide.

7           So, I think Scott mentioned, we find  
8 things when we actually look at data. So, here's  
9 an example illustrating how we learn that a labor  
10 and delivery department actually closed. So, the  
11 dots on this graph are bursts from -- and time is  
12 on the bottom, so the dates are on the bottom --  
13 and you can clearly tell that we abruptly stopped  
14 receiving some specimens from one hospital, which  
15 are the pink dots, but then other hospitals, we  
16 saw a big uptick in specimens or the purple dots.  
17 So, we thought something was up and our first fear  
18 was that something -- some samples were lost  
19 somewhere along the way. But the translation here  
20 is that apparently unbeknownst to us, arrangements  
21 were made by the hospital with the pink dots to  
22 send their babies for delivery to the hospital

1 with the purple dots and we never were told this  
2 occurred but we realized that when we collected  
3 our own data and called the hospital and said hey,  
4 we noticed this, what's going on, and our  
5 suspicions were confirmed that basically one  
6 hospital had shut down.

7 We were told that down state hospitals --  
8 and it was on the news and all over the place --  
9 but some of our down state hospitals totally  
10 reorganized their workflow in order to handle  
11 COVID patients and create COVID-specific floors.  
12 And so, this is sort of an example of that in real  
13 time. Next slide.

14 And as I mentioned, we were hearing that  
15 hospitals were being told that the labor and  
16 delivery departments were being told that they  
17 were going to discharge their babies early. And  
18 so, we plotted this data -- Denise Kay collects  
19 this data -- and this hasn't really changed here,  
20 but we didn't -- we didn't see a rise in very  
21 early collections -- the 0 to say 12-24 hour  
22 collections, but you definitely can appreciate in

1 green here the uptick in samples that were  
2 collected between 24 and 26 hours after birth.  
3 And the good news is this actually sustains over  
4 time. So, this trend remains as you see on this  
5 graph here and, in fact, when you're looking at  
6 newborn specimens coming into the program, you can  
7 see that many of them are collected at 24 hours  
8 and 1 minutes after the baby was born. So, there  
9 still is this impetus to move people through labor  
10 and delivery. Next slide.

11 We also did not see a rise in the time to  
12 collect repeat specimens, as plotted on this  
13 graph, because this is one of our main concerns.  
14 And so, kudos to the providers in New York who  
15 found a way to make sure that they found these  
16 kids and got their repeats collected in a timely  
17 fashion. Next slide.

18 That famous jellybean diagram here to  
19 reiterate the previous discussions here. What I  
20 hope that I've tried to show you today is that it  
21 makes -- this presentation makes it very obvious  
22 that COOP planning takes on many players, just as

1 the newborn screening system has many players. In  
2 creating all of these changes, we had to rely on  
3 providers, clinics, hospitals, labor and delivery,  
4 couriers, care coordinators, specialists, our own  
5 staff, parents, and others to ensure that no  
6 babies fell through the cracks. While we can plan  
7 for lens outage or changes in test reagent  
8 availability or fast-moving ice or snow storm or  
9 flood or other localized weather challenge, an  
10 event that takes out half the state or an entire  
11 state is very difficult to plan for. Because  
12 necessity is the mother of invention, we had to  
13 quickly pivot in our program and ramp up to remote  
14 work, cross-train staff, and sustain operations.

15 So, now we are dealing with, as was  
16 mentioned, the supply chain shortages that you  
17 heard about and I'll also put gloves on that list  
18 of tips and plastics as well.

19 These changes have led us to reformat  
20 some of our testing, specifically with tip usage,  
21 to ensure that we don't run out of reagents. So,  
22 as the survey showed, newborn screening programs

1 are dealing with increases in staff departures --  
2 these could be early retirements or changes in  
3 jobs coupled with hiring freezes. There's also  
4 budget shortfalls and other issues that we have to  
5 reconcile while maintaining our operations.

6 And so, while the focus has changed,  
7 COVID-related COOP is still evolving. Next slide.

8 But at the end of the day, teamwork was  
9 key in our efforts to keep screening babies in New  
10 York. So, I want to give a shoutout to our staff.  
11 As COVID began and continues, we were and continue  
12 to be exhausted, but we also were impressed with  
13 providers across the state, our own staff, and our  
14 newborn screening coordinators. We were  
15 invigorated too because, as I mentioned, COVID  
16 gave us the ability to make needed changes in our  
17 program and we have settled into a new normal.

18 So, our newborn screening superhero,  
19 shown here -- we have versions you can get in  
20 color if you want -- but anyway, it hangs on the  
21 walls of our floor here at the program, and it's  
22 compliments of Shane Moore, who is the husband of

1 our follow-up supervisor, Sarah Bradley. So,  
2 thank you for your attention.

3           CYNTHIA POWELL: Thank you. Thank you  
4 all for your excellent presentations. Thank you  
5 for all the work you've done throughout this  
6 pandemic. And, unfortunately, we won't have time  
7 for discussion, but we will take up this topic at  
8 future committee meetings.

9           Before we break up into the workgroups, I  
10 just wanted to go over the discussion questions  
11 for the three workgroups.

12           For Education and Training, what type of  
13 information and educational resources would be  
14 most helpful when a conditions is added to the  
15 RUSP? What range of issues related to education  
16 should the advisory committee consider when a  
17 condition is added to the RUSP?

18           For Follow-up and Treatment, your  
19 questions are: What type of long term follow-up  
20 information should be considered when a condition  
21 is added to the RUSP? What type of information  
22 should be considered in a systematic review of

1 conditions on the RUSP? Should the cost of  
2 treatment be a factor in both the nomination  
3 process and the review of conditions on the RUSP?

4 And finally, for Laboratory Standards and  
5 Procedures, what information would be most helpful  
6 from newborn screening labs related to the review  
7 of conditions on the RUSP? How can we prepared  
8 newborn screening labs to collect and report this  
9 data? Should there be more in-depth information  
10 regarding cost to labs for adding a new condition  
11 to the panel, or is there already enough  
12 information provided?

13 And finally, for all workgroups, in your  
14 workgroup meetings, please also discuss if there  
15 are any other considerations for enhancing either  
16 the nomination process or review of conditions on  
17 the RUSP.

18 And I'll now turn it back over to Mia,  
19 who will briefly review instructions for logging  
20 into the workgroup meetings.

21 MIA MORRISON: Thank you, Dr. Powell.  
22 And just before I begin the instructions, LRG,

1 this slide seems to be a little bit out of focus.

2 So, folks may not be able to read the URL.

3           So, you will need to log out of this  
4 webinar to log into your workgroup Zoom meeting.  
5 Workgroup members, you should have received a link  
6 to the workgroup meeting page via E-mail. This  
7 link -- through this link, you can access each of  
8 the three workgroup meeting pages.

9           Nonmembers, if you are interested in  
10 attending a workgroup meeting, you can type this  
11 website into your browser and also LRG should be  
12 putting that link into your chat box, and you can  
13 click directly on that link to access the  
14 workgroup meeting page where you can select the  
15 workgroup that you'd like to attend.

16           And just as a friendly reminder, please  
17 note that if you are a nonmember attending a  
18 workgroup meeting, you may only speak if called  
19 upon by the workgroup chair or co-chair. Thank  
20 you.

21           CYNTHIA POWELL: Thank you, Mia. That  
22 concludes our meeting for today. We'll reconvene

1 tomorrow morning at 10 a.m. eastern time. Thank

2 you.

3 [Whereupon the meeting was adjourned.]

4 [Off the record at 2:35 p.m.]

5

6

7

8

9

10