

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

25TH MEETING OF THE SECRETARY'S ADVISORY  
COMMITTEE ON HERITABLE DISORDERS IN NEWBORNS  
AND CHILDREN  
RENAISSANCE M STREET HOTEL  
1143 NEW HAMPSHIRE AVENUE NORTHWEST  
WASHINGTON, D.C. 20037  
SEPTEMBER 23, 2011

1 COMMITTEE MEMBERS PRESENT:

2 R. RODNEY HOWELL, Chairperson

3 DON BAILEY

4 JOSEPH A. BOCCHINI, JR.

5 JEFFREY BOTKIN

6 REBECCA H. BUCKLEY

7 BRUCE NEDROW CALONGE

8 FRED LOREY

9 ALEXIS THOMPSON

10 TRACY L. TROTTER

11 GERARD VOCKLEY

12 CHARLES HOMER

13 STEVEN McDONOUGH

14 CATHY WICKLUND

15 ANDREA WILLIAMS

16 DIETERICH MATERN

17

18 EX-OFFICIO MEMBERS PRESENT:

19 COLEEN A. BOYLE

20

21 ALTERNATES:

22 CARLA CUTHBERT

1 DENISE DOUGHERTY

2 KELLIE B. KELM

3 SARAH R. LINDE-FEUCHT

4

5 SARA COPELAND, Secretary

6

7 ORGANIZATION REPRESENTATIVES:

8 FREDERICK M. CHEN

9 MICHAEL S. WATSON

10 JANE P. GETCHELL

11 CHRISTOPHER KUS

12 BENNETT LAVENSTEIN

13 MARY J.H. WILLIS

14 SHARON F. TERRY

15 ALAN R. FLEISCHMAN

16 CAROL GREENE

17

18

19

20

21

22

1 (Begins in progress.)

2 DR. HOWELL: -- yesterday. And so, we will  
3 miss him. Dr. Alan Fleischman has asked that I give  
4 him a few moments of a personal note before we begin  
5 the meeting today.

6 Dr. Fleischman?

7 DR. FLEISCHMAN: Mr. Chairman, on behalf of  
8 the March of Dimes, its President, Jennifer Howse, its  
9 3 million volunteers, its 1,250 employees, we would  
10 like to present you with this lovely, little plaque,  
11 which was displayed last night at the Genetic Alliance  
12 meeting, which reads, "Rodney Howell has led the  
13 transformation of modern newborn screening and saved  
14 countless lives," and Dr. Jennifer Howse, President of  
15 the March of Dimes. "A charismatic leader, a  
16 marvelous political, clinical, scientific, and  
17 dramatic person, who has helped the women and children  
18 of America and across the world."

19 Dr. Howell?

20 (Applause.)

21 DR. HOWELL: Alan, thank you very much.

22 And, obviously, I thank Dr. Howse, who, as you know,

1 was an original member of this committee and a very  
2 big contributor. And the March of Dimes has always  
3 been very, very helpful.

4 And let me congratulate Sharon on the 25th  
5 birthday of the Genetic Alliance. And she hosted a  
6 marvelous festivity last night. Many of you were  
7 there. And she had an enormous number of excellent  
8 folks there.

9 So congratulations, Sharon.

10 We are now going to move into our  
11 subcommittee reports. You know, the subcommittees  
12 have been historically extremely productive and full  
13 of suggestions, and so forth, for the future  
14 directions of the committee. And the first report  
15 will be from the Laboratory Standards and Procedures  
16 Subcommittee and Dr. Vockley and Lorey.

17 And is Gerry going to speak, or are you both  
18 going to speak?

19 DR. LOREY: He's going to speak.

20 DR. HOWELL: He's going to speak? All  
21 right.

22 Dr. Vockley?

1 DR. VOCKLEY: Thank you. I'll start off, as  
2 I have typically, listing the members and, in this  
3 case, pointing out the addition of Dieter Matern to  
4 the committee, who will be joining the full committee  
5 as of next meeting.

6 And, in keeping with Sara's charge  
7 yesterday, we spent the day really, kind of, reviewing  
8 the progress over the last, I don't know, two, three,  
9 four years in the committee and in looking at the  
10 topics that we've wrestled with in trying to generate  
11 a platform for going forward for our new Chair. And,  
12 to start off with, I went back to read what we  
13 actually were supposed to be doing, because I think  
14 that's always a good place to start.

15 And the subcommittee charge here is on this  
16 slide. And I think it actually has some areas where  
17 there is a lot of room for the committee to move.

18 So the subcommittee charge is to define and  
19 implement a mechanism for the periodic review and  
20 assessment of the conditions included in the uniform  
21 panel. That's like a time bomb. And it's probably  
22 about ready to go off.

1           To review and assess the infrastructure  
2 services needed for effective and efficient screening  
3 of the conditions included in the uniform panel, and  
4 the laboratory procedures utilized for effective and  
5 efficient testing of the conditions included in the  
6 uniform panel. And I'm going to come back at the very  
7 end to talk about the direction that the committee  
8 will look to to go forward in the coming years.

9           Not to be outdone by Dr. Willis, I'm going  
10 to list all of my acronyms up front. You know, it's  
11 not just the military. And so --

12           (Laughter.)

13           DR. VOCKLEY: There.

14           (Laughter.)

15           DR. VOCKLEY: You know, for those of you who  
16 are laughing and going off the committee, I know why  
17 I'm being thrown off. What's your excuse?

18           (Laughter.)

19           DR. VOCKLEY: It won't be the only time, I  
20 fear, that gibberish has entered into the federal  
21 register, as long as Congress is in session. But  
22 nevertheless, we'll move on.

1           So in looking at what we've done over the  
2 last couple, three years, we have the longstanding and  
3 very tardy second screen project, which we continue to  
4 be told is almost ready. I won't elaborate on that,  
5 for those of you who haven't been here for the whole  
6 process.

7           One of the things that has evolved as a real  
8 role for the subcommittee has been the Health  
9 Information Technology Work Group, where we are  
10 collaborating with -- look at all these acronyms --  
11 the National Library of Medicine Information  
12 Technology Initiative and specifically helping out  
13 with the assessment of new medical language,  
14 specifically LOIN codes, as that group has been  
15 bringing them forward and in regards to newborn  
16 screening results and the medical record.

17           We've also spent a fair amount of time  
18 looking at and evaluating novel molecular  
19 technologies. You know, this is really the, sort of,  
20 transformative piece over the last few years in  
21 newborn screening, as we moved to primary molecular-  
22 based testing as opposed to molecular-based testing as

1 follow-up of a metabolite or an analyte. And, in  
2 keeping with that, we have had an ongoing dialogue  
3 with the CDC over their development of Q.A./Q.C.  
4 materials for the SCID testing and also for the  
5 lysosomal storage disease. Oh, man, look at those  
6 acronyms. Love it.

7           And have had several presentations from Bob  
8 Vogt over the time of the last few committee meetings.  
9 And then, finally -- or, at least the ones that we're  
10 going to talk about in this list -- we spent  
11 considerable time reviewing the various technologies  
12 that are out there now and competing for the LSD  
13 newborn screening market and recommended a project for  
14 direct comparison of these alternative techniques.  
15 And although we won't take credit for having started  
16 that, we do note, with satisfaction, that the Mayo  
17 Clinic, indeed, has a project now going forward  
18 funded, I think, by HRSA.

19           Dieter, is it a HRSA project?

20           DR. MATERN: NICHS.

21           DR. VOCKLEY: NICHS? So it's a project to  
22 compare competing technologies directly to see whether

1 any of them has a clear advantage in the newborn  
2 screening arena.

3           There will be a number of committee changes,  
4 as Rod noted yesterday. I get to step down from this  
5 committee as of the end of the day. And Fred Lowry  
6 will be taking on the duties as Chair.

7           And, in recognition of the, sort of,  
8 evolving -- what we see as the evolving pattern of  
9 responsibilities, we spent some time deciding whether  
10 the name of the subcommittee was actually correct.  
11 And we thought that it could be more accurate and that  
12 something like the Laboratory and Information  
13 Technology Subcommittee would better fit the evolution  
14 of the scope of the committee and recognize the  
15 increasing role of information technology in newborn  
16 screening infrastructure.

17           I'm going to leave it to Sara to figure out  
18 whether or not we can just, like, take that name or if  
19 there's something official that has to be done. But  
20 that's a recommendation, a formal recommendation from  
21 the committee.

22           In moving forward, I actually pulled this

1 slide out of a presentation that I did at one of the  
2 meetings in -- I guess it was the January HRSA  
3 meeting. And it's still quite pertinent.

4 While there are many potential areas that  
5 the subcommittee could become involved in, and  
6 especially looking at the idea that screening is not,  
7 by definition -- and does not, by definition, have to  
8 be newborn screening. Nevertheless, we recognize  
9 that, with the likely applications and agenda going  
10 forward for the full committee, that newborn screening  
11 is really likely to be all-consuming for the committee  
12 in the near future.

13 So if there are other areas that suddenly  
14 burst on the scene, the committee certainly should be  
15 open to examining them. But I think we really will be  
16 focused on newborn screening for the near future.

17 As an initial agenda for the immediate  
18 future, we talked a lot about what the committee could  
19 or should be doing. And the list is almost endless.  
20 But we really came up with three pieces that we  
21 thought where the committee could make an impact.

22 The first is really a continuation of the

1 role of the committee in reviewing new enabling or  
2 disruptive, transformative-type technologies. And, by  
3 definition, we don't know what they will be, but we  
4 can anticipate that they will come. And so, the  
5 committee should continue to aggressively monitor that  
6 and bring in speakers for presentations to stay  
7 abreast of those technology.

8           Number two is likely to be a more important  
9 piece going forward. That is to provide guidance for  
10 states making decisions about implementation of new  
11 screening tests, comparative performance metrics,  
12 overview of technologies, and then, a discussion -- an  
13 ongoing discussion of the point of origin versus  
14 traditional laboratory-based newborn screening-type  
15 tests.

16           So these are all things that are going to be  
17 necessary for the committee to be successful in the  
18 future. And we've boiled those down into four  
19 specific goals.

20           One is in -- and the reason I showed my  
21 subcommittee charge at the very beginning is to really  
22 remind the committee at large that one of our charges

1 is to actually review the standard panel as it goes  
2 forward. And therefore, the committee would be happy  
3 to take a lead role in trying to establish a process  
4 for -- processes for regular review and revision of  
5 the standard panel. So removal of disorders and a  
6 slightly less dramatic change: altering status of  
7 some targets that are listed currently as secondary to  
8 primary, if that becomes appropriate; as a second  
9 goal, recommend specific changes to technologies, when  
10 indicated.

11           And we think there's one right now that  
12 really needs some adjustment: the use of tyrosine  
13 levels to identify Tyrosinemia Type I, which is the  
14 one you really want to identify in the newborn period,  
15 has been superseded by succinylacetone. So this is a  
16 disorder that's already on the panel, but there's a  
17 new technology that eliminates almost all of the  
18 problems related to the original methodology. And we  
19 think that there should be a mechanism for making that  
20 notation and adding it -- a specific recommendation  
21 that this is the technology that should be used when  
22 screening for Tyrosinemia Type I.

1                   And then, the other two are a little bit  
2 more nebulous. We think that the information  
3 technology component of what the committee does is  
4 going to continue to be very important. And so, we  
5 want to maintain that interaction with the I.T. Work  
6 Group and keep that collaborative. And then, as I  
7 mentioned in the previous slide, the monitoring of new  
8 technologies will be very important, going forward.

9                   So I will stop there. Thank you for your  
10 attention and the forbearance of the last five minutes  
11 and four years and call it quits. Happy to take  
12 questions.

13                   DR. HOWELL: Are there questions or comments  
14 for Gerry?

15                   Chris?

16                   DR. KUS: (Off-mike) a conversation. I  
17 guess one of the questions is you're talking about lab  
18 technology. But how about other physiological tests  
19 like pulse oximetry, hearing screening? And how does  
20 that fit with your committee?

21                   DR. VOCKLEY: Well, that was the implication  
22 when I talked about point of origin testing. So that

1 really does bring us to all of the other technologies.  
2 That could be a lab test, as in the bilirubin  
3 discussion. But, in a lot of cases, it's going to be  
4 other technologies. So this brings us to this is  
5 where the congenital cyanotic heart disease would  
6 fall.

7 DR. HOWELL: I'd like to ask about the  
8 second screen. You had that listed, and so forth.  
9 And you said it's almost ready, which it's been almost  
10 ready for several years, as I recall. What's the  
11 status of that?

12 DR. VOCKLEY: It's almost ready.

13 (Laughter.)

14 DR. VOCKLEY: Joe Lilly, are you here? Oh,  
15 Harry's here. Harry's here.

16 DR. HOWELL: Dr. Hammond, certainly, can  
17 comment on that. I see him coming to the microphone.

18 DR. HAMMOND: We are through collecting  
19 data. We have decided that what we have is all we're  
20 going to get. And we have most of the data we had  
21 planned to get. We are cleaning up the database. We  
22 have asked some questions back from those who have

1 submitted the data to get it polished up. We're  
2 compiling, and we'll start evaluating the data. And  
3 we'll have a report to the committee in the next  
4 meeting.

5 DR. HOWELL: That's great. I think the  
6 bottom line is that, you know, obviously, everybody  
7 should be doing the second screen, or nobody,  
8 depending on what the data can show you. I mean, if  
9 persons are being missed with important conditions,  
10 that's a problem.

11 DR. VOCKLEY: And, for those who don't  
12 remember the history of this, the project really has  
13 just struggled with IRB issues. They wrestled with  
14 having to pool information and get multiple state IRB  
15 approvals. And that's something, I know, we've  
16 discussed in the past. But really is a major problem  
17 in dealing with these multiple-state projects and  
18 trying to deal with newborn screening pilot projects.  
19 So it may be something that the committee ultimately  
20 wants to come back to and think about making  
21 recommendations for alterations in procedures.

22 DR. HOWELL: One of the technologies that's

1 obviously going to be before this committee very soon  
2 and in a big way will be whole X-on sequencing, and so  
3 forth, which will be a very big issue. At the same  
4 time, I think that one of the biggest issues in that  
5 area have to do with ethical/legal issues.

6 And have you all talked about how you will -  
7 - in other words, a technology such as sequencing,  
8 that's one thing. But it's hard to deal with that in  
9 the real world without having the ethical issues at  
10 the same time.

11 DR. VOCKLEY: I'd say that we exhibited some  
12 benign neglect at that level, largely because, while  
13 that technology is really looming heavily over us  
14 right now in many clinical situations, the application  
15 to high-throughput newborn screening environment is  
16 probably still technically a few years away. So it  
17 didn't hit the highest level of -- in terms of the  
18 subcommittee's agenda for the next couple of years.

19 But beyond that, I think we're definitely  
20 going to have to wrestle with it. But it's going to  
21 have to be -- if it starts -- whichever committee it -  
22 - subcommittee it starts with, it's going to clearly

1 cross over to all of them. And the ethical issues are  
2 probably only going to be outweighed by the I.T.  
3 issues.

4 DR. HOWELL: Yes.

5 Jeff?

6 DR. BOTKIN: Yeah, just a quick comment on  
7 that. The Bioethics and Legal Working Group of the  
8 Newborn Screening Translational Network is planning a  
9 small meeting in November in conjunction with the  
10 meeting of that work group that's going to focus on  
11 unanticipated findings, secondary results, a variety  
12 of different terms for the phenomenon of generating  
13 results on testing that aren't your primary target and  
14 what the ethical and legal obligations are to disclose  
15 that information to families and clinicians.

16 So that's a current problem. But, as just  
17 mentioned, this is going to be that much greater once  
18 we get DNA-based platforms.

19 DR. HOWELL: And there's no reason that this  
20 committee can't inform this subcommittee. I mean,  
21 that will be helpful.

22 As far as I'm aware, no one disagrees with

1 the observation that the primary screening analyte for  
2 Tyrosinemia Type I is succinylacetone. Is that not  
3 correct?

4 DR. VOCKLEY: It is correct.

5 DR. HOWELL: Is that --

6 DR. VOCKLEY: It is correct, but not  
7 implemented in a uniform fashion across the states.  
8 So a specific recommendation that that be the analyte  
9 would be helpful from the committee.

10 DR. HOWELL: Well, it seems to me it would  
11 be, too. I mean, I think the science behind that is  
12 clear; is that right?

13 Does anyone have any concern about that?

14 DR. BOTKIN: (Off-mike) in the context of,  
15 well, why isn't everybody doing it now.

16 DR. HOWELL: Yeah.

17 DR. BOTKIN: And I think, because just the  
18 way it developed, instead of having a brand new  
19 recommendation --

20 DR. HOWELL: Yeah.

21 DR. BOTKIN: -- you know, we've had  
22 Tyrosinemia.

1 DR. HOWELL: Right.

2 DR. VOCKLEY: Well, it does ask the  
3 question, how do we reevaluate something that's  
4 already there. Do we need a full evidence review? Is  
5 this something that goes back to the Evidence Review  
6 Committee? In which case, we probably need to double  
7 or triple its size.

8 Or is it this is a very technical, very  
9 specific piece? And if the Technology Subcommittee is  
10 able to put forth a statement to the committee at  
11 large that says, we recommend that -- or we recognize  
12 that succinylacetone is the metabolite of choice to be  
13 analyzing for Tyrosinemia Type I, which is already on  
14 the recommended panel, that may be sufficient.

15 DR. HOWELL: Well, I think that when  
16 something goes on the panel, ordinarily we don't make  
17 formal recommendations of exactly what you measure.

18 DR. VOCKLEY: Right.

19 DR. HOWELL: But, on the other hand, it  
20 would seem worthwhile for this committee to look at  
21 the data and come forth with a recommendation that  
22 this be the analyte. And I don't see any reason that

1 can't be done.

2 Does anyone see a problem with that?

3 MALE SPEAKER: I don't, because the problem  
4 as it is now, is people will still say they're  
5 screening for Tyrosinemia Type I with tyrosine.

6 DR. HOWELL: Yes. And we know that's not  
7 the case.

8 MALE SPEAKER: And they should not be able  
9 to say that.

10 FEMALE SPEAKER: (Off-mike.)

11 DR. HOWELL: Okay. About this? Okay.

12 The bottom line, it would seem prudent to  
13 come up with a specific recommendation for this  
14 committee to come to this -- for the subcommittee, so  
15 it could come to this committee and say that we have  
16 reviewed -- your committee could say that we have  
17 reviewed the evidence, and you can get the evidence  
18 from a number of sources. And it's clear that this  
19 should be the recommendation. And that recommendation  
20 just can come forth in the committee, would not change  
21 what we are screening for, the condition.

22 Jane, question or comment?

1 DR. GETCHELL: A comment. Is this on?

2 DR. HOWELL: Yeah.

3 DR. GETCHELL: I did a little investigation  
4 last night, after we had the discussion in the  
5 committee meeting. And not being an MSMS chemist,  
6 bear with me here. But, as I understand it, there is  
7 one commercial manufacturer available that will  
8 provide the succinylacetone assay. Many of the labs  
9 that aren't doing it are using what I will call a home  
10 brew assay.

11 And for that assay, it would require two  
12 separate processes, two separate MSMS runs, increasing  
13 the cost, for example, to the state of Texas by about  
14 half a million dollars to do it on their population.  
15 So that's the reason that states have not implemented  
16 the succinylacetone screening, as I understand it.  
17 It's a cost.

18 DR. HOWELL: Well, let's -- why don't -- we  
19 have several people at the microphone. But the bottom  
20 line, it seems to me, that the subcommittee should  
21 gather the information, including what Jane has said,  
22 and so forth, and come forth with a specific

1 recommendation.

2 But, Ann?

3 DR. COMEAU: Thank you.

4 DR. HOWELL: Let's be brief, please, at the  
5 microphone, starting with Ann being brief.

6 DR. COMEAU: Thank you, Dr. Howell.

7 I wanted to --

8 DR. HOWELL: Ann Comeau.

9 DR. COMEAU: Thank you, Dr. Howell.

10 I wanted to go back to the second screen  
11 issue, and, because I think it has general  
12 implications for everything. My understanding is that  
13 the second screen study came forward to try to  
14 determine whether or not babies are missed by one  
15 method or the other. And, in that it's very likely  
16 that having two screens with a particular algorithm  
17 and/or using just one screen with a different  
18 algorithm will -- that they will both have the same  
19 sensitivity and specificity.

20 And if that's the case, then I would hope  
21 that the committee is not determining that all states  
22 have to follow the same algorithm, because I think

1 it's good for us, on many levels, whether it's the  
2 second screen or a particular assay that we're using,  
3 that states do use different assays and algorithms.  
4 It helps when there are reagent problems, whatever.

5           So so long as we can -- sorry. So long as  
6 we can assure that the sensitivity and specificity and  
7 predictive values of any particular assay and  
8 algorithm are good enough for the population, I would  
9 hope that that would be the standard that we'd be  
10 following. I think that's probably what you meant.

11           DR. HOWELL: I would assume that that will  
12 be embedded in the study.

13           DR. COMEAU: Thank you.

14           DR. HOWELL: I would certainly hope so.

15           DR. ZUCKERMAN: Thank you, Dr. Howell.

16           I'm Aaron Zuckerman from National Library of  
17 Medicine. I just wanted to remind the committee that  
18 Sharon Terry and I chair an ad hoc HIT Work Group,  
19 which has now disbanded because they had finished  
20 their look at evolving federal policy. And our  
21 recommendation was that the HIT Work Group activities  
22 move within the standing subcommittees because of the

1 logistic difficulties of having individuals attend two  
2 different work groups.

3           So the very important ongoing activity of  
4 HIT requirements for laboratory data reporting and  
5 exchange need to become an official part of the work  
6 of the Laboratory Subcommittee as well as Follow-Up  
7 and Treatment and Education. So I'm hoping that the  
8 committee will officially charge the work group to  
9 create a sub-group within its own organization, or at  
10 least to take on that ongoing task, since there is no  
11 longer an Ad Hoc HIT Work Group to carry on that  
12 activity separately.

13           DR. HOWELL: Thank you.

14           And our next person is Dr. Dieter Matern.

15           DR. MATERN: Yeah, just back to  
16 succinylacetone. So historically, it's true that  
17 there were two second-tier assay for succinylacetone  
18 that was done when you lowered your cutoff for  
19 tyrosine and then, you do a second-tier test. So you  
20 do another analysis.

21           But then, it was revised. So we did it.  
22 And then, others, too, that you actually had only one

1 tandem mass analysis, but you had your sample prep  
2 divided in two. But in the end, it's run on the same  
3 equipment, at the same time.

4 And then, other people have done it so that  
5 the sample prep is the same for succinylacetone and  
6 the amino (inaudible) all at the same time. But there  
7 is no need to double your equipment needs, so just to  
8 keep that straight.

9 DR. HOWELL: Well, anyway, I think that the  
10 subcommittee should look at succinylacetone and  
11 analyze the data and come up with a recommendation to  
12 this committee to approve. And you've heard a lot of  
13 -- and, apparently, Sara has some wisdom.

14 DR. COPELAND: Yeah.

15 MALE SPEAKER: (Off-mike.)

16 DR. COPELAND: Okay, now we're on?

17 DR. HOWELL: Yeah.

18 DR. COPELAND: Okay. One thing to keep in  
19 mind is this is just a one issue, which is tyrosine,  
20 succinylacetone, et cetera. But I think what's really  
21 important is maybe the process of getting there, just  
22 doing a one-time shot without having the operating

1 procedure, et cetera, will put the subcommittee at a  
2 disadvantage. I think that we need to come up with a  
3 mechanism for formally doing that, because I think, at  
4 this point in time, it's the most obvious issue.

5 But I think that, as screening technology  
6 evolves, et cetera, that we might have better markers,  
7 better technology. And so, I think that coming up  
8 with a process and then, maybe using succinylacetone  
9 as the mechanism to do that will probably be the  
10 mechanism to go by.

11 DR. HOWELL: I think that's a good idea.  
12 This is the first time this has been done. And so,  
13 it'll be good to have a systematic way of looking at  
14 it.

15 Is your group committed to changing the name  
16 of the committee now? Or would you like to wait until  
17 the new committee comes? What's your sense?

18 DR. VOCKLEY: Do you want to think about it  
19 a while? Or you want to --

20 DR. HOWELL: If you've deliberated about  
21 this and think that you should do it, why don't you  
22 make the recommendation to the committee? And the

1 committee will approve it. We're a committee of  
2 action, as you know.

3 DR. VOCKLEY: Whoops, sorry. I hit the  
4 wrong thing.

5 DR. COPELAND: But, Gerry, we also -- we  
6 thought about including methodology in there. I  
7 thought it was Laboratory, Technology, and Methodology  
8 Subcommittee.

9 DR. VOCKLEY: Oh, I thought that Methodology  
10 -- I would think the Methodology would go into  
11 Laboratory. It, sort of, covers it. But, I mean --

12 DR. COPELAND: But when you say Information  
13 Technology, we were thinking of other types of  
14 technology as well and just remembering from the  
15 conversation yesterday. So I --

16 DR. VOCKLEY: It's up to you guys. I'm  
17 quitting.

18 (Laughter.)

19 DR. HOWELL: Denise has some thoughts.

20 DR. DOUGHERTY: Well, I was just thinking  
21 that maybe we should consider all the subcommittee  
22 recommendations before we -- because some of these

1    seem to overlap.  Some of the recommendations for what  
2    the subcommittee would do seem to overlap with some of  
3    the other committees.  Or maybe a new Committee on  
4    Methodology.  So I think voting on it right now would  
5    be premature, because we're not sure that this  
6    subcommittee would be the right place for all of these  
7    things.

8                   DR. VOCKLEY:  Well, I would remind you of  
9    the subcommittee charge.  These are all straight out  
10   of the charge.

11                   DR. HOWELL:  I'm not aware of any overlap  
12   between the Laboratory and the I.T.  I mean, everybody  
13   will have a little bit.  But, I mean, I think that's  
14   where they reside.

15                   DR. DOUGHERTY:  Well, establishing the  
16   process for reviewing the existing conditions, for  
17   example, might be a broader task than just assigned to  
18   the Laboratory Subcommittee.

19                   DR. VOCKLEY:  Well, except that the first  
20   bullet there -- I mean, this is the charge of the  
21   subcommittee, to find and implement a mechanism for  
22   periodic review and assessment of the conditions

1 included in the uniform panel, and then, the third  
2 bullet, laboratory procedures utilized for effective  
3 and efficient testing. So the charge is clear.

4 And it's not to say that it is the group  
5 that makes the decision. The subcommittees make no  
6 decision. They just bring the information forward to  
7 the committee at large.

8 DR. HOWELL: Right.

9 Ned?

10 DR. CALONGE: I guess what I would recommend  
11 that we take the things that the subcommittees are  
12 supposed to do as a long list. There may be other  
13 things that the incoming Chair wants to add to the  
14 list of subcommittee duties. And that I would think  
15 that that would be a good Chair activity to think how  
16 to best sum those up into the number of subcommittees  
17 that he thinks will help move the work forward. And  
18 so, I'm, kind of, supportive of Denise's approach,  
19 that -- I'm not so much concerned about overlap, just  
20 that the whole list might be long, and there might be  
21 other aggregates of that list that make more sense.

22 DR. HOWELL: And you had commented about

1 that yesterday, about the fact of looking at the  
2 subcommittees as a group and decide if the right ones,  
3 and so forth. So maybe that makes a good -- does that  
4 seem sensible? We'll let that roll over and let the  
5 new persons decide about what's there.

6 Any further comments to Gerry?

7 So the new committee, straight out of the  
8 thing, will have a report on the second screen.  
9 That'll be great.

10 DR. CALONGE: I think we should recognize  
11 Gerry's leadership. This is actually a whole lot of  
12 additional work that, clearly, you have to do after  
13 the parties on the night before the second meeting.  
14 And he's been a good leader, has helped move us along.  
15 And I just wanted to recognize his leadership.

16 Thank you, Gerry.

17 (Applause.)

18 DR. HOWELL: And, again, thank you very  
19 much.

20 And we are now going to move to the  
21 Subcommittee on Education and Training, Don Bailey and  
22 Tracy Trotter. And it looks like we're going to hear

1 from both of them.

2 DR. TROTTER: Well, lucky day.

3 (Laughter.)

4 DR. TROTTER: Good morning. Also following  
5 Sara's charge of, in some way, dealing with past,  
6 present, and future of our subcommittee, I went back  
7 into the historical archives. This was established in  
8 January 2005 after, I guess, probably about a year of  
9 the committee meeting. And our charges here, as you  
10 can see, to review existing educational training  
11 resources, identify gaps, make recommendations  
12 regarding newborn screening to five groups. And if  
13 you are not in one of these five groups, you do not  
14 exist.

15 (Laughter.)

16 DR. TROTTER: This represents the world. So  
17 we have work yet to do.

18 The first meeting, Jennifer Howse was our  
19 Chair, interestingly enough. And those were the four  
20 members of the committee. These have been the Chairs  
21 over the years, with Don taking over at this point.

22 Our current committee -- and all of our

1 members were there, either there or on the telephone  
2 yesterday. Plus, we had probably another 20  
3 interested contributors who were there. And we had a  
4 chock-full meeting trying to get the three hours done  
5 in two hours. But we got it done, knowing that the  
6 cocktail hour was coming up.

7           So, in the past, the accomplishment-type  
8 things, which are a little less straightforward, sort  
9 of, than what we do, is -- but I look back at minutes  
10 and talked to members with better memories than  
11 myself, and, sort of, looked through and listed a few  
12 things. The ongoing dialogue that the newborn  
13 screening issue with the primary professional  
14 organizations is important. They were not actually  
15 involved initially as members of this subcommittee and  
16 as active participants and now are an extremely  
17 important part of that.

18           The subcommittee has been expanded over time  
19 to now include parents, advocates, newborn screening  
20 staff, nurses, genetic counselors. And we continue to  
21 -- and Don and I have talked about -- and Able have  
22 talked about our need for probably continuing to

1 expand expertise as we get into more complicated  
2 issues of education.

3 A list of vetted Web sites that had to do  
4 with newborn screening resources was come up with a  
5 number of years ago. National Repository of  
6 Educational Materials -- there were three or four  
7 major organizations that played a role in that.

8 We have, over the years that I've been  
9 involved, provided input and feedback from various  
10 organizations. I merely list some of them here, who  
11 have -- obviously, most education is going on at some  
12 other levels, not because we put it together, but  
13 we're often involved in looking at material,  
14 suggesting ways to go, and, probably more importantly,  
15 benefiting from the tremendous amount of work that  
16 goes on at these levels.

17 One of the first things I was involved in  
18 was a workshop on genetic education topics. And we  
19 had a sub workshop sponsored by HRSA called Developing  
20 a Blueprint for Primary Care, Physician Education in  
21 Genomic Medicine. It should say Education. Sorry.

22 This was in June 2009. We had 30

1 representatives of primary care physician  
2 organizations in the United States and looked at three  
3 areas: the knowledge, barriers, and interventions.  
4 Out of that, there came a summary and recommendations,  
5 which was published. Alex Kemper was a senior author  
6 of that in Genetics in Medicine in February 2010.

7 And from those recommendations, this  
8 committee approved a recommendation from our  
9 subcommittee to develop a program or a plan for some,  
10 what we called at that time, a learning collaborative,  
11 Genetics in Primary Care Training Institute. And the  
12 future is now. The future is here.

13 The Genetics in Primary Care Institute  
14 contract was recently, about three months ago, awarded  
15 to the American Academy of Pediatrics. Bob Saul and  
16 Beth Tarini are the Medical Directors. Most of you  
17 heard this before, as we presented our proposal about  
18 a year ago.

19 The idea is to pair genetics experts with --  
20 in this case, they're going to be with 20 practices  
21 with three-member teams from those practices, which  
22 would include probably a physician, a nurse, and maybe

1 a family member and have a program that's a three-year  
2 program that will create, they hope, a community of  
3 learners, who will then be -- you know, teach the  
4 teacher-type thing, a technical assistance center,  
5 which will be basically a Web site that's designed for  
6 the primary care physicians, and to take a really big  
7 bite out of this, attempt to assess and address  
8 residency training needs.

9 I think we know what the needs are. Trying  
10 to change those is a little bit larger struggle.

11 We had the members of our subcommittee give  
12 their updates, as they frequently do. You've heard  
13 yesterday updates from the clearinghouse regarding  
14 Baby's First Test. You also heard about the consumer  
15 task force program, which will have applications out  
16 soon and challenge awards, which they had -- the first  
17 ones were last spring. And we've seen the results of  
18 those.

19 They're on the Baby's First Test Web site by  
20 next week, I believe. And a number have -- we have  
21 been able to watch that from its inception. And it's  
22 really an amazing progress. I urge you all to go to

1 that Web site.

2 This was the previous challenge awards.

3 Again, the reports are available to you on the Web.

4 And these were our other somewhat routine programs we

5 instituted about a year ago using the regional

6 collaboratives' time to highlight, if you will, one

7 program somewhere from some one region. Debra

8 Rodriguez did this year's from NYMAC. And that's been

9 very helpful to all of us to, sort of, see what's

10 going on in various regions from an education

11 standpoint as well.

12 The usual updates from the primary care

13 organizations, Kurt, as well as a special highlight,

14 if you will, from ACMG Translation Group from Mike

15 Watson. And that's going to be an ongoing thing as

16 well. Each meeting, there will be a targeted,

17 highlighted education program that will be looked at

18 from that group.

19 To go back, as Gerry did, looked at what are

20 we really supposed to be doing. Well, this is what

21 we're supposed to do. And to that point, the provider

22 of public awareness, as you heard yesterday, has led

1 us to the National Newborn Screening Awareness  
2 campaign.

3 We have -- we, being the subcontractor --  
4 and Jennifer Nichols did a nice report -- completed  
5 the first part of phase one, which was the media and  
6 environmental scan. She attended our subcommittee,  
7 and we had a good dialogue about what further things  
8 they might look for. And she's going to do that.

9 We will then -- at some point, the Chair and  
10 Dr. Copeland are going to come up with a work group to  
11 facilitate the second step, which is a strategy summit  
12 to try to define what are our real goals, what do we  
13 really want from this, is it appropriate to go  
14 forward. It may not be. If it is, how do we do that?  
15 So stay tuned for further information there.

16 And figuratively and literally, passing the  
17 microphone.

18 (Laughter.)

19 DR. BAILEY: Thank you, Tracy.

20 And good morning. So we began our meeting  
21 yesterday by thanking Tracy for his fine leadership in  
22 this committee over the last two years. And so, as a

1 large group, we should do that again right now.

2 (Applause.)

3 DR. BAILEY: So just a few comments about  
4 future directions. I think the first statement is  
5 pretty obvious, that we feel that there's a continued  
6 need for the Education and Training Subcommittee.  
7 This need will only grow in the future as we learn  
8 more about different conditions or different  
9 technologies and the various ethical issues that come  
10 up. All of these will come to the Education and  
11 Training Committee in one form or the other. So we're  
12 going to be in business for a while.

13 One of the questions that we discussed a  
14 little bit yesterday was, to what extent should this  
15 committee address issues of education and training  
16 after newborn screening, and in the follow-up and  
17 implementation phase. And, of course, we know that  
18 there is a Subcommittee on Follow-Up and Treatment.  
19 And we're not completely sure the extent to which that  
20 committee is also dealing with education issues  
21 associated with follow-up and treatment.

22 It actually brings up a bigger issue about

1 collaboration across the different subcommittees. We  
2 feel like education really pertains to all the  
3 subcommittees in one way or the other.

4           And so, perhaps in the future, Joe, we might  
5 consider some mechanism where the subcommittee Chairs  
6 meet periodically to discuss collaboration at, kind  
7 of, intersections among the three subcommittees. But,  
8 of course, especially in the education arena, but I  
9 suspect in everything, there's quite a bit of blurring  
10 and overlap of missions. And we would all profit from  
11 some interaction there.

12           We would certainly benefit from some  
13 increased participation from ACOG. And we are  
14 considering recommending that we have a nursing  
15 representative as well on the subcommittee.

16           I think, Sara, we may have to have some kind  
17 of formal process for going through that. And so,  
18 we'll discuss that with you afterwards.

19           There was also some discussion about the  
20 various training initiatives for physicians about  
21 genetics and genomics in medicine. But what about  
22 specific information about newborn screening to be

1 included in those? And so, we're recommending that  
2 those initiatives make sure that information about  
3 newborn screening is a part of the genomics and  
4 genetics training.

5           So another big challenge for us is, as this  
6 larger committee expands and moves beyond -- it  
7 already has -- to issues beyond newborn screening,  
8 should the Education and Training Committee change its  
9 charge. Actually, I think our written charge says  
10 Education and Training related to newborn screening.  
11 But, in fact, this committee deals with things much  
12 larger than newborn screening. And so, we suspect  
13 we'll probably need an official modification in our  
14 charge.

15           And then, as we discussed yesterday a little  
16 bit in this large committee meeting, as we were  
17 talking about our audiences -- and even though Tracy  
18 said, well, if you're not in one of those five groups,  
19 you don't exist, we were wondering about advocacy  
20 groups as a potential target audience for the  
21 subcommittee as well, not necessarily working on a  
22 one-on-one basis with advocacy groups, but helping

1 advocacy groups understand what it will take to help  
2 bring a condition to the nomination and then review  
3 process.

4           Many of these organizations -- I have been  
5 affiliated with one over the years -- often will push  
6 for newborn screening without realizing what might be  
7 needed to get it to that point. And if we can provide  
8 some blueprint beyond just the nomination form,  
9 examples and strategies that advocacy organizations  
10 might take in order to advance their cause and get us  
11 to the point where we have the evidence necessary,  
12 that would seem to me to be a very useful product.

13           Whether that can become an official  
14 recommendation of the committee, we don't know. But I  
15 think it could be a point of discussion and  
16 interaction among the three subcommittees.

17           And finally, I think -- it's not on the list  
18 here, but just to say a word about being strategic as  
19 we go forward in the future. We've got lots of a  
20 variety of initiatives going on with different  
21 organizations. And I think the Education and Training  
22 Committee we'd like to step back and say, okay, in the

1 big landscape of things, in terms of our different  
2 audiences, are we addressing each one of them in an  
3 appropriate and sufficient way, where are the gaps,  
4 what do we need to be doing strategically over the  
5 next two to three years to really make a difference.

6 And also, how can we add a -- maybe probably  
7 not as rigorous a process as the evidence review  
8 group, but how can we add a research and evidence  
9 component to the Education and Training Committee so  
10 that we evaluate the work that's being done out there  
11 and have data on both what are the objectives of these  
12 initiatives and whether those objectives have actually  
13 been accomplished?

14 So I would like to invite any of the other  
15 subcommittee members who were there yesterday to chime  
16 in to see if there are any other things that I have  
17 forgotten to list. Okay.

18 DR. HOWELL: Any other comments or questions  
19 of Tracy or Don?

20 Jeff?

21 DR. TROTTER: I would like to take a moment,  
22 as I'm finishing, to thank all of you. Thank you for

1 the opportunity that I've had the last four years to  
2 work with this bright and talented and interesting  
3 group of people. I will tell you your work ethic,  
4 both as individuals and as a group, and your,  
5 literally, uncompromising commitment to quality care  
6 for children has been inspiring to me. It really has.

7 All of you have been more than generous with  
8 your time. And I have learned an enormous amount.  
9 And I appreciate that piece of this more than you  
10 know.

11 In my 37 years of medicine, I've served on,  
12 I don't know how many, committees, more than I should  
13 probably. This is one of the very few that where I've  
14 worked this hard and spent this much time and energy  
15 and still enjoyed almost every minute of it.

16 (Laughter.)

17 DR. TROTTER: And that's -- yes, I did say  
18 almost, everybody.

19 (Laughter.)

20 DR. TROTTER: And a few folks, if you  
21 indulge me, deserve a special thank you. Alaina  
22 Harris and Penny Cuyler, who I don't think either are

1 here, and Lisa and the rest of the support staff for  
2 the untold thousands of things you do all the time to  
3 make this thing happen, because we see the tip of the  
4 iceberg, I'm sure.

5 To Michelle Puryear, Rod Howell, and Jana  
6 Monaco, who made me feel like I was a part of the team  
7 from day one. And to Jim Hanson, Piero Ronaldo, who  
8 are not here, and Alan Fleischman, who is, for making  
9 me believe that occasionally my contribution was  
10 actually important.

11 So I went into medicine with a very  
12 idealistic attitude and a great admiration for and  
13 respect for physicians and scientists. And I have to  
14 tell you a bit of that has eroded in the last decade  
15 or so. And I refreshingly found that the committee  
16 members here that I've been fortunate to work with, at  
17 least, are those role models that I envisioned. And  
18 it's been an honor and a pleasure. Thank you.

19 (Applause.)

20 DR. HOWELL: I think Jeff Botkin had a  
21 question.

22 DR. BOTKIN: Well, I can probably make this

1 in the context of my presentation. But just to say  
2 our group, as well, highlighted the potential need to  
3 have the Chairs of the subcommittees have an  
4 opportunity to talk. And, of course, in the context  
5 of the larger meeting here, we have the opportunity to  
6 hear what everybody's doing. But we thought, too,  
7 that that might be a new opportunity that would be  
8 valuable.

9 DR. HOWELL: But thank you, again, Tracy,  
10 for your hard work. And, Don, we look forward to your  
11 carrying on this tradition. Great.

12 We are now going to move on to our next  
13 subcommittee report. And that's Coleen and Jeff. And  
14 Jeff will be presenting that. This is the  
15 Subcommittee on Follow-Up and Treatment.

16 I don't know whether Coleen's on the phone  
17 today or not.

18 DR. BOTKIN: I don't know, either.

19 Coleen, are you with us?

20 DR. HOWELL: Is anybody on the phone? Well,  
21 that's settled. Nobody's on the phone. Okay.

22 Jeff?

1 DR. BOTKIN: Well, and Coleen has a long  
2 history with the subcommittee and has provided  
3 outstanding leadership (inaudible) committee. And so,  
4 if she's able to join us on the phone, most welcome.  
5 We have a large and diverse group who participate with  
6 our subcommittee, many of whom are here today. And  
7 so, once I finish my comments, I'll welcome comments  
8 from other subcommittee members about their  
9 perspectives on our wide-ranging and fascinating  
10 conversation yesterday.

11 Thanks to Jill Sugar for her support for the  
12 subcommittee. She put together a wonderful document  
13 that summarizes the work of this subcommittee over the  
14 last number of years or so. I'm going to touch on a  
15 couple of highlights here. But hopefully, that  
16 document will become part of the record to illustrate  
17 all the work that the subcommittee has done.

18 I just wanted to highlight a couple of  
19 publications that have come out of the work of the  
20 subcommittee. First, this question, "What Questions  
21 Should Newborn Screening, Long-Term Follow-Up Be Able  
22 To Answer." That is now in electronic publication

1 ahead of print from June of this year in Genetics in  
2 Medicine. So you see the authors on that paper, all  
3 longstanding contributors to this subcommittee.

4 Let me go backwards here. "Long-Term  
5 Follow-Up After Diagnosis Resulting From Newborn  
6 Screening," published in Genetics in Medicine back in  
7 2008, Alex Kemper, first author, and, again, a lot of  
8 familiar names on that substantial publication.

9 Quite a few meetings and discussions  
10 fostered by the subcommittees. And I've collapsed  
11 those into these general categories. The subcommittee  
12 has been tracking health policy reform and the  
13 implications of those reforms for newborn screening  
14 services, and particularly, within the domain of this  
15 subcommittee, what are the implications for the care  
16 of children.

17 In particular, I'll emphasize here the  
18 notion of medical foods. I'll be coming back to that  
19 on several occasions during my comments here to  
20 illustrate the importance of that domain.

21 Health I.T., another significant focus of  
22 the subcommittee over time, with the recognition that

1 that's a rapidly-changing landscape and offers some  
2 real opportunities, longer-term, to capture the type  
3 of data that heretofore has not been readily available  
4 on the outcomes of children with these conditions and  
5 the ability to compare different treatment modalities  
6 in real-time, in real-life. And so, health I.T. has  
7 been a significant focus as well. So quite a few  
8 professional presentations in these domains, as well  
9 as fostering a number of meetings within the  
10 subcommittee to address these topics.

11 A number of issues that are in progress at  
12 this point. We have a hospital-based point of care  
13 screening. This conversation, of course, emerged out  
14 of the congenital cyanotic heart disease statement,  
15 but also relevant to considerations like  
16 hyperbilirubinemia and illustrating the clear change  
17 in the direction of some of these screening  
18 modalities.

19 And this goes along with the implications of  
20 whose job is it to engage with these technologies and  
21 to follow the kids up longer term. So, at this point,  
22 there is an early draft of the paper led by Nancy

1 Green and Alex Kemper. A small group of us are  
2 helping with drafting of that.

3 That will come to the full subcommittee,  
4 hopefully, before the next meeting or so, and then  
5 presented to the Advisory Committee, presumably,  
6 within the next six to nine months or so. So this is  
7 a significant effort.

8 In addition, there's Brad Therrell and  
9 Colleen Buechner's paper that they've been working on  
10 for a period of time, "Improving Data Quality and  
11 Quality Assurance in Newborn Screening by Including  
12 the Blood Spot Screening Collection Device Serial  
13 Number on Birth Certificates," a fairly specific,  
14 narrow issue, but really quite important in follow-up  
15 and data collection for kids identified through  
16 newborn screening.

17 And this has now been finalized. And this  
18 paper will be ready for submission to the full  
19 Advisory Committee for this committee's evaluation,  
20 presumably, at the next meeting.

21 So thanks to Brad and Colleen for all their  
22 work on this.

1                   This is also a substantial effort.

2       "Parents' Experience with Limited Insurance Coverage  
3       for Medical Foods Used for Treatment of Inherited  
4       Metabolic Disorders," Susan Berry and this list of  
5       authors, again, has been working hard on this survey,  
6       has this paper in almost final form. It has to be  
7       reviewed by several federal agencies and at that  
8       point, will be ready for subsequent submission for  
9       publication.

10                   So no question, our subcommittee felt that  
11       our subcommittee does valuable --

12                   (Laughter.)

13                   DR. BOTKIN: Clearly, the whole system's  
14       notion of newborn screening with all of the linked  
15       services that, hopefully, should be available for  
16       children after the time of diagnosis is under the  
17       purview of our committee, and a clear consensus that  
18       those complex set of issues need further attention and  
19       evaluation.

20                   What we spent quite a bit of time on was the  
21       question of implementation. And I think what some  
22       general sense that the committee function may benefit

1 from additional attention to some of the closer-to-  
2 the-trench issues.

3           What does it mean to say we're going to  
4 initiate a certain type of screening and follow-up?  
5 Who has responsibilities for conducting those  
6 services? How should data be collected to assure that  
7 children are benefiting in a maximum way from those  
8 services?

9           So the implementation issues, we thought,  
10 were something that required additional attention and  
11 discussion. Now, this, of course, is a huge set of  
12 issues. And we're cognizant of the need not to get  
13 overly ambitious with what can be supported with the  
14 subcommittee.

15           But we also thought that this was worthy of  
16 additional attention and collaboration with the other  
17 sub-groups, because implementation refers, of course,  
18 to the testing itself as well as the diagnosis and  
19 longer-term follow-up. So the specific implementation  
20 aspects, we thought, were important. And, as you'll  
21 see with our revised charge, we've added this term to  
22 the charge to highlight our attention to this aspect

1 of newborn screening.

2           So here is our revised charge. I didn't put  
3 up the original charge for you. But I'm going to  
4 offer this language. And it's perhaps slightly  
5 broader than the original charge, but not shockingly  
6 so.

7           So it identifies barriers to post-screening  
8 implementation and short and long-term follow-up,  
9 including treatment relevant to newborn screening  
10 results; secondly, develops recommendations for  
11 overcoming identified barriers in order to improve  
12 implementation of short-term and long-term follow-up,  
13 including treatment relevant to newborn screening  
14 results; and, thirdly, offers guidance on  
15 responsibility for post-screening implementation, et  
16 cetera. Judicious use of acronyms here.

17           (Laughter.)

18           DR. BOTKIN: So we felt that this  
19 highlighted the implementation issues. Treatment is  
20 in the name of our subcommittee, so we thought that  
21 ought to be reflected within the charge itself and  
22 some discussion as well about what we mean by long-

1 term follow-up.

2           And I think our group, consistent with the  
3 publication that came out of the Secretary's Advisory  
4 Committee, long-term follow-up, beginning at the time  
5 of diagnosis and ending at probably 21 years of age.  
6 I think we decided, based on the legislative mandate  
7 of the committee itself.

8           Although, as I'll mention in a minute,  
9 transition to adult care is a critical issue in terms  
10 of long-term follow-up. But, given the purview of the  
11 larger Advisory Committee, our attention was going to  
12 be focused on the sending end of that transition and  
13 perhaps not so much on the receiving adult end of that  
14 transition.

15           Do we need new people on the subcommittee?  
16 Here were some suggestions. I don't think there was a  
17 great deal of time to talk about this in detail or any  
18 clear consensus on this.

19           But to the extent that we'll be focusing  
20 more on point of care issues and perhaps thinking  
21 about implementation and issues around education  
22 within the nursery environment, a neonatologists might

1 be a good addition, a nurse practitioner involved with  
2 kids who were receiving long-term follow-up care  
3 consideration, and that question of the adult  
4 clinician. Again, if we want to focus on this  
5 question of transition to adult care, perhaps getting  
6 input -- additional input from folks who were  
7 responsible for the adult care end of things might be  
8 helpful.

9           So what are the future issues?  
10 Implementation we've talked a little bit about  
11 already. We had some discussion about whether there  
12 should be greater opportunities to collaborate with  
13 the regional collaboratives.

14           Given the fact that the regional  
15 collaboratives may, in some circumstances, be more  
16 tightly linked with the individual programs and the  
17 trenches that those folks live in, might there be an  
18 opportunity for the regional collaboratives to help  
19 garner additional input on the work of the Advisory  
20 Committee from state health departments and might our  
21 subcommittee be a potential avenue for help garnering  
22 some of that feedback from the regional

1 collaboratives.

2           We didn't come to any consensus on this.

3 But I think there was some general sense that it might  
4 be valuable to enhance that communication process with  
5 the collaboratives, and then, the collaboratives, of  
6 course, with the individual states within their  
7 regions.

8           Roles and responsibilities we've touched on  
9 already. Whose job is it to do these things? And we  
10 had a good discussion that changed our terminology  
11 from accountability to responsibility so that,  
12 perhaps, a little bit less legalistic. We wanted to  
13 have the opportunity to talk about whose  
14 responsibilities would be entailed with the long-term  
15 follow-up and treatment aspects.

16           Again, medical foods -- we want to highlight  
17 the importance our subcommittee places on this. And  
18 we wanted to make sure that, as the federal process  
19 moves forward for determining the minimal care  
20 elements, that medical foods was highlighted once  
21 again. And we understand that the committee has had  
22 communication with the federal government and had

1 feedback from the Secretary about this issue, but we  
2 wanted to highlight it just to make sure that, as this  
3 process moves forward, that it reflects the importance  
4 that our group gives to it.

5 Health I.T. issues -- that's been mentioned  
6 a number of times. I think I.T., clearly, a  
7 significant focus of discussion for our subcommittee  
8 as well as the other subcommittees and the larger  
9 Advisory Committee.

10 And then, some discussion at the very end  
11 about whether we might entertain a specific focus on  
12 some of the long-term follow-up issues and treatment  
13 issues with children with sickle cell disease. It's a  
14 condition for which the efficacy of the early  
15 interventions is unquestionable. But, at least to my  
16 understanding, fairly good data that a lot of these  
17 kids are falling through the cracks.

18 We know there's been a lot of attention to  
19 this, so I think we might need to specifically  
20 address, you know, what questions would be most  
21 relevant for our subcommittee to attend to in this  
22 particular domain. But I think some general sense

1 that this is such an important disease for which the  
2 long-term follow-up aspect could be improved to  
3 enhance the overall efficacy of the newborn screening  
4 program. So that was a tentative direction that we  
5 may want to further explore.

6 And I believe that's it. So I very much  
7 want to welcome additional comments from our working  
8 group, anybody who may want to emphasize another issue  
9 that I missed or recharacterize any of that  
10 conversation.

11 DR. HOWELL: Well, Jeff, thank you very  
12 much. And I think that I can say that the committee  
13 as a whole also values your committee and does think  
14 it's a committee of value.

15 (Laughter.)

16 DR. HOWELL: So rest assured in that.

17 (Laughter.)

18 DR. HOWELL: I think we should, in addition  
19 to thanking you, we should certainly thank Colleen,  
20 who has worked very hard on this committee. And she  
21 will continue to be, I'm sure, a very strong  
22 participant as you move forward.

1 DR. BOTKIN: I hope so.

2 DR. HOWELL: Any other questions or comments  
3 for -- oh, we have a couple.

4 Ned?

5 DR. CALONGE: So (inaudible). It's for  
6 things that aren't on the list for the next Chair to  
7 consider as potential subcommittee or work group work.  
8 Is this an appropriate time to bring those up?

9 DR. HOWELL: Sounds good to me.

10 DR. CALONGE: One of the things that we've  
11 talked about at now two subcommittee meetings and yet,  
12 I think we haven't necessarily captured it as work  
13 that we need to pursue, is the concept that I think we  
14 should look at diversifying the outcomes or the  
15 products of the recommendations of this Advisory  
16 Committee. So right now, the, kind of, final common  
17 pathway for considering conditions is that they end up  
18 on the uniform list.

19 And what that brings with it is a mandate  
20 that these conditions and the screening for them  
21 become provided on a state-wide basis with the usual  
22 implementing activity being state government. I will

1 tell you that there is many issues for which -- like,  
2 especially blood spot screening -- where that's  
3 exactly the right thing to do.

4           There may be other, though, screening  
5 activities where state government isn't the most  
6 effective, efficient, or appropriate source for  
7 implementing the recommendation. And I would point  
8 out that, you know, well over 99 percent of all  
9 medicine is not mandated. And yet, we still manage to  
10 have some consistency, quality improvement, and  
11 population-based rollout of many services.

12           So I think thinking about additional routes  
13 to bring screening forward on a population state level  
14 that aren't necessarily we recommend this be added to  
15 the uniform panel is something, I think, the committee  
16 actually really should think about. Other routes are  
17 professional guidelines, which are implemented to  
18 varying degrees by the specialties. Hospitals  
19 actually pay quite a bit of attention to JCOA, the  
20 Joint Commission on Accreditation. And that's another  
21 route.

22           Medical standard of care is how most

1 medicine is defined in a legal and tort approach in  
2 most states. So, as we looked at pulse ox screening  
3 for congenital heart disease, some states recognize  
4 that this isn't something they do or know how to do.  
5 And they may want other routes.

6           So I think thinking about a broader  
7 implementation strategy for our recommendations that  
8 actually match the systems that are out there so that  
9 the only final common pathway is that it's added to  
10 the uniform panel or it is not would be in the best  
11 interests of newborn screening across the country and  
12 is something I hope the next Chair will think about  
13 and think about either a work group or assigning that  
14 to a subcommittee.

15           DR. HOWELL: That's, obviously, very  
16 interesting and important. How would you -- if you  
17 were thinking of a charge that Joe might consider, and  
18 so forth, what would you charge this committee to do?  
19 How would the charge read?

20           DR. CALONGE: It really would be looking at  
21 the way other recommendations and guidelines and even  
22 standards are implemented in medicine across other

1 systems. So ACIP, just by example -- they don't  
2 mandate the use of any vaccine. They just approve it.

3 Now, approving it, then, has a number of  
4 different ramifications in terms of rollout. But  
5 we've actually done a pretty good job of getting  
6 uptake of vaccines through that mechanism. So I think  
7 looking at other routes of population-based  
8 implementation of recommendations would be the charge.  
9 And then, figuring out the criteria for when the  
10 Advisory Committee would, say, recommend adding this  
11 to the panel, versus, recommend rolling this out in  
12 population-based medicine through a different route,  
13 would be the charge of the committee.

14 The last thing I'd say is that, you know, we  
15 have these categories, one of which is needs pilot  
16 studies. And I would urge us to also look at the  
17 ability to go back to this concept of a provisional  
18 recommendation. And you say, well, what's the  
19 difference.

20 The issue is that pilot carries with it the  
21 connotation of research. And research carries with it  
22 the connotation of informed consent.

1           If there were a way to do population-based  
2 data gathering on something we really think shows  
3 promise or we think there's a high likelihood of  
4 effectiveness, benefit versus harms, if there was  
5 another way to provide a category where informed  
6 consent and research wasn't part of the concerns so  
7 that we could get higher uptake implementation within  
8 uniform screening with the mechanisms we already have,  
9 and then, the discipline to look at it later to make  
10 sure it worked, I think that would be another helpful  
11 category. So those are all charges I would give the  
12 sub-group.

13           DR. HOWELL: Well, that certainly is an  
14 interesting collection of stuff to go into the -- and,  
15 certainly, our incoming Chair has a lot of experience  
16 with vaccines. And so, that recommendation should  
17 work neatly.

18           Fred?

19           DR. CHEN: Our subcommittee also had a  
20 discussion about implementation, and especially in the  
21 context of these new technologies that move beyond  
22 heel spot screening.

1           So I think what you suggested about having  
2   at least the subcommittee Chairs talk, but especially  
3   around this issue of implementation, which we  
4   recognize really doesn't -- Jeff, what I thought I  
5   heard, at least part of what you were saying, was  
6   about implementation, sort of, post-screening  
7   implementation. I think you mentioned a couple of  
8   times.

9           And our subcommittee was talking really  
10   about, sort of, well, who's taking care of the  
11   screening implementation piece that goes outside of  
12   the laboratories, and which seems to be an area that  
13   we continue to move more and more into. I think that  
14   builds very much on what Ned was talking about, too,  
15   which was we do need to start thinking in a different  
16   way about many of these implementations, the  
17   strategies, and the different types of methodologies  
18   we could be using.

19           DR. CALONGE: Well, I would say that we  
20   wanted to promote exactly this sort of dialogue, and,  
21   again, particularly as we move into things like pulse  
22   oximetry and echocardiography. It wasn't clear to us

1     whether that was something that the Laboratory Group  
2     would find to be within their natural home or whether  
3     it didn't quite fit in with Long-Term Follow-Up. So  
4     it seemed to be in a gray area, where we wanted to  
5     make sure that that wasn't falling through the cracks.

6             DR. HOWELL: Gerry?

7             DR. VOCKLEY: One of the pieces that has  
8     been integral and essential to everything that we have  
9     done over the last few years has been the evidence-  
10    based review. And I think that the importance of that  
11    process really -- it isn't captured in any of the  
12    subcommittees. I don't know if it needs to be. But  
13    we, I think, can emphasize the changes that that  
14    process is undergoing as it relates to rare diseases.

15            One of the pieces that I'm increasingly  
16    frustrated with is when people look at some sort of  
17    evidence review -- I mean, and this is going on with  
18    PKU. It's going on with a number of things -- is just  
19    the point that the studies only have 50 patients in  
20    them. Well, 50 patients in a rare disease is a huge  
21    number. And I think the committee can do a lot of  
22    good by really promoting the process of evaluating

1 these disorders and then, you know, the various  
2 components that are part of the subcommittee's  
3 individual charges are what can reflect that and focus  
4 on it as they go forward.

5           And I know we're going to have some evidence  
6 -- some more from the Evidence Review Committee later.  
7 I would just like to be able to tie some of that back  
8 into the formal charges to the subcommittees and, in  
9 some way or other, highlight the process as well as  
10 the substance of their reports, because even the  
11 process of it may well be more important in the long  
12 run than any one of the individual reports.

13           And if we can get people thinking  
14 differently about how we formally evaluate these and,  
15 at the same time, increase our ability to do this in a  
16 scientific fashion, you know, we're going to be light  
17 years ahead of where we were even a few years ago. I  
18 think we already are light years ahead in terms of the  
19 evaluation process.

20           DR. HOWELL: Thank you very much, Gerry.

21           Is there further comment?

22           MALE SPEAKER: Can I raise a new issue?

1 DR. HOWELL: Of course.

2 MALE SPEAKER: I think one of the issues  
3 that has been integral to the whole discussion of  
4 newborn screening for generations have been the  
5 ethical, legal, and social issues. We don't have a  
6 subcommittee to help address those kinds of issues.  
7 But, at the same time, sensitive to the notion that  
8 can't keep proliferating subcommittees. And so, just  
9 want to raise that set of issues for consideration.

10 Should it be a separate subcommittee? Might  
11 it -- or, alternatively, might there be opportunity to  
12 have a relationship with the Bioethics and Legal  
13 Working Group of the Translational Network? You know,  
14 might that help serve to inform the committee about  
15 some of the issues.

16 Now, those don't tend to be linked to  
17 specific screening modalities or conditions, but could  
18 potentially assist in that capacity. So I just wanted  
19 to raise that set of issues to make sure that it's  
20 explicitly on the agenda of the Advisory Committee.

21 DR. HOWELL: Well, obviously, we've  
22 discussed that a lot, with the technology,

1 particularly with the whole genome sequencing, how big  
2 a deal that's going to be. I think that, as you look  
3 at the reorganization of the committee, that'll be an  
4 issue of whether or not that should be distinct or  
5 still embedded, as it has been, and so forth.

6 Further comments, and so forth?

7 Thank you very much, Jeff. You're not going  
8 to go very far, however.

9 DR. BOTKIN: No.

10 DR. HOWELL: So our next section is called  
11 the future of the committee. And, as you remember,  
12 this committee has reviewed issues related to the  
13 residual blood spots. There's been a lot of turmoil  
14 in this. And Jeff has recently gotten a grant to look  
15 further at this issue. And he's going to present some  
16 of the more recent information.

17 The committee, obviously, has prepared a  
18 white paper, which is online, about the use of storage  
19 and residual blood spots. And that's now a little  
20 behind the times, and so forth. So anyway, Jeff is  
21 going to discuss new steps in the newborn consent --  
22 NDS consent conversation.

1 DR. BOTKIN: Thank you, Dr. Howell.

2 So we had a two-day meeting in Salt Lake  
3 this last week. And this was under the auspices of  
4 the Bioethics and Legal Working Group of the Newborn  
5 Screening Translational Network, which is funded by  
6 the NICHD that Mike had talked about in some detail  
7 yesterday.

8 Certainly, my thanks to Amy Hoffman and Mike  
9 for their support for pulling this meeting together  
10 and the ACMG, more broadly, for their organizational  
11 help.

12 A number of folks who are here today  
13 participated in that meeting with us. Sara was able  
14 to attend. Amy Hoffman, certainly, Don Bailey, Nancy  
15 Green, Ann Comeau, Beth Tarini, Natasha Bonhomme. And  
16 we had about 30 folks who participated in this  
17 meeting. And I'll tell you a little bit more  
18 specifically about how this topic was framed.

19 The meeting itself was prompted by a  
20 specific NIH project that has been providing the  
21 University of Utah with Cathy Swoboda, who's a  
22 geneticist and neurologist, as the P.I. on this

1 project. And it's to do a pilot newborn screening  
2 project for spinal muscular atrophy. My piece of that  
3 project with Cathy is to look at the ethical and  
4 regulatory issues. And so, we've got a couple of  
5 activities that we're engaged with in that respect.

6 We're going to do focus groups with new  
7 parents and young individuals about their attitudes,  
8 about the permission process for newborn screening  
9 pilot. And separately -- although, in a related  
10 fashion, the Bioethics and Legal Working Group of the  
11 Translational Network is also preparing a survey of  
12 state health department IRBs on their attitudes about  
13 how to oversee newborn screening pilot research.

14 So we really had an outstanding group of  
15 folks who participated in this meeting. The goal was  
16 to reach consensus on some of the key ethical and  
17 regulatory issues and the conduct of population-based  
18 screening research. The central question was, under  
19 certain circumstances, might newborn screening pilot  
20 studies qualify for a waiver of traditional informed  
21 permission from parents. And by traditional informed  
22 permission here, I mean a signed consent form.

1           The concern traditionally has been that  
2   parental permission involving a signed consent form  
3   can impair recruitment and the timely completion of  
4   population-based research. So here's the ethical  
5   conundrum here. We have longstanding and strong  
6   respect for parental decision making in clinical care  
7   and research. Parents are asked to be decision makers  
8   on behalf of their children. Research is voluntary  
9   and altruistic.

10           At the same time, population-based research  
11   is of critical importance. This group understands the  
12   need for additional data to make decisions about what  
13   screening modalities are in the best interest of  
14   children. And those population screening projects  
15   need to go forward in order to collect those data. So  
16   it's certainly in the best interest of children for  
17   population-based research to go forward.

18           But if the consent process itself impairs  
19   the feasibility of those population-based research  
20   projects, then you've got a conflict between our  
21   traditional respect for parental authority and the  
22   need to get this type of work done for the welfare of

1 children. So that's the conundrum.

2           So we came to a couple of general  
3 conclusions here. And I'll explain the rest of the  
4 process here in a minute. But let me just articulate  
5 a couple of the conclusions from this group, to the  
6 extent that we had some general consensus on some of  
7 the points.

8           Clearly, strong support for evidence-based  
9 newborn screening and research to support this goal.  
10 Everybody believes this is essential to the field.

11           More specifically, we wanted to define what  
12 a pilot study means. There was some debate and  
13 difference of opinion, at least, at the beginning.  
14 But I think for our purposes, we want to emphasize  
15 that we're talking about studies that mimic a newborn  
16 screening system. So you've got screening of  
17 children, identifiable samples, return of results to  
18 kids who screened positive, and then, follow-up.

19           Although, for our purposes, the research  
20 piece of this is the screening and the identification  
21 of the kids. Whether they're subsequently enrolled in  
22 a treatment protocol or a long-term follow-up protocol

1 would require separate consent for that phase of a  
2 study.

3           When supporting evidence is incomplete for  
4 the introduction of new tests on a state or uniform  
5 panel, pilot studies should be conducted under a  
6 research paradigm. I think this sounds relatively  
7 benign, but I think the notion here is use of state  
8 public health emergency authority, for example, is  
9 probably not the best way to implement newborn  
10 screening tests.

11           And if we have the data in place and the  
12 test is essential for the health and welfare of kids,  
13 that sort of authority, of course, makes sense. When  
14 you don't have the data, implementing tests in that  
15 fashion is less than ideal.

16           The group outlined circumstances -- oh,  
17 folks are probably familiar with the waiver criteria  
18 under the federal research regulations. In order to  
19 waive traditional informed consent, you need to meet  
20 four criteria. The research has to be judged to be no  
21 more than minimal risk. There has to be no  
22 abridgements of the rights and welfare of the

1 participants otherwise. The research has to be judge  
2 impracticable if traditional informed consent is used.  
3 And research participants have to be informed later  
4 about the research, when appropriate.

5           So we walked through each of these criteria  
6 in this particular context and drew the following  
7 general conclusions. We tried to outline  
8 circumstances in which we felt pilot studies might  
9 constitute minimal risk and when they might constitute  
10 greater than minimal risk.

11           Criteria here or issues here were the  
12 quality of the test itself, analytic validity, and the  
13 clinical validity of the test, was there an available  
14 treatment that appeared to be beneficial for children,  
15 what's the burden of the further diagnostic  
16 procedures. If the diagnostic procedures are  
17 particularly burdensome and there's a risk that  
18 there's a significant number of false positive  
19 children who would sustain those burdens of the  
20 diagnostic evaluation, then that might well not be  
21 considered a minimal risk screening protocol.

22           We discussed the concept of the rights and

1 welfare in this context. My personal opinion is that  
2 criterion has always been vague and hard to figure  
3 out. But, particularly in this particular context,  
4 what we discussed was that screening for certain  
5 sensitive conditions might make a pilot ineligible for  
6 a waiver. So this could be culturally-sensitive, for  
7 example.

8           If a community might feel that a particular  
9 screening modality was sensitive, for whatever  
10 reasons, then that might not quality in this  
11 particular context. Or if there are issues of  
12 particular discrimination or stigma that might be  
13 associated with screening, that, again, might be a  
14 consideration, under this category.

15           Impracticability -- factors that weighed on  
16 impracticability have to do with things like the size  
17 of the population that need to be screened. If we're  
18 talking about screening 500 people, that's quite a bit  
19 different than 400,000.

20           The SMA protocol that Cathy's designing  
21 would engage both Utah and Colorado for a period of  
22 three years. So we're talking about 400,000 children.

1 So the prospects of conducting a formal consent  
2 process with 400,000 children over all the birth  
3 facilities in two states is substantial.

4 So it's number of -- so it's size of the  
5 population and the number of birth facilities and the  
6 number of individuals that might be responsible for  
7 obtaining informed consent. And what flows from that,  
8 in certain circumstances, is that the birth facilities  
9 may be engaged in research and, therefore, have to go  
10 through their own IRB approval. And so, California  
11 had this experience.

12 A part of our project was to hear how  
13 various pilot projects historically have addressed  
14 this issue. And it's, frankly, been all over the map.  
15 Some have required written consent process. Others  
16 have allowed an opt-out approach. Others have used  
17 state authority to mandate initial screening.

18 A significant conversation focused on the  
19 return of results. And not so much -- not at all,  
20 actually, the positive results, since that's the point  
21 of screening, but the negative results. Is it  
22 ethically-necessary, appropriate to return negative

1 results in this sort of context? What are the risks  
2 associated with returning negative results, say,  
3 through primary care providers?

4 What are the benefits to families? And what  
5 are the rights involved? Do parents have a right to  
6 that information? And what are the implications for  
7 the project in general?

8 It's a huge amount of effort to get those  
9 results out in an interpretable fashion. And that may  
10 itself impair the ability of a project to be  
11 successful. So no real consensus on that particular  
12 issue, other than to highlight the importance of it.

13 Perhaps most important, a really high  
14 priority placed on parental education and engagement,  
15 regardless of a decision about the permission model.  
16 So irrespective of whether you get a signature on a  
17 paper or whether it's an opt-out model or exactly how  
18 that's designed, significant emphasis on the  
19 importance of making sure parents are aware that  
20 there's a research protocol going on, to the best of a  
21 program ability. And, at a minimum, certainly,  
22 they'll have the ability to opt out, which is always

1 one's prerogative in the research context.

2           General consensus, I think, that a waiver of  
3 traditional informed consent may be appropriate, in  
4 some circumstances. I wouldn't say that everybody  
5 agreed with that. But I think that the majority, and  
6 perhaps significant majority, felt that, in some  
7 circumstances, a waiver of traditional informed  
8 permission may be appropriate.

9           And then, an opt-out approach may be  
10 appropriate, in some circumstances. Or a waiver of  
11 written documentation of consent may be appropriate,  
12 in certain circumstances.

13           Again, under the assumption that there's a  
14 meaningful parental education and readily-available  
15 mechanisms to opt out. So the opt-out has to be  
16 readily available. And I think opt-out requires  
17 additional ethics attention, in general.

18           But I think, as we know, with many programs,  
19 you can have an opt-out, but awareness of that is  
20 virtually absent, because the ability is buried within  
21 a brochure, and they have to go through a phone tree  
22 during restricted hours in order to effectuate your

1 ability to opt out. So we want this to be a readily-  
2 available option, if that is felt to be otherwise  
3 appropriate.

4 So those are general conclusions. Our plan  
5 is to declare a manuscript for publication with all of  
6 the participants in the meeting as authors, with the  
7 potential exception of Sara, given her complicated  
8 association with federal government.

9 (Laughter.)

10 DR. BOTKIN: And so, we hope to have this  
11 prepared over the span of the next couple of months or  
12 so. And we think this is such an important issue for  
13 the conduct of research in this domain that we hope  
14 that this paper will have an impact on the field, and  
15 particularly IRBs that have the responsibility of  
16 overseeing these types of proposals. Thank you.

17 DR. HOWELL: Well, thank you very much,  
18 Jeff.

19 Fred has comments. Then, Chris.

20 Fred?

21 DR. CHEN: Oh, thanks.

22 Thanks very much for that report. I wonder

1 about implementation beyond, sort of, publishing the  
2 paper and, sort of, what the right avenue might be.  
3 And that, certainly, comes in context of another  
4 question, which is, what about -- I believe we're  
5 still in the comment period for the proposed  
6 rulemaking, or the announcement of proposed  
7 rulemaking, around the changes to the common rule.

8           We looked at -- I assume you guys are well-  
9 aware that -- are there some changes in that? I know  
10 there were specific issues around genetic testing and  
11 genetic, sort of, technologies that are there. But is  
12 there a possible implementation plan there and another  
13 pathway forward for the work of your group in  
14 conjunction with the proposed rulemaking?

15           DR. BOTKIN: Actually, that's a great  
16 question, because the announced notice of proposed  
17 rulemaking would have significant impact in this  
18 domain. And that would prospectively acquired  
19 specimens for clinical purposes, if you're going to  
20 use them for research purposes, would require a  
21 written informed consent process. So that would  
22 impact this domain.

1           I think lots of us are planning on pushing  
2 back vigorously against that. It's unlikely to be  
3 implemented in the immediate future. Although some  
4 folks are saying that the end of the current  
5 administration is a goal for getting those changes  
6 passed. So they may be accelerated more than the  
7 others in the past. But we're acutely aware of those  
8 changes and would have significant implications in  
9 this domain.

10           Now, having said that, what they anticipate  
11 as an informed consent process in that context is a  
12 very simple form with a signature at the clinical  
13 interface. So, you know, at least from my personal  
14 perspective, that really fulfills traditional values  
15 that we want to promote with informed consent. But it  
16 is a signature on a piece of paper.

17           DR. HOWELL: Chris?

18           DR. KUS: I have some concern in the sense  
19 that, if I understand it, the idea is that this is  
20 really piloting the screening test and short-term  
21 follow-up and not including long-term follow-up. And,  
22 I mean, I guess my concern is that kind of perpetuates

1 the idea -- I believe, newborn screening includes  
2 long-term follow-up. And not to have some sense of  
3 that in the pilot is concerning.

4 DR. BOTKIN: Well, that's a great point.  
5 And I think each project, of course, will have to be  
6 designed around its own specific aims in that regard.

7 With respect to the SMA project, I think we  
8 are thinking about this -- or want to think about it  
9 in somewhat separate terms in that one can't presume  
10 to get -- for example, if we should determine that,  
11 for the SMA project, an opt-out is appropriate at the  
12 time of screening, that opt-out would not legitimately  
13 carry forward after the identification of affected  
14 children and further study of those kids.

15 And so, at that point, you would need to  
16 obtain informed consent for whatever else was going to  
17 be happening on a research basis. So for that reason,  
18 we're thinking about, in this context, at least, the  
19 pilot study being just at the time of diagnosis when  
20 you can actually engage those families.

21 DR. HOWELL: Jeff, could you comment a  
22 little bit more specifically about the SMA project,

1 exactly where that is and its movement forward?

2 DR. BOTKIN: Well, we're in active  
3 discussions with both the state of Utah and Colorado  
4 about the feasibility of the protocols. So the study  
5 has been funded. But there are a nest of complicated  
6 issues in terms of sample handling, this permission  
7 issue being a major one up front, and finalizing the  
8 testing platform for that.

9 The laboratorians -- Steve Dobowalski has  
10 been active in the development of this platform with -  
11 - it's a DNA-based platform. And the current  
12 information is that this is a test that's highly  
13 sensitive and specific and that the test results give  
14 you pretty clear information about the type of SMA the  
15 kids will have and the clinical implications of the  
16 testing.

17 So, at least at this point, the claim is  
18 that this is a remarkably good test and cheap. So the  
19 testing aspect doesn't look like it's going to be a  
20 major barrier. At this point, the discussions about  
21 the protocol for the screening itself, parental  
22 permission issues, transfer of sample questions, et

1 cetera. But it does seem clear that we -- that Cathy  
2 would need basically the whole birth cohort of both  
3 states over a three-year period in order to have  
4 adequate numbers for subsequent follow-up.

5 DR. HOWELL: This, of course, is a very  
6 interesting problem in that there's no specific  
7 treatment available, but a number of exciting things  
8 on the horizon. And, of course, it's one of the  
9 leading causes of death of infants below one year of  
10 age. So it's an important area to pursue.

11 DR. BOTKIN: Yeah. And I think Cathy would  
12 claim two things. One is that if one aggressively  
13 implements things like nutrition and balantory  
14 support, airway clearance prior to the time of initial  
15 weakness, that you can substantially improve the  
16 clinical outcome, just from those more general  
17 measures.

18 And she's also hopeful that there's some  
19 pharmacologic agents on the scene that may be gene-  
20 promoters that may be potentially quite effective in  
21 this context. The animal models, apparently, are  
22 looking quite promising.

1 DR. HOWELL: She's correct, I think,  
2 clearly, on both of those counts, et cetera.

3 Mike has a comment.

4 DR. WATSON: At this point in time, there  
5 were representatives of both the Institution of State  
6 IRBs (off-mike) at the meeting. They were actually  
7 looking for guidance in how to (off-mike) aspects of  
8 (off-mike), because they're very non-specific (off-  
9 mike) very complex (off-mike). You know, they'd  
10 welcome (off-mike) about helping them think about what  
11 specific information (off-mike).

12 DR. BOTKIN: Yeah, I appreciate that. I  
13 think that that's quite true. And the federal  
14 regulations governing research simply weren't designed  
15 with this, sort of, large population-based research in  
16 mind. And so, I think folks particularly struggle in  
17 this context. And I think there's certain barriers to  
18 overcome with health departments that don't  
19 traditionally see research as a primary goal.

20 It may be in some circumstances much easier  
21 to simply say, "Informed consent is the way to go."  
22 And if that doesn't make your project feasible, then

1 it's unfortunate. So we want to try to support  
2 decision making in that regard.

3 DR. HOWELL: This is a particularly  
4 important prototypic condition that the committee is  
5 going to see a lot more in the future, because you're  
6 going to have other conditions that you can have an  
7 accurate diagnosis and have some benefit that is more  
8 medical, and so forth, but still not what we would  
9 call a specific treatment. But they're on the  
10 horizon, and so forth. So I think that you're going  
11 to see those.

12 DR. BOTKIN: Yeah.

13 DR. HOWELL: This will be an excellent one  
14 to get all the things right as you move along, and so  
15 forth.

16 DR. BOTKIN: And I think related to that,  
17 Cathy emphasizes that these kids deteriorate, and they  
18 can't be rescued once those nerves -- once that nerve  
19 function is gone.

20 DR. HOWELL: So there's abundant evidence  
21 that in SMA Type I, you lose the motor neurons rapidly  
22 in the first weeks of life. And she's published that.

1 So it's a condition that, if you're going to treat it,  
2 you have to be on the job very, very early.

3 We have a cadre of distinguished colleagues  
4 here.

5 Dr. Nancy Green?

6 DR. GREEN: Good. Thank you.

7 And thank you, Jeff, for including me in  
8 that meeting and providing your leadership.

9 I wanted to make another point. I think  
10 that there was consensus and considerable discussion  
11 about in that meeting. And that had to do with the  
12 fact that the previous pilots had really been  
13 generated from state health departments. You know, we  
14 spent a lot of time talking about the California  
15 experience. Lisa was involved with that, and Ann's  
16 leadership in Massachusetts.

17 But I think that the group noted that this  
18 was somewhat different, because it really was led by  
19 an academic group with, you know, federal grants, et  
20 cetera. And so, you know, there was considerable  
21 discussion about what the interaction was between the  
22 academic resources and impetus and leadership and that

1    which the public health departments, not only brought  
2    to the table, but, in fact, you know, was a critical  
3    component.

4                So I think there were, sort of, two items of  
5    consensus and, you know, for you to consider for your  
6    report that I heard from the meeting.  And one was  
7    that, regardless of who leads these projects, whether  
8    they're, you know, generated from the public health  
9    department or from an academic source, that there  
10   really needs to be a partnership, because the project  
11   itself requires the infrastructure and activities and  
12   resources of the public health department, so, really,  
13   that there needs to be a partnership in these  
14   projects.  That's one point.

15               And then, the other, sort of, that flows  
16   from that is that whatever the project is, whatever  
17   the pilot is, that it cannot -- that it must support  
18   the mission of the public health activities for  
19   newborn screening and cannot interfere with the  
20   mission for, you know, even, sort of, perception of  
21   the public of the mission of public health newborn  
22   screening.

1 DR. HOWELL: Thank you very much.

2 We have Dr. Ann Comeau.

3 DR. COMEAU: Thank you.

4 I wanted to congratulate Jeff on this  
5 particular meeting in that the design of the meeting I  
6 found particularly beautiful in that it really focused  
7 on some of the bioethical issues in a very general  
8 terminology. How do we handle projects? And, as the  
9 group discussion was maturing, then entered into --  
10 the particular discussion of SMA, which really tested  
11 everybody's conclusions that they were drawing as we  
12 were going through the exercise.

13 And I think that there was a lot of back and  
14 forth that we're going to have to go back and revisit.  
15 Excuse me. I wanted to reemphasize what Nancy just  
16 brought up, the idea of the partnership.

17 And I think the one piece of consensus that  
18 we did have was that if it walks like a duck and talks  
19 like a duck, if it looks like newborn screening and  
20 it's a pilot program, then there is an extra level of  
21 consideration that we have to go forward to make sure  
22 that the newborn screening program is not undermined

1 by the research and that the research can benefit the  
2 newborn screening program. To that extent, I think we  
3 talked a lot about opt-in more so than opt out with  
4 various mechanisms.

5 We talked -- when we got to that level of  
6 detail, I think we were, kind of, all over the map on  
7 opt-in, opt-out and is it for all projects, or is it  
8 for the SMA project. So I think it was a great  
9 meeting. Thanks.

10 DR. HOWELL: Thank you, Ann.

11 Now we'll hear from one our largest and most  
12 active state. And that's Susan Tanksley.

13 DR. TANKSLEY: Hi. Susan Tanksley. I'm  
14 from the Texas Department of State Health Services.  
15 And I wanted to share with you the experience of our  
16 limited SCID pilot that we've had.

17 So we began enrolling, or trying to enroll,  
18 hospitals and clinics and things into our study months  
19 and months and months ago. In October of last year,  
20 we finally received our first specimens. Since  
21 October, we've received a total of 1,800 consents. So  
22 among the about 200,000 or more kids that have been

1 born in that time frame, we've only received consent  
2 to screen 1,800 of those.

3 It's been a very, very difficult process.  
4 And most of the hospitals that consent have been, "Who  
5 will do the consent"? So it becomes a very expensive  
6 process for the health care facilities.

7 I don't doubt that the informed consent is  
8 important. However, it will limit studies  
9 considerably, if Texas is any indication.

10 DR. HOWELL: Thank you, Susan.

11 Anybody want to comment on Susan's words?  
12 Jeff?

13 DR. BOTKIN: I'd like to follow-up on that.

14 DR. HOWELL: Okay.

15 DR. BOTKIN: Repeating basically what she  
16 said, from another large state. When we tried a  
17 consent process, people got missed. People didn't get  
18 offered the consent when they wanted it. And that was  
19 the big decision -- reason why we made the decision in  
20 SCID. We would not have a consent. And we went to  
21 IRB. We got a waiver of review, actually.

22 So, you know, what do you want to say is

1 worse, a parent's rights being violated, or a kid  
2 being damaged? Because we're at the mercy of the  
3 hospitals.

4 DR. HOWELL: The experience in Texas and  
5 California are sobering. And they're sobering for two  
6 reasons. One, you're personal experience. And the  
7 other thing, we're always interested in studies where  
8 the people live. And when you talk about Texas and  
9 California, it's a substantial portion of the entire  
10 country.

11 DR. BOTKIN: Yeah.

12 DR. HOWELL: So those are very interesting  
13 commentary. Did you all discuss these experiences at  
14 your meeting?

15 DR. BOTKIN: We did not discuss Texas. But  
16 Lisa (inaudible) was with us on the phone and  
17 presented the California data in some detail. And I  
18 will say Ann's (inaudible) there to speak for their  
19 experience with the tandem mass experience in  
20 Massachusetts that was an opt-in that waived  
21 documentation, if I'm correct. But you also had the  
22 opportunity to have hospitals all defer to the health

1 department so that you didn't have to go through  
2 individual institutional.

3 FEMALE SPEAKER: Correct.

4 DR. BOTKIN: I'm, sort of, (inaudible)  
5 speaking for you. But --

6 FEMALE SPEAKER: It's a success story, to my  
7 mind, is the model in Massachusetts of how we engaged  
8 parents, gave them education, were able to go through  
9 with a pilot program, both for tandem mass spec and  
10 for Cystic Fibrosis, and having established that  
11 particular mechanism, were able to go forward with the  
12 SCID pilot.

13 Parents and providers feel engaged. Again,  
14 it's a waiver of traditional informed consent. But it  
15 is an opt-in. And it is -- parents have to be asked.  
16 And the only documentation is when parents do not want  
17 to participate. And parents get a copy of what it is  
18 that they verbally said to the clinical provider who  
19 is asking for consent.

20 Five-minute process, accepted by the  
21 hospitals. It works. And we don't have -- we have  
22 very few complaints of, "I wasn't asked," or whatever.

1 And I think a lot of it was because, from the very  
2 beginning, our health department IRB and our medical  
3 schools' IRB went forward with education of all of the  
4 hospitals IRB.

5 We engaged OHRP and then, brought the  
6 hospitals in and said, "We have OHRP agreement for  
7 this particular kind of consent. You don't need to go  
8 through all of your individual institutional IRBs.  
9 This is how it's going to be. It's a state-wide  
10 program. We're going forward." And, you know, it's  
11 been in place now since 1999.

12 DR. HOWELL: Don has a comment, and then,  
13 Ned.

14 DR. BAILEY: So I just wanted to say I was  
15 able to attend the meeting and really appreciate the  
16 invitation to do that. That was a great discussion.

17 You know, I think this is an incredibly  
18 important series of topics that this committee will  
19 need to address in some more systematic way. And I,  
20 certainly, agree with Jeff's comments earlier that we  
21 need to think about whether we want a separate  
22 subcommittee, do we want to link with the MYCAS, but

1 some official designation of those responsibilities  
2 for this committee, I think's, very important.

3 It's, clearly, something where we have this  
4 big intersection of ethics and data and the need to  
5 know information. And so, the data we've already  
6 heard today we've got this big range from, what, you  
7 know, 2 or 3 percent of the people consenting in Texas  
8 to our Fragile X project.

9 We're getting about 67 percent. In  
10 Massachusetts, we're getting over 90 percent. So  
11 there's an incredible range of what happens when you  
12 ask for consent and in the ways that you ask for  
13 consent.

14 And so -- and these could be a synthesis of  
15 data around, you know, what are we learning when we do  
16 do consent processes. And then, this committee, I  
17 think, has a responsibility to make some  
18 recommendations going forward.

19 DR. HOWELL: And, Ned?

20 DR. CALONGE: So I just want the committee -  
21 - and after the comments -- just continue to recognize  
22 that states are actually idiosyncratic in their

1 approach to these issues. So coming from, you know,  
2 the state, you know, New Hampshire's motto is, "Live  
3 Free or Die." And Colorado's is, "Live Free and Die."

4 (Laughter.)

5 DR. CALONGE: The fact that only a couple of  
6 people said I wasn't asked, to me, just strikes fear  
7 to my heart, because they know who their legislator  
8 is. And it ends in a bill that harms public health.  
9 And I just spent eight years defending a lot of those  
10 activities. So I would just tell you that the state  
11 solution won't work for every state. And even, states  
12 that look like they should be the same, like Colorado  
13 and Wyoming, are vastly different. So Wyoming allows  
14 no philosophical exemptions for vaccines. And  
15 Colorado prides itself on having philosophical  
16 exemptions for vaccines. So just, as we go forward,  
17 recognize that gathering data, using it carefully, and  
18 understanding that the public health term, "whacko,"  
19 is a real, you know, legitimate term.

20 (Laughter.)

21 DR. CALONGE: Just recognize that those set  
22 up interesting challenges.

1 DR. HOWELL: Alan?

2 DR. FLEISCHMAN: Jeff, this sounds like an  
3 extraordinarily important academic exercise that  
4 you've done and that it, certainly, be published. And  
5 I would argue that this committee could convene OHRP,  
6 along with the organizations of health commissioners  
7 and territorial leaders like NACHO, AASTO, NACHO, and  
8 those kinds of organizations, in a discussion of  
9 relevant issues so that all health leaders across the  
10 country would understand the range of variation.

11 This would help, as individual state leaders  
12 come to their legislators to hear what Massachusetts  
13 and Texas and Colorado are experiencing. So I would  
14 think that we could do that.

15 I would doubt that merely having this  
16 committee make recommendations about good practice is  
17 actually going to make change in this regard,  
18 although, that might be a good thing. But I think we  
19 would need to do the educational activity, cross-  
20 discipline, cross-policy, and academic world.

21 DR. HOWELL: Becky?

22 DR. BUCKLEY: I think that the influence of

1 this committee is under-estimated, because I think  
2 that one thing I learned from the past four years is  
3 the importance of (inaudible). Because there were so  
4 many states several years ago that weren't screening  
5 but for just this limited number of things.

6 Now, because of the recommendations of this  
7 committee, even though it's just a recommendation,  
8 they don't have to do what this committee says. But  
9 they followed suit.

10 And I think if this committee came forward  
11 with a stance on performing these preliminary studies,  
12 which brings up the question of whether we should even  
13 remove the word, "pilot," from the study and call it  
14 something else like, "limited study," or, "initial  
15 study," or something like that that would take the  
16 research connotation out of it to get some of these  
17 things implemented.

18 After hearing what happened in Texas, I  
19 think that that's really unacceptable. I think that  
20 we have to be able to move forward. And if this  
21 committee were to come forward with a stance that  
22 you're recommending, then I think that it would have a

1 lot of influence.

2 DR. HOWELL: I think, Jeff, the comments  
3 that you've heard underline the importance of what  
4 you're doing. I think it's going to be critical.

5 Let me also remind you that this committee  
6 has prepared a white paper that a summary of which is  
7 published, of course. But the entire document, as you  
8 recall, has been referred by the Secretary to the  
9 Interagency Coordinating Committee, which is the group  
10 of representatives from all the federal agencies. And  
11 they are charged with studying that paper and getting  
12 back.

13 So that may -- there might also be some  
14 useful information coming back from that Interagency  
15 Coordinating Committee for you to consider. But I  
16 think that it's critical that we figure out how to do  
17 this. But it's also key that the states -- the really  
18 big states that are big geographically and big  
19 population-wise -- seem to have had the biggest  
20 problems. And so, we'll need to look at that.

21 It's one thing to have a small state with a  
22 small population. And then, you have a huge state

1 with a very diverse population. It's going to be very  
2 different.

3 I think Ned hit the nail on the head that if  
4 ever you've seen one state, you've seen one state.  
5 But let's remember, as your committee goes forward, to  
6 focus on the states where the people are. And so,  
7 because that's critical.

8 Are there further -- there are a lot -- we  
9 could talk about this for a long time. Is there  
10 anything else critical?

11 Mike, do you have a critical comment?

12 DR. WATSON: (Off-mike) Medicaid program  
13 (off-mike).

14 (Laughter.)

15 DR. WATSON: (Off-mike.)

16 DR. HOWELL: Chris is going to have the  
17 final word. Otherwise, we might not get coffee.

18 DR. KUS: Well, I guess the question comes  
19 up -- and we had the discussion about whether there  
20 should be another subcommittee or that kind of thing.  
21 And I think this falls into that idea. So I think we  
22 should make sure we talk about that.

1 DR. HOWELL: I think that, clearly, will be  
2 on the agenda as you go forward. But I think that  
3 convening -- Alan's comment also is very prudent about  
4 convening the decision makers. And that might work  
5 with Mike's thing, too.

6 Thank you very much, Jeff. You've got a lot  
7 of work to do. And we're delighted that you're so  
8 energetic and ready to go.

9 The Evidence Review Group, as you know --  
10 we've heard about them already -- has really  
11 established a wonderful tradition for evidence review  
12 in rare disease. This group, as you know, has been  
13 centered under Jim Perrin's leadership at Mass General  
14 with Jim and his group. And this year, however, the  
15 group is moving to Duke, and my old home town, of  
16 course.

17 And Dr. Alex Kemper will be taking over  
18 this. And so, Alex is going to tell us about moving  
19 the evidence process forward. And some of us will  
20 consider moving from Mass General to Duke going  
21 forward, because some may --

22 DR. KEMPER: Yeah, I have to say, go Blue

1 Devils.

2 Sorry about that, Dr. Bailey.

3 So hopefully -- there it goes. Great.

4 Good morning, everyone. So yesterday, we  
5 reviewed the past history of the External Evidence  
6 Review Group and the products developed. What I'd  
7 like to do this morning is talk about our plans for  
8 the future and to get your input and advice about how  
9 to continue to make this process even better.

10 So I'm going to be talking generally about  
11 what our plans are. And then, Dr. Lisa Prosser, who's  
12 at the University of Michigan, will be talking about  
13 using modeling to further extend what we've done. So  
14 I'm going to touch on that just real briefly.

15 Again, I'd like to acknowledge the great  
16 group of people that I have the pleasure of working  
17 with within the work group, but also acknowledge the  
18 special help that we've gotten from Dr. Copeland, from  
19 Lisa Vasquez, and Alaina Harris, from Dr. Calonge, who  
20 always comes up with those really good ideas to make  
21 us think deeper about what we're doing, and Dr. Scott  
22 Grosse at the CDC, who's been very informative around

1 some of the economic issues that we've struggled with.

2           So I think it's helpful to take a step back  
3 and think about what the core principles are that we  
4 have as we do these reviews. So we want them to be  
5 comprehensive. I know Dr. Vockley, a little bit ago,  
6 was concerned, for example, about a review that was  
7 going on, not done by us, around PKU where they were  
8 restricting to studies that had 50 subjects or more.

9           I have not looked at that, so I can't  
10 comment on that directly. But I can tell you that we  
11 really want to be as comprehensive as we can be and  
12 try to leave no stone unturned. As we prepare these  
13 reports, we do our best to be unbiased. We want to be  
14 transparent in the way that the information is  
15 presented. And we want to be fair in how the  
16 material's presented so that we can inform your  
17 decision making.

18           So, as Dr. Perrin summarized yesterday,  
19 we've had a number of challenges in developing these  
20 reports. Most of these challenges are not going to be  
21 surprising to you. But I think that it's helpful to  
22 just go back and enumerate what those are.

1                   So we've really struggled with inconsistent  
2 case definitions across reports. So when you look at  
3 a particular study of condition, it's hard to know  
4 sometimes whether or not they're really talking about  
5 the same thing or if they do have a good case  
6 definition in the report, sometimes it's hard to  
7 combine the information.

8                   There is variable duration of follow-up  
9 across the reports. So some reports follow  
10 individuals for very short periods of time, and  
11 others, for long periods of time. Again, that's not  
12 surprising.

13                   There is variations in the outcomes that are  
14 reported. And, related to that, proxy outcome  
15 measures are common. So instead of information about  
16 the health outcomes that we really are interested in  
17 at the end of the day, it can be changes in enzyme  
18 level and that sort of thing. And so, you know, it's  
19 sometimes a struggle to go from that to the real  
20 health outcomes in terms of improvements of quality of  
21 life.

22                   There's significant knowledge that's in case

1 reports and case series, and, especially in this rare  
2 disease area, we don't want to exclude those. But the  
3 traditional evidence process isn't really built for  
4 these single case reports. And so, that's one area  
5 where I think we've been fairly innovative. And we  
6 can talk later, if you'd like, about our plans going  
7 into the future about this.

8           Individual cases can appear in multiple  
9 reports, so that as you look at case -- individual  
10 case reports and then, merge into a case series or  
11 even in the long-term -- the longer-term studies.  
12 Sometimes it's unclear if these are really the same  
13 people or unique individuals.

14           And then, finally, something that we  
15 struggle with is the harms of screening and the harms  
16 of treatment seem underreported, just oftentimes not  
17 there in the literature. So in terms of improving the  
18 process, there are a number of venues that we've  
19 taken.

20           One is that we had a one-day meeting back in  
21 April with experts in evidence evaluation, including  
22 individuals who worked with the U.S. Preventive

1 Services Task Force and HIQ, and other large  
2 systematic review efforts. And that was convened in  
3 April of 2011.

4 The Institute of Medicine released standards  
5 for the conduct of high-quality systematic evidence  
6 reviews. It's actually a fairly long and  
7 comprehensive and well-directed document. If  
8 anybody's really interested, the Web site is listed.

9 And then, we've looked at the HRQ Methods  
10 Guide for effectiveness and comparative effectiveness  
11 reviews, which is revised on a fairly regular basis.  
12 And I've listed the URL for the most recent revision,  
13 which was August 2011.

14 So in terms of incorporating new processes  
15 and making the system better, there are a couple of  
16 domains that I want to talk about. The first is  
17 refining the development of the work plan, including  
18 issues related to case definition, the analytic  
19 framework, and the key question development process.  
20 Next is related to improving the process of data  
21 abstraction. And that ties, again, to completeness  
22 and transparency.

1           And one of the issues, I think, that we need  
2 to plan into the process is allowing for future  
3 updates as new evidence becomes available. It's very  
4 clear in all these conditions that there's very rapid  
5 advances. And so, I think that, you know, we need to  
6 think about the evidence reviews like a loaf of bread.  
7 There needs to be a fresh buy or a sell by date.

8           (Laughter.)

9           DR. KEMPER: And, unlike bread that I  
10 normally buy, there needs to be an easy way to update  
11 the process. I can't carry the analogy on any further  
12 on the spot. If I'd prepared better.

13           I'll talk some about data synthesis and  
14 presentation, including further standardization of the  
15 report. Dr. Prosser is going to talk about adding  
16 quantitative synthesis to the process through  
17 modeling.

18           And, you know, Dr. Bailey made some very  
19 interesting comments yesterday about the presentation  
20 and guidance that we can give. And I'm going to add  
21 some comments later. And maybe Dr. Bailey can expand  
22 on it to help us with our thinking.

1           And then, last things is a separate issue,  
2   but I think we ought to discuss here, which is  
3   assisting the committee with the collection of missing  
4   data, things that come up a lot, like workforce or  
5   general infrastructure issues. So in terms of  
6   refining the process of the work plan, thus far, all  
7   projects that we've done, all the reports that we've  
8   developed for you all have used a similar analytic  
9   framework. I put the one up there for congenital  
10  heart disease. But they're all fairly similar.

11           And we used the analytic framework to  
12  develop the key questions that we're going to use in  
13  the process of the report. And then, we developed  
14  case definitions from the nomination form.

15           Now, more recently, we've been working with  
16  experts to really tighten up the case definition. But  
17  I think that there's some things that we could do  
18  better in the future.

19           First of all, I think that we ought to  
20  tailor the analytic framework right up front to make  
21  it more clear what we're doing. So, for example, the  
22  time horizon -- and when I talk about the time

1 horizon, I'm talking about how long do we want to  
2 follow people out for the benefits of screening.

3 Are we going to look at what happens in the  
4 first few years of life? Or is this a -- you know,  
5 are we trying to really look at things that happen  
6 much later in life? I think this time horizon issue  
7 has really become important in the conversations that  
8 we've had around screening for hyperbilirubinemia or  
9 kernicterus.

10 Another thing that we have to think about up  
11 front is the comparator. So, as we do these reports,  
12 are we comparing to newborn screening, to what's  
13 usually happening in clinical care?

14 So, for example, with the bilirubin report,  
15 you know, there are a fair amount. I don't know the  
16 numbers. But there are a fair number of nurseries  
17 where children are already screening, getting screened  
18 for bilirubin at the time of discharge versus no  
19 screening at all. And I think that how we make those  
20 decisions impacts how the report looks. And then, I  
21 think that we need to make sure that we are very  
22 specific up front about the kind of outcomes that

1 we're looking at.

2           Now, I talked a moment ago about the case  
3 definition development and how important it is. And  
4 we've been using an outside expert panel to help us  
5 refine it. I think that was crucially important  
6 around the critical congenital heart disease issue,  
7 just because it was such a wide range of conditions.  
8 But I think, in general, we need to rely on experts.

9           And then, we need to make sure that the  
10 analytic framework is specifically addressed to these  
11 issues, including spelling out the key questions in  
12 gory detail, having a preliminary, but well-defined  
13 search strategy, which we will continue to develop in  
14 partnership with our medical librarians and looking at  
15 a wide array of databases, including MEDLINE, EMBASE,  
16 COCHRAN.

17           One of the places that I've actually begun  
18 now to find some information, interesting information,  
19 for other domains has been in clinicaltrials.gov,  
20 which is a registry of trials. And then, finally,  
21 proceeding to specific meetings or other potential  
22 places as the particular topic come up. We'll have to

1 refine that better.

2           We need to be clear about the expected rules  
3 for study design inclusion. And I have written up  
4 here, which will probably be everything. Again, these  
5 are rare conditions, and we can't be too restrictive  
6 on study design. And then, what we've done before and  
7 will continue to do is a preliminary list of experts  
8 that were interested.

9           Now, one of the things that I would like  
10 input from you all, when we're done, is issues about  
11 the transition from the work plan to beginning the  
12 evidence. I think that, if we develop these more  
13 formal and well-described work plans, as we do with  
14 the other ARC reports that we develop for the EPC,  
15 making sure that we get peer review from those  
16 experts, I have a technical panel here. But  
17 sometimes, these people are also referred to as key  
18 informants, people that are knowledgeable about the  
19 area.

20           I've written up here a public comment  
21 period. So it's common in the evidence-based reports  
22 that we develop for ARC. And it's now actually in the

1 Institute of Medicine guidelines around systematic  
2 reviews -- is that there should be a period of -- that  
3 these work plans should be open for public comment.

4 Now, of course, just because, you know,  
5 somebody, you know, says something during the public  
6 comment period, we don't have to change the work group  
7 work. But I do think that you can gain some  
8 interesting information and just be -- you know, we  
9 need to keep -- if we went this way, we would need to,  
10 you know, be careful to keep track of the comments and  
11 our responses to them.

12 And then, the other thing that I've spoken  
13 to some people in here about is the role of liaisons  
14 from the Advisory Committee to the External Evidence  
15 Review Work Group, just to make sure that the product  
16 that we plan to develop meets with what the Advisory  
17 Committee would like to get at the end of the day.  
18 You know, the challenge here, of course -- and, you  
19 know, some people have raised this as an issue -- is  
20 that we need to make sure that the Evidence Review  
21 Group, you know, remains external. We don't want to  
22 be overly influenced by any of these individuals.

1           But I do think, personally, that we could  
2 benefit from having that liaison between what we're --  
3 between us and the full Advisory Committee. I think  
4 that that also might have positive downstream effects,  
5 because these reports that we develop are long and  
6 often complicated, just by nature of the beast. And I  
7 think that, by having that kind of interaction, I  
8 think that the reports themselves might be very well -  
9 - or at least I should say better understood at the  
10 time that they are presented to the Advisory  
11 Committee.

12           So moving on to the next topic, is related  
13 to improving data extraction. So the development of  
14 the evidence tables can be challenging, because they  
15 study heterogeneity, and, especially as we include  
16 more and more case studies and, you know, these case  
17 reports, that kind of thing.

18           And the other thing is traditionally, this  
19 data extraction requires multiple rounds of data  
20 extraction, looking at articles over and over and over  
21 again as you better understand things, especially in  
22 these complicated areas. And that can introduce

1 error.

2                   And then, the other thing, as I said before,  
3 it's important to maintain the tables in a way that  
4 allows for easy updating. And the traditional way  
5 that we've been doing it so far, in either Excel or  
6 Word, leads to a process where it's difficult to  
7 maintain the tables and it's difficult to come back at  
8 some point in the future to reevaluate what was done  
9 in the process of updating things.

10                   So one of the things that we've moved to, in  
11 the evidence reports that we develop for ARC, is using  
12 a particular software program called Distiller. And  
13 if anybody's interested, they can go to the Web site,  
14 systematic-review.net. And they have a demo on there  
15 as well. It's really nice, because it's Web-based.

16                   It tracks all the reports, all the articles  
17 that we find and also facilitates reviews into forms  
18 that you can develop. So we can develop, you know,  
19 the items that we want to extract from these reports  
20 and have it automatically populate evidence tables  
21 that you can also slice and dice in a million  
22 different ways, especially if you want to do things

1 like meta-analysis.

2           You know, we should only be so lucky to have  
3 enough data to do meta-analysis. But in case that  
4 comes up.

5           The other thing that's nice is it develops a  
6 wide range of reports about things like the  
7 reliability between different reviewers. It keeps  
8 track of reasons for exclusion, helps with quality  
9 scoring, and, again, the evidence tables, as I talked  
10 about.

11           And it improves the efficiency and, I do  
12 believe, the accuracy of the process. So this is  
13 something that we're going to be moving to.

14           In terms of the data synthesis and the  
15 presentation, I think that, as we develop more well-  
16 explicated key questions and we use this software for  
17 developing the evidence tables, we're just going to be  
18 able to provide more detail. And it's also going to  
19 allow us to expand the grading and evaluation of  
20 individual studies and the body of evidence as a whole  
21 for each of the key questions.

22           And so, as before, issues that we're

1 interested in is the risk of bias in any particular  
2 study, the consistency, both within the study, if it  
3 involves more than one subject, but also, very  
4 importantly, the consistency across studies, issues of  
5 precision -- so how tight are our point estimates.  
6 Again, oftentimes, our point estimates are broad, but  
7 I think that it's important for us to be able to look  
8 at this issue of precision.

9           The directness -- and that gets to the issue  
10 of how well does any particular study, or the body of  
11 evidence as a whole, address each individual key  
12 question. And then, the issues of reporting bias --  
13 remember, before, I said that oftentimes, information  
14 about harms and that kind of thing are not fair. By  
15 developing these more rigorous evidence tables, we'll  
16 be able to manipulate them in a way, I think, to  
17 better get a sense of reporting bias across a number  
18 of different domains.

19           Dr. Prosser, in just a few minutes, is going  
20 to be talking about decision modeling. And just to,  
21 sort of, whet your appetite for what Dr. Prosser is  
22 going to say, this decision modeling is a way to

1 provide a quantitative assessment of the findings.

2           They can be linked directly to the analytic  
3 framework. It's a nice way to complement the  
4 narrative summary and evidence tables. And it can  
5 address areas of uncertainty to help inform the  
6 decision making process.

7           And then, as Dr. Bailey, I think, talked  
8 about before, it can also help to identify important  
9 areas for new research. So if you found that there's  
10 one particular thing that the decision really weighed  
11 on, you can target investments in future studies to  
12 really, you know, improve the precision around  
13 whatever that particular question is.

14           You know, I actually read this very  
15 interesting line about modeling last night -- is that,  
16 you know, these models are really simplifications of  
17 what's out there. I don't want you to think that  
18 every nuance is going to be in here. But the idea of  
19 this modeling is it really captures the key  
20 components.

21           And the line that I read that I thought was,  
22 kind of, clever is, "It's the lie that lets you see

1 the truth." So I think you'll see that more as Dr.  
2 Prosser talks.

3 So, as with the work plan, I think that, as  
4 we develop the initial report, there is, again, this  
5 opportunity for further peer review. Again, that fits  
6 with the recommendations from the Institute of  
7 Medicine around how these things are conducted, which  
8 could include a public comment period and then, again,  
9 review by the liaisons from the Advisory Committee.

10 And I'm going to emphasize, again, this need  
11 to protect the evidence review from external pressure.  
12 Again, we want to be transparent and fair and all  
13 those good things that I talked about before.

14 You know, I'm going to go back and just talk  
15 about -- you know, I think there is room for  
16 discussion, too, about the types of reports. So, you  
17 know, so far, we've generated the big, full systematic  
18 reviews that have helped to inform the decision making  
19 here. But there is also opportunity to develop other  
20 products like, you know, what Dr. Bailey was eluding  
21 to, and also a shorter summary that could be more  
22 accessible to the general public as well.

1           And that's something that we started doing  
2 with other reports that we've generated from the EPCs  
3 for our ARC-funded work. And, again, that would be,  
4 you know, a decision for you all to make.

5           Now, finally, the last thing I'd like to  
6 talk about is this issue of missing data. So there  
7 are always gaps of significant interest to the  
8 Advisory Committee. These things are, you know,  
9 difficult to find in the published literature. And  
10 they're just not reliably available in the greater  
11 literature: things like workforce and infrastructure  
12 and economic data.

13           Now, most of us also consider ourselves to  
14 be health services researchers in addition to evidence  
15 reviewologists. There's probably a better term for  
16 that. I'll defer to Dr. Calonge, who probably knows.

17           But I think that, as part of these reports,  
18 we can develop strategies to collect this information.  
19 Of, if that's something that, you know, depending upon  
20 what the scope of work is, that's something that you'd  
21 want us to be more involved in, you know, we'd be  
22 happy to talk about that as well. And, again, you

1 know, those next steps really depend upon the  
2 particular condition and what's needed and that kind  
3 of thing.

4           So our next steps are to work with members  
5 of the Advisory Committee to formalize the processes  
6 that I just talked about. I think I threw out a lot  
7 of things for the Advisory Committee to grapple over.

8           And, again, I don't expect, in the next few  
9 minutes, for that all to be resolved. But I do think  
10 that we need to come to, you know, some conclusions  
11 around those issues.

12           I should mention that the review that's  
13 being led by Dr. Perrin at MGA around  
14 hyperbilirubinemia is coming to a conclusion. But  
15 it's going to include new decision modeling. It's  
16 being led by Dr. Prosser. And I, certainly, learned a  
17 tremendous amount from watching her walk through these  
18 very complicated issues.

19           And, of course, I want to remind everyone  
20 that, of course, we look forward to more nominated  
21 conditions. So we're here for you. And I think --  
22 yep, that's it. I'd like to --

1 DR. HOWELL: Thank you very much, Alex.

2 Just a brief comment from Alan?

3 DR. FLEISCHMAN: Alex, would you put up the  
4 slide on the three issues in data that you're  
5 recommending? That one.

6 DR. KEMPER: That one? Okay.

7 DR. FLEISCHMAN: Yeah. I would counsel the  
8 committee that, while Alex wants to move the work for  
9 the committee to be closer to the kinds of things  
10 she's doing for the ARC projects, I would counsel  
11 precisely the opposite direction.

12 This is a federal Advisory Committee that  
13 you and Jim have done spectacular work in being  
14 external work group for. I think you should not have  
15 public comment. I think you should not have liaisons  
16 of members of the Advisory Committee to relate to,  
17 because I think that that precisely changes the role  
18 of the federal Advisory Committee's relationship to  
19 this external work group.

20 And I would be happy to discuss that at some  
21 length with the committee and with you. And it  
22 doesn't decrease my admiration for the superb work

1 that you've done in the past and will do in the  
2 future. I think the process should not reflect your  
3 advice to an agency as compared to an advice to this  
4 federal Advisory Committee.

5 DR. DOUGHERTY: Just to clarify that the  
6 U.S. Preventive Services Task Force is not a federal  
7 agency. But it's an independent task force, much like  
8 this committee, that is staffed by the agency. So  
9 there's not that much difference. And Ned may want to  
10 make more --

11 DR. HOWELL: Well, this committee, of  
12 course, is an established federal Advisory Committee.

13 DR. DOUGHERTY: So is the U.S. Preventive  
14 Services Task Force.

15 DR. HOWELL: Well, okay.

16 DR. DOUGHERTY: So -- well, it doesn't --  
17 it's a little different.

18 DR. CALONGE: Some of us believe it's  
19 different in large ways. The designation of a FAC  
20 fact carries with it a lot of benefits and a lot of  
21 constraints. And I think that not being a FAC is an  
22 advantage in a lot of ways to the task force and a

1     disadvantage in others.

2                     And there was a move, of course, in the ACA  
3     to make it a FAC, that because of where that was  
4     introduced didn't end up in the final bill. So it's a  
5     very interesting issue that would bore most people in  
6     the room.

7                     But I did want to talk about Alan's  
8     comments. So I think the issue about the Advisory  
9     Committee is one that I didn't quite think about. I  
10    do have concerns that the total separation of the  
11    committee membership from the evidence review leads to  
12    some disconnects when the evidence review is presented  
13    and the committee works through the process of  
14    translating the synthesized evidence into a  
15    recommendation. And so, I understand your concerns.

16                    And I think continuing to look for a way to  
17    make sure that committee membership is involved enough  
18    so that we don't have that disconnect is an important  
19    issue. So I see both sides.

20                    And, you know, sharing the -- or being on  
21    the evidence review calls -- which, thank you for  
22    inviting me, and I felt committed to that -- I think

1    helped anchor the work of the Synthesis Committee to  
2    make sure that the product that comes out meets the  
3    needs of the Advisory Committee so that all the i's  
4    are dotted and the t's are crossed.

5                   And so, thinking about how to make sure we  
6    have that linkage while avoiding the potential  
7    influence or bias that could be introduced by  
8    membership or involvement with the committee is just  
9    something we'd have to work through.  Both EGAP and  
10   the USPSTF have members on those groups that -- and,  
11   actually, in the community guide as well -- have  
12   members on the Evidence Review Groups that serve in a  
13   Technical Advisory Panel, or the TAP, role and  
14   provides that linkage.  And so, figuring out a way to  
15   do that without introducing influence or bias that's  
16   untoward is, I think, a critical issue.

17                   DR. HOWELL:  Joe?

18                   DR. BOCCHINI:  I was going to bring this up  
19   in my discussion as well about how the work group  
20   should be formed to address nominated subjects,  
21   because I think my experience on ACIP has been very  
22   similar, that the work group is in part formed by

1 membership, members of the ACIP as well as, then,  
2 experts in the area, appropriate liaisons, that may be  
3 interested in the subject and then, individuals who  
4 bring evidence who are external to CDC and may be even  
5 internal with CDC.

6           And I felt that that really, really  
7 significantly informs the committee or the ACIP,  
8 because, as the evidence review takes place or the  
9 evidence becomes available, the liaisons or the  
10 individuals on the committee can inform the entire  
11 committee of the progress, get feedback. The evidence  
12 people get the feedback as well. And it really keeps  
13 the committee much more engaged in the discussion.

14           So when the time comes for making a  
15 recommendation, actually, the subcommittee, or the  
16 Evidence Review Committee, really works with the  
17 members of the committee to bring forward  
18 recommendations that, then, are reviewed by the  
19 committee and then, either modified or changed before  
20 a vote occurs. So I think it's a process we ought to  
21 consider, because I think it may inform the process  
22 much better as you go along.

1 DR. HOWELL: Alan?

2 DR. FLEISCHMAN: The processes that we've  
3 dealt with over the last seven years are  
4 extraordinarily important clinically and in public  
5 health and have a political underpinning. And the  
6 advocacy communities have played a role, both in the  
7 desire to move forward varying disorders into  
8 nomination as well as in the effect of the public  
9 comment period.

10 That's very good. It's very important. And  
11 it's very real. And I would just be thoughtful, as an  
12 outsider to the committee, that we have, around the  
13 committee membership, people with varying expertise,  
14 very different than some of the very focused kinds of  
15 experts that sit on some of the other kinds of  
16 committees. And they bring a very important aspect to  
17 the discussions at this committee, very important.  
18 And that's part of the federal Advisory Committee  
19 goal.

20 If there were experts -- and I would never  
21 be among them -- who are evidence-based experts or --  
22 what was the other term you used?

1 DR. KEMPER: Any evidenceologists.

2 DR. FLEISCHMAN: Any evidenceologists.

3 (Laughter.)

4 DR. FLEISCHMAN: I would not be among those.

5 The question would be whether people would defer to  
6 those experts who were, you know, more knowledgeable  
7 and liaisons. So they really were in that process.

8 And I think the messiness of having to teach those of  
9 us who aren't evidenceologists about this process is  
10 actually a good thing in this committee.

11 So I just -- you know, I understand what the  
12 goal that you're trying to accomplish is. But I just  
13 caution that that may actually have a negative impact.

14 DR. HOWELL: Dr. Homer has a comment.

15 DR. HOMER: Yes, thank you.

16 Just in past roles as Chair of the Committee  
17 on Quality Improvement at the American Academy of  
18 Pediatrics, where we had evidence panels, and then, as  
19 a member of the U.S. Preventive Service Task Force, I  
20 do think having a liaison between the committee which  
21 needs to use the information to make recommendations  
22 and the evidence groups, simply in terms of framing

1 the questions, but fully, then, standing back for the  
2 actual execution for the review, is very helpful.

3           Because, one, we have -- at the Committee on  
4 Quality Improvement at the AAP, we did have the  
5 experience of receiving the report, which did not  
6 necessarily address the questions we needed most  
7 addressed. So it was really in framing those  
8 questions that was most helpful.

9           Similarly, I do think, precisely because of  
10 the important role of the advocacy community for this  
11 committee's work, that having the opportunity for the  
12 public to comment on the questions, which is, again,  
13 similar to what's being done in the comparative  
14 effectiveness process now that it's been established  
15 for the groups, is, I think, very appropriate and has  
16 the potential to allow greater buy-in from those  
17 communities when the final report comes out, not in  
18 the process itself of evidence review, formulation of  
19 synthesis. That's a technical task.

20           But formulating the questions, I think, is  
21 very important. Thank you.

22           DR. HOWELL: Thank you.

1                   Ned?

2                   DR. CALONGE:  So just to follow-up on those  
3    comments, so I think, Alan, one of the real important  
4    things is some ground rules for the role of a  
5    committee member on that.  And I would hope that Jim  
6    and Alex and Alex and Lisa would say that, you know,  
7    as I join those calls, once they got into is this good  
8    evidence or not evidence or those, that's where I saw  
9    my role ended, and I wasn't there to influence the  
10   work.  So that was a ground rule, is really providing  
11   what Dr. Homer was talking about.

12                   I think the challenge is that, then, Alex  
13   needs to realize that it's natural for us, as experts,  
14   to try to cross over that line every now and then.  
15   And the way it worked with the task force is that  
16   evidence folks would call up the Chair and say,  
17   "You've got to reign this person in, because they're  
18   overstepping their bounds."  And the Chair has to step  
19   up and do that.

20                   So there are ways to protect against bias  
21   influence of membership.  So I wanted to say just  
22   that.

1 DR. KEMPER: Sure. And if I could just  
2 expand on that, that's why I think it's really  
3 important, too, that we have, like, a written document  
4 that outlines all these steps and how we're going to  
5 do things.

6 I don't think it -- I mean, it can't be as  
7 big as the ARC manual. And nor would we want to  
8 repeat most of that stuff. But I think that having a  
9 process that's written down that everyone can look at  
10 and know, you know, if we do do this liaison thing, or  
11 there is a public comment period, that we would know  
12 what the expectations are for how that's used.

13 Again, most of the -- I probably actually  
14 would never have come up on my own with this idea of a  
15 public comment period, either during the development  
16 of the questions or afterwards, have the IOM report  
17 not come out in the process of all this, which  
18 recommended it. So I think that we just need to make  
19 a decision one way or another. But I'm fine with what  
20 the committee recommends.

21 DR. CALONGE: Right. And my only -- and so,  
22 I'm not going to speak for or against. I think

1 transparency is always important. And it actually  
2 helps the acceptability of your work moving forward.

3 The only thing about public comment period  
4 is you have to resource it.

5 DR. KEMPER: Right. So that's, I know, a  
6 big problem.

7 DR. CALONGE: And I think you need to  
8 realize that once you allow people to make comments,  
9 they will. And you have to somehow address them. So,  
10 as we put in a comment period for the USPSTF, we  
11 quickly realized that probably a blind e-mail box  
12 wasn't a good idea. And we're actually going to have  
13 to read those comments, synthesize them, address them,  
14 and -- what are you laughing at, Jeff? I thought it  
15 was a great idea. But --

16 (Laughter.)

17 DR. CALONGE: -- it didn't seem transparent  
18 or respectful. So actually figuring out how to deal  
19 with the comments, synthesize them, respond to them in  
20 a substantial way without allowing them to bias  
21 science of the review is just (inaudible). And that's  
22 exactly what we did as we posted the key questions and

1 the analytic framework and then allowed folks to  
2 comment on those.

3 DR. HOWELL: Alex, thank you very much.

4 Carole, one very brief comment, because  
5 we're about ready to leave.

6 DR. GREENE: Perhaps a naïve comment. Some  
7 of the need for input seems to be related to  
8 developing the question. Perhaps I don't understand.  
9 But I thought we have a very strict format where we  
10 know what the questions are for each review.

11 DR. KEMPER: Well, let me just go back. So  
12 we do have this analytic framework. I didn't list the  
13 key questions. But each of the key questions develops  
14 directly from this.

15 So, you know, does the -- you know, is there  
16 direct evidence that the screening test leads to a  
17 better outcome? But even within those, those  
18 questions need to be carefully crafted so that we know  
19 what time horizon we're looking at, what particular  
20 outcomes are we looking for. You know, we just really  
21 need a roadmap to make sure that we capture the  
22 evidence appropriately.

1           And, you know, I think internally we've done  
2 a good job of coming up with the questions. And,  
3 certainly, we've gotten, you know, helpful feedback  
4 about the questions as we've gone into the process. I  
5 just think that we need to be explicit about how those  
6 questions are developed, because, you know, as, kind  
7 of, Dr. Homer eluded to, if you're off a little bit by  
8 the questions, then you'll end up off in the  
9 (inaudible).

10           DR. HOWELL: Thank you very much, Alex.

11           We're going to take a break. And we will  
12 return at 11:20, et cetera.

13           And we will hear from you and Lisa after the  
14 break.

15           DR. KEMPER: Thank you.

16           DR. HOWELL: And everything has to be a bit  
17 shorter.

18           (Break.)

19           DR. HOWELL: -- Lisa Prosser are going to  
20 start with their duo, et cetera.

21           But, Alex, are you going to speak first, or  
22 is Lisa going to?

1 DR. PROSSER: I'll start going until we put  
2 up the correct presentation here. It's the next one,  
3 Evidence Evaluation and Methods Work Group.

4 FEMALE SPEAKER: (Off-mike.)

5 DR. PROSSER: Prosser.

6 DR. HOWELL: Looks promising. Here you go.

7 DR. PROSSER: Ah-ha, great, perfect.

8 Terrific. Thank you. Great.

9 So, as Dr. Kemper mentioned earlier this  
10 morning, there have been a lot of limitations in  
11 reviewing the actual evidence for assessing the values  
12 of adding new conditions to the panel. So I'm going  
13 to start talking just a little bit about some of the  
14 limitations of evidence review with respect to the  
15 Methods Work Group meeting that we had in April.

16 I'll give a brief introduction to decision  
17 analysis and then go into a case study in which we  
18 applied a decision analysis modeling approach to  
19 newborn screening for MCADD and then, talk about how  
20 we plan to apply this for hyperbilirubinemia. And I  
21 know that the decision analysis is very familiar to  
22 some of you and not familiar at all to some of you.

1 I encourage you to, please, jump in with  
2 questions along the way. This can be an interactive  
3 presentation. There will also be time at the end to  
4 have some discussion and questions as well.

5 So the Methods Working Group that was  
6 convened in April was charged with considering new  
7 evidence review methods that we could bring to the  
8 table here to supplement what we've been doing in the  
9 Evidence Review Group. And, in particular, if you  
10 consider modeling to assist in evidence synthesis and  
11 generation so that we could take the sparse data that  
12 we often have for conditions being considered for  
13 addition to the panel and use decision modeling as a  
14 method for evidence synthesis to provide additional  
15 information to the committee for consideration.

16 And we defined it at that meeting that  
17 modeling would be an appropriate approach to  
18 incorporate into the evidence review process here and  
19 that we would apply this to hyperbilirubinemia as a  
20 case study. So this application to hyperbilirubinemia  
21 is expected to create a process or a framework that we  
22 can use for evaluating conditions moving forward.

1           And one comment there, just -- there were a  
2   number of representatives at that meeting that have  
3   been involved with evidence review in different  
4   formats at the U.S. Preventive Services Task Force, at  
5   ARC, from other decision making bodies. And the  
6   recognition there was very clear that the way that  
7   decision modeling has been used in other contracts is  
8   different from how it's going to be used here, that,  
9   in general, decision analysis modeling -- and I'll  
10  talk about this a little bit more in the MCADD case  
11  study -- is used as a backbone or a structure for  
12  developing cost-effectiveness analyses. But here,  
13  we're using that backbone, the decision analysis  
14  model, to project health outcomes as a stand-alone and  
15  are not planning to move at this point into the arena  
16  of cost-effectiveness analysis.

17           So decision analysis is just a systematic  
18  approach to decision making under conditions of  
19  uncertainty and provides a framework for evaluating  
20  all the alternatives that are available. So in this  
21  case, it would be universal screening versus not  
22  screening or, for some conditions, potentially it

1 might be -- another alternative might be targeted  
2 screening for certain conditions.

3           And so, it requires explicit consideration  
4 of each aspect of the decision problem. So defining  
5 the full set of alternatives, identifying choices  
6 regarding the timing of implementation, specifying the  
7 uncertainties involved. So if there are data that we  
8 don't have or downstream outcomes that are uncertain,  
9 that we specify that up front so that we know where  
10 the areas of uncertainty are. Assigning relative  
11 values to the full set of possible outcomes, and then,  
12 using all this information to identify which  
13 alternative is projected to result in the maximum  
14 benefit, as well as characterizing the uncertainty  
15 associated with that projection.

16           So what we expect to get from the process  
17 here is not one answer, but a range of potential  
18 outcomes. So we won't be able to say, you know, this  
19 type of screening will result -- or screening for  
20 hyperbilirubinemia will save X number of lives or  
21 prevent X number of cases of CBE. But what we'll be  
22 able to do is put a range around that so that there is

1 some information about what the level of projected  
2 outcomes are relative to no screening, or, in this  
3 case, relative to current practice.

4           The advantages of modeling is that we can  
5 take what data we do have, and we can evaluate both  
6 existing and untested alternatives. So we can  
7 simulate head-to-head comparisons.

8           So if we were talking about a situation  
9 which we have -- we're looking at comparing two drugs,  
10 the drug A and drug B, we might have randomized  
11 clinical trial data in which drug A has been compared  
12 to placebo, drug B has been compared to placebo. We  
13 can take all those data, put them into a decision  
14 analysis model. And then, we compare those three  
15 alternatives, drug A, drug B, and placebo, so that we  
16 can then get the relative value of drug A and drug B.

17           It requires an explicit definition of the  
18 assumptions, which is particularly important in the  
19 case of newborn screening in that it provides a  
20 documentation and transparency in the decision making  
21 process that the committee -- and then, once it goes  
22 to the public, is available in terms of providing

1 information, not just on what evidence was reviewed,  
2 but what potentially other additional assumptions were  
3 made with respect to long-term effectiveness, long-  
4 term outcomes for which we have no data, but that did  
5 feed back into the policy decision.

6           As Dr. Kemper mentioned earlier, we can use  
7 this, once we have a model up and running, to identify  
8 which parameters are really driving the model. And  
9 so, that will be a place to identify and target for  
10 future research.

11           And, with all cases of decision analysis  
12 modeling, one of the primary benefits is that we can  
13 take data from, say, a randomized clinical trial that  
14 only lasted three or five years and extent that into  
15 the future so that we can project what the long-term  
16 data would be, and, in this case, over a lifetime, for  
17 a newborn that's been screened at birth.

18           What we don't have here -- and we'll talk  
19 about this during this presentation -- is that we  
20 don't have randomized clinical data. So we'll be  
21 making those projections, based on what available data  
22 we have, supplemented by expert opinion.

1           So decision analysis modeling can provide  
2 insight into comparative effectiveness. And I use  
3 that term because here we're not going to be using the  
4 decision analysis modeling to look at the cost  
5 effectiveness of different screening options, but to  
6 project health outcomes. And that's really one of the  
7 key marks of comparative effectiveness research, is  
8 understanding what long-term health outcomes are.  
9 Whereas here, we typically only have short-term health  
10 outcomes.

11           So it's going to be particularly important  
12 for child's health policy by providing supports for  
13 projecting long-term outcomes. And I think we'll see  
14 that more, not just here looking at newborn screening,  
15 but at other issues around child health interventions,  
16 where we're trying to project long-term health  
17 outcomes and understand what the long-term results  
18 are.

19           So, in general, cost-effectiveness results  
20 and the accompanying decision analysis models that  
21 have been used to develop those data are being used  
22 increasingly. And one particular place that that's

1 happened here in the States is ACIP, the Advisory  
2 Committee for Immunization Practices, where the  
3 consideration of economic information is one of the  
4 stated areas of evidence that they consider formally  
5 in their decision making process.

6 Now, other places here in the States we know  
7 that that hasn't been the case. So I think that it's  
8 open to the committee and further deliberations as to  
9 what role cost effectiveness will play here in the  
10 committee. There have certainly been a lot of  
11 questions around cost. And we have been reviewing the  
12 evidence, if there are published papers, to include  
13 that in the evidence review.

14 When we go forward with the decision  
15 analysis modeling approach, we will have the  
16 opportunity there to potentially incorporate costs  
17 into that decision analysis model and project cost-  
18 effectiveness information. But that will take another  
19 level of data collection beyond what we're doing here  
20 for the decision analysis modeling.

21 So the general approach here is to  
22 incorporate modeling into the evidence review process

1 by using simple models to project health outcomes.  
2 And we're not planning to go to cost-effectiveness  
3 analysis yet, although that will be possible in the  
4 longer term. So the initial goal is to use a model to  
5 project health benefits and potential harm.

6 So before I go into the case study, let me  
7 just pause for a moment. Are there any questions or  
8 comments about modeling so far? Okay, great.

9 So I'm going to launch into a case study  
10 that gives an example of how we've used decision  
11 analysis modeling in the past. So this was a study  
12 that we started back in the early 2000s. So some of  
13 the data that you see here will not be relevant today.

14 As Dr. Kemper mentioned, you know,  
15 everything we do here in newborn screening is moving  
16 so quickly, it really has an expiration date. So some  
17 of this could have been updated more recently. But it  
18 gives an example of, you know, how we can use these  
19 models to project long-term outcomes.

20 So this was a decision analysis model that  
21 was created to look at the expansion of newborn  
22 screening programs when tandem mass spec was

1 introduced. And the question, as everyone here, I'm  
2 sure, knows, was that the incremental test costs were  
3 extremely low. But at the time, the total costs of  
4 follow-up and screening were not particularly well-  
5 characterized.

6 And there was potentially this higher  
7 incidence at that time. Now we know what that looks  
8 like in practice with newborn screening. So we wanted  
9 to use a decision analysis model, both to estimate the  
10 costs, not just the incremental test costs, but the  
11 costs of follow-up and screening as well as the costs  
12 -- the long-term costs of treatment for MCADD.

13 I'm not going to go through this slide in  
14 any detail, except to say that, you know, the  
15 condition met the criteria that it was a condition  
16 that could be screened for and that early  
17 identification and treatment resulted in prevention of  
18 negative health outcomes over the long term and that  
19 the incidence here is the rate that we were working  
20 with that, you know, seven or eight years ago, before  
21 there was lifetime screening here in the States.

22 So this slide shows a schematic of a

1 decision analytic model used to estimate projected  
2 health outcomes for newborn screening for MCADD. So  
3 this is a very simplified model here. We have three  
4 different types of inputs into the model.

5 We have costs. We have probabilities for  
6 each of the different outcomes along the way. And we  
7 have health date values. So in this model, we are  
8 projecting economic outcomes as well.

9 So we put all these inputs into the model.  
10 And then, we can project both health outcomes, short-  
11 term, screening outcomes, the number of false  
12 positives, how many kids required follow-up, both  
13 clinical outcomes, the number of cases identified,  
14 number of hospitalizations, both under a no-screening  
15 option and screening, so how many hospitalizations  
16 were averted, how many deaths were averted, under-  
17 screening versus no screening as well as the economic  
18 outcomes.

19 So we could look at the costs. The total  
20 cost of screening, including both incremental test  
21 costs as well as costs of further follow-up until  
22 resolution of a presumed diagnosis or a true positive

1 as well as qualities.

2 I won't talk much here about quality-  
3 adjusted life here, except to say that that is an  
4 economic end point for translating clinical outcomes  
5 into a common metric. A quality-adjusted life here  
6 can be thought of as roughly equivalent to a year in  
7 perfect health. And so, that was one of the other  
8 economic outcomes that we were looking at in the MCADD  
9 analysis.

10 Now, you know, many people look at these  
11 models and just think it's a black box, that what  
12 happens in there is not transparent. And the intent  
13 here is to make sure that this is a completely  
14 transparent process.

15 So I'm going to go through the newborn  
16 screening MCADD model in a little bit more detail and  
17 then, move into the example for hyperbilirubinemia.  
18 But again, you know, the overall goal and intent here  
19 is to make sure that this process is as transparent as  
20 possible, that there is understanding and agreement in  
21 terms of what assumptions, what outcomes, the inputs  
22 that we're using for the decision analysis model to

1 generate additional data for the committee.

2           So within the newborn screening simulation  
3 model, there are two sub-models, one that simulates a  
4 hypothetical cohort of newborns going through newborn  
5 screening and an identical cohort that goes through  
6 another sub-model in which they don't experience  
7 screening, but they're identified by a clinical  
8 identification.

9           So this slide here shows a slide schematic  
10 of the newborn screening program sub-model. So the  
11 newborn would undergo a screening test. There would  
12 be either a normal result with no further follow-up,  
13 some probability of an inadequate sample, or they  
14 might repeat test for some other reason.

15           Another probability is that there's an out-  
16 of-range value, and they would require a repeat sample  
17 until they're either referred to a pediatrician at a  
18 metabolic center or they were resolved, in the first  
19 part of the screening sub-model, by the end of the  
20 first year. And one important thing is that we have  
21 to identify timing for all of the points that are  
22 included in the decision tree of the decision analysis

1 model.

2           Either result is a false positive, presumed  
3 diagnosis, or true positive. And then, newborns that  
4 were identified with MCADD in the model, then moved  
5 into the lifetime MCADD sub-model of screening.

6           Now, so each of these arrows represents  
7 probability that it was either developed by reviewing  
8 the literature or with assistance from an expert  
9 panel. And that's important to keep in mind.

10           So for this model, this is a probabilistic  
11 model. And each of these arrows was defined by a  
12 probability distribution. So there was a most likely  
13 value and then, a range of distributions defined by a  
14 confidence intervals so that when we ran the model, it  
15 wasn't based just on one value, but that we were  
16 pulling from that distribution so that we could create  
17 confidence intervals around all the projected health  
18 outcomes.

19           In this model, it was a cost census model,  
20 so each of these health dates was evaluated with a  
21 cost. And, again, there was a range in terms of the  
22 costs that were included in the model as well as the

1 health date value. Here we've ranked all of the  
2 health outcomes using health utilities used to drive  
3 quality. So they were all rated on a scale from zero  
4 to one.

5 So newborns that (inaudible) the newborn  
6 screening sub-model that were identified as having  
7 MCADD then were simulated through the rest of their  
8 lifetime. And this part of the model was relatively  
9 simple.

10 They could either -- in each year of life,  
11 they could either remain normal. They could have,  
12 say, some probability of intellectual disability or  
13 developmental delay. Or they could die, either from  
14 MCADD or from another -- from any other disorder.

15 They also faced a probability of a short-  
16 term hospitalization each year. So we tracked these  
17 throughout the lifetime of the model so that we're  
18 able to compare the newborns screened hypothetical  
19 cohort to the unscreened cohort in terms of  
20 hospitalizations as well.

21 So this slide shows a sub-set of some of the  
22 projected outcomes from the model. So in the second

1 column, clinical identification, there is a  
2 hypothetical cohort of 100,000 kids. And we  
3 identified in their 5.88 children with MCADD. The  
4 number in parenthesis is the confidence interval  
5 around -- or, sorry, the standard error around that  
6 projected estimate. Of course, there aren't any false  
7 positive screens on the clinical identification side.

8 Here we're also projecting costs and  
9 quality-adjusted life here in order to calculate the  
10 cost effectiveness of screening versus clinical  
11 identification. So, in the screening arm here, we  
12 projected an additional number of cases with MCADD to  
13 reflect what had been available at the time in terms  
14 of pilot data from Massachusetts and from other  
15 countries.

16 The model projected that there would be 20  
17 false positive kids -- 20 kids that were identified  
18 that would end up having false positives. And that's  
19 something that we could vary and look at with  
20 sensitivity analysis.

21 The costs -- here this is the total cost for  
22 the screening arm. And we're also able to track that

1 and to decompose that into the costs associated with  
2 testing, the costs associated with short-term follow-  
3 up, and the costs associated with long-term treatment.  
4 But that's not shown here. Again, also with the  
5 projected cost data, there are also confidence  
6 intervals associated with those that we can understand  
7 the uncertainty around those numbers.

8 To calculate the cost-effectiveness ratio,  
9 or the costs and numbers of quality-adjusted life  
10 years that were gained from screening versus clinical  
11 identification, that calculation was about \$21,000 per  
12 quality. So MCADD -- screening for MCADD was not  
13 cost-saving, but would be considered cost-effective by  
14 many metrics.

15 There's a lot of debate about exactly where  
16 that threshold is. How do you decide if something's  
17 cost-effective or not? And that varies by different  
18 characteristics and may be something that the  
19 committee will consider here along the way.

20 There is emerging evidence, just as a side  
21 note, that the threshold is probably different for  
22 preventive programs than for identified treatments.

1 And so, that's a thing that could be considered along  
2 the way.

3 But so our primary end points of this model  
4 is the cost-effectiveness ratio. So base case,  
5 \$21,000 per quality-adjusted life year gained. But  
6 what's really important here is to be able to look at  
7 some of the projected long-term outcomes.

8 So what we're able to do with this model is  
9 we're able to project, throughout the life course. So  
10 for the 100,000 cohort of hypothetical newborns, we  
11 can see, over time, what the cumulative number of  
12 deaths are, over time, so what the incremental deaths  
13 averted at each time point is as well as the number of  
14 cases that ended up with having intellectual  
15 disability.

16 One of the very interesting things from this  
17 model is that, in our earlier runs, we found that the  
18 number of hospitalizations was actually higher under  
19 screening than under no screening. And so, at first,  
20 we were concerned about that, because our initial  
21 hypothesis was that youth screening would be  
22 preventing negative health outcomes.

1           But what was happening -- and we were able  
2 to see that by looking more closely at the projected  
3 outcomes in the model -- is that, as we're saving kids  
4 from dying, that they're then at risk for  
5 hospitalizations. So the number of hospitalizations  
6 was actually higher under the screening option. But  
7 still, the cost effectiveness looked favorable.

8           Then, in terms of thinking about what this  
9 can potentially provide for the committee here is  
10 really in terms of sensitivity analysis. So when we  
11 varied the different inputs into the model, what does  
12 that do in terms of changing the outcomes that we're  
13 looking at?

14           So here the base case -- and I'm going to  
15 use cost-effectiveness ratio here, because that was  
16 the primary end point for this model. But for  
17 hyperbilirubinemia, we'll be talking about specific  
18 health outcomes.

19           So if we changed the cost of the initial  
20 screen and varied it all the way up to \$50, which is  
21 about seven or eight times what we had assumed in the  
22 initial base case analysis, it really changes the cost

1 effectiveness. So that was one of the few parameters  
2 that we found that the analysis was very sensitive to.

3           So most of the other parameters that we  
4 varied in the model had very little effect on the  
5 outcome of cost-effectiveness ratios and really  
6 varied, you know, within a few thousand dollars from  
7 the initial result, which we would view as being  
8 essentially unchanged, but very robust to changes to  
9 the input parameters. So, for example, when we  
10 changed -- when we used either higher event rates, so  
11 probability of hospitalization, probability of dying  
12 due to MCADD -- when we varied those from the top of  
13 the confidence interval to the bottom of the  
14 confidence interval, the range of change in the cost-  
15 effectiveness ratio was only \$18,000 to \$32,000, which  
16 is still very similar cost-effectiveness ratio. And,  
17 again, when we changed the specificity of the test,  
18 there was very little difference in the cost-  
19 effectiveness ratio.

20           So this will really be the key part of what  
21 we can do when we take the evidence that's available  
22 in the literature so far and to use it as inputs into

1 a model supplemented by expert opinion to create some  
2 projected health outcomes, but really to create those  
3 ranges around the projected health outcomes. So for  
4 MCADD, we are able to project the screening tests and  
5 follow-up results, short-term outcomes, projected  
6 number of kids with the condition, cases of  
7 developmental delay, hospitalizations, and deaths.  
8 We're also able to project costs, both in the short-  
9 term and over the long-term, as well as quality-  
10 adjusted life years.

11 And, in general, the results were sensitive  
12 to just cause. But at that time, there was a big  
13 question around, you know, what would happen if the  
14 false positive rate was not what it was originally  
15 anticipated to be, if it were much higher. And it  
16 turned out that that didn't really change the cost-  
17 effectiveness ratio at all.

18 So we're now thinking about applying this to  
19 hyperbilirubinemia. So the plan here is to create a  
20 simple decision analysis model to use the evidence  
21 that we have and to use the model as a way to  
22 synthesize this evidence into tangible health

1 outcomes, both short-term and long-term.

2           We're part-way along this process so far.  
3 And when we think about putting this into a model,  
4 there are a number of inputs that we really have very  
5 little or no data on. And what we'll be doing is  
6 working with the expert panel.

7           We've already had one conference call with  
8 them in which we have reviewed the structure of the  
9 model. The next conference call or two will be to  
10 supplement the data that we have to develop  
11 assumptions around the missing data that we need to  
12 actually run the model. And then, we'll be able to  
13 project short and long-term health outcomes.

14           So for hyperbilirubinemia, there will be a  
15 screening sub-model. And then, the comparison will be  
16 the clinical assessment sub-model, which will reflect  
17 current practice. And this is a pretty simplified --  
18 so this is a simple model here.

19           It's much simpler than even what we have  
20 right now as a draft model. It'll probably be a  
21 little bit more complex than this. But the intent is  
22 to be as transparent as possible and make sure that

1 each of these steps is documented and vetted by the  
2 expert panel as well as with input from the committee,  
3 if there is a liaison, depending how that process  
4 proceeds.

5           But what's important here is that we'll be  
6 working through a different process than the way that  
7 models have been used for, say, the U.S. Preventive  
8 Services Task Force or for ACIP, where those models  
9 have been built on data from randomized clinical  
10 trials, from large cohort studies, from retrospective  
11 databases. And there, the validation of the models  
12 has hinged on matching to actual data that's available  
13 and then, projecting beyond that.

14           Whereas, here, it's a different decision  
15 modeling approach that's really geared towards a  
16 method for evidence synthesis. So it's an alternative  
17 to meta-analysis, because we don't have the evidence  
18 base that's needed here to do any kind of formal meta-  
19 analysis. So this can be viewed as an alternative way  
20 to synthesize the evidence compared to an meta-  
21 analysis approach.

22           So for hyperbilirubinemia, an important part

1 of the process of modeling will be to process what's  
2 happening right now practice, because that will be the  
3 comparator for the analysis. So this is where there  
4 is considerable variation across the country. And,  
5 again, there are not very good data on what proportion  
6 of kids are being tested and with what screening  
7 approach.

8           And so, what we'll have here is not just  
9 possible options or a range for each of the parameters  
10 in the model. But we'll actually have different  
11 scenarios so that we can look at, you know, if X  
12 percent of kids are currently undergoing screening.  
13 And we can vary that range from, you know, 0 to 100  
14 percent. And we can look at different scenarios to  
15 provide that information. That'll be an important  
16 part of this assessment.

17           So just one last comment here. So one of  
18 the things that we've started talking about and that  
19 will also be a very important part of this model is to  
20 talk about how we define the cohort, what sub-groups  
21 are included in the cohort, that the data will differ,  
22 depending on the age of the newborn. And so, we'll

1 probably have a couple of different analyses based on  
2 whether we're talking about healthy, full-term baby or  
3 we're talking about children with other  
4 characteristics. And we'll have to stratify the model  
5 by sub-groups.

6           The other piece that has not been integrated  
7 yet, but is that there also needs to be very specific  
8 timing for each of these branches in the model. And  
9 that will be incorporated here.

10           So the intent is to be able to project  
11 health outcomes, both screening outcomes, short-term  
12 outcomes, long-term outcomes, comparing clinical  
13 assessment to universal screening. And what we'll be  
14 able to provide is, kind of, a base case estimate as  
15 well as a range over which those estimates are likely  
16 to vary.

17           We're not planning, at this point, to go  
18 into cost-effectiveness analysis. One of the other  
19 challenges for newborn screening conditions is, not  
20 just is there very little evidence on effectiveness of  
21 treatments or incidence rates, but there's also very  
22 little data out there on the economic side.

1           And we also don't have the same ability to  
2 use existing data for these conditions, because  
3 they're so rare, as we do for something like asthma,  
4 diabetes, or multiple sclerosis, where it's relatively  
5 easy to take a retrospective claims database and go in  
6 and estimate costs for different types of treatment or  
7 annual costs for a condition. That we tend not to  
8 have that data for conditions that are being  
9 considered for newborn screening.

10           And we also don't have the ability to go  
11 into these retrospective databases to do that as well.  
12 So if we want to move towards evaluating the cost  
13 effectiveness, that's something that would likely  
14 require primary data collection.

15           So in terms of anticipated findings, the  
16 intent is to be able to project health outcomes and  
17 the associated uncertainty for the health outcomes, as  
18 they're defined. We have a list now that's being  
19 augmented in our discussions with the expert panel.  
20 We'll be able to identify the key parameters, so which  
21 are the ones that are really driving the analysis, and  
22 also to provide improved transparency for assumptions

1 on health benefits and potential risks of screening  
2 and treatment.

3 So, at this point, I'm going to open it up  
4 for questions, discussion, comments.

5 DR. HOWELL: Jeff?

6 DR. BOTKIN: Yeah, thank you. Very  
7 interesting.

8 And I had a specific question about the  
9 MCADD modeling and how you deal with circumstances in  
10 which you have a spectrum of disease.

11 DR. PROSSER: Yeah.

12 DR. BOTKIN: So you have kids who are true  
13 positives identified by screening, but may never have  
14 been identified clinically. In other words, they have  
15 -- and I don't know what current thinking is on MCADD,  
16 whether that's a significant percentage of that  
17 population. But it's not a false positive.

18 DR. PROSSER: Yeah.

19 DR. BOTKIN: But it's also not really a true  
20 positive, either. So what sort of assumptions were  
21 made about that phenomena?

22 DR. PROSSER: Right. So that's a really

1 nice example of where modeling can provide useful  
2 information, because what we did is we included those  
3 kids in the model. And then, we were able to vary our  
4 assumptions around what happened to them. So we were  
5 able to assume that either they were identified and  
6 would never have had any symptoms in the absence of  
7 screening. But they received treatment, but really  
8 received no benefit. So they were just added costs in  
9 the model.

10 Or we could also include them in the model  
11 as having symptomatic and had that would not have been  
12 identified through clinical identification for  
13 whatever reason. Maybe they died very early on, and  
14 it was misclassified.

15 And so, by being able to vary that, it  
16 didn't make any difference in terms of the cost-  
17 effectiveness results. But we were able to vary that  
18 assumption. So we were able to include that in the  
19 model.

20 DR. HOWELL: Gerry?

21 DR. VOCKLEY: Thank you.

22 I have some questions about the MCADD

1 assumptions that I think are probably best left to  
2 offline, because it seems to me that, based on the  
3 historic literature, there are probably some costs  
4 that aren't being captured.

5 DR. PROSSER: Yeah.

6 DR. VOCKLEY: But I think the more important  
7 question or comment is that, you know, I think you  
8 have a very good opportunity here to go back and look  
9 at some of the better-characterized screening  
10 disorders right now and say, "Okay, what do we know,  
11 based on 10 or 20 years worth of experience for  
12 particular diseases that fall into different  
13 categories"? And the cynic would say, "MCADD's a bad  
14 example, because if you don't find it, you drop dead,  
15 and you don't cost the system anything." So, you  
16 know, it's cost effective not to screen.

17 DR. PROSSER: Not cost effective.

18 DR. VOCKLEY: It's not cost effective to  
19 screen.

20 DR. PROSSER: Okay.

21 DR. VOCKLEY: I said it in a double-negative  
22 there. And so, if you could pull out data on

1 disorders where there are more chronic clinical  
2 effects, you could really, sort of, validate your  
3 model going forward for something like  
4 hyperbilirubinemia, where the effect is not death, but  
5 disability.

6 DR. PROSSER: Yes.

7 DR. VOCKLEY: And really show how well it  
8 fits with a couple of real-world models and validate  
9 it pretty nicely for going forward. So I think you've  
10 got some great opportunities here.

11 DR. PROSSER: That's a good point. And I'd  
12 like to address this question around cost-saving  
13 versus cost-effective.

14 So, you know, if we're looking at a  
15 situation in which there's immediate death, we don't  
16 necessarily assume that that's going to be cost-  
17 saving. What we're really interested, when we're  
18 doing cost-saving analysis, is looking at the relative  
19 value of that. And so, we're never looking just at  
20 costs, but at what the health is that is being  
21 purchased for that.

22 So, you know, we're purchasing life years by

1 investing in a technology that saves lives. So just  
2 to -- as a side note, that your cost saving does not  
3 equal cost effective. That, you know, most health  
4 interventions are not cost-saving. But we still  
5 choose to invest in them.

6 But what we want to know is whether they  
7 provide the value that we're looking for, if they're  
8 cost effective or not. But we're not looking at just  
9 minimizing costs, because that's only half of the  
10 equation. We want to know what we're getting for that  
11 investment.

12 DR. CHEN: Yes. You mentioned the well-  
13 known issue of time variability in terms of risk for  
14 hyperbilirubinemia. And so, how, actually, do you  
15 envision that, going into the, sort of, risk modeling  
16 in the decision analysis?

17 DR. PROSSER: So what we will probably be  
18 doing is identifying specific time points for the base  
19 case analysis. And then, we can vary those.

20 DR. CHEN: Okay.

21 DR. PROSSER: So assuming that all kids are  
22 screened at 6 hours or 12 hours or 24 hours, and then,

1 we can vary that and see how it changes the results.

2 DR. CHEN: Uh-huh. And I'll just ask the  
3 other. There's a significant racial/ethnic variable  
4 as well in hyperbilirubinemia that will also need to  
5 be --

6 DR. PROSSER: Right. Right. And that goes  
7 to my comment about that. We'll have to stratify the  
8 cohort, because there are a lot of other variables  
9 that go in there. Yeah.

10 DR. HOWELL: Dr. Homer?

11 DR. HOMER: I just mention -- you may have  
12 covered this, and I might have just missed it. But on  
13 the hyperbilirubinemia case, since what we're  
14 screening for is hyperbilirubinemia and the outcome  
15 we're interested in is, obviously, encephalopathy,  
16 developmental delay --

17 DR. PROSSER: Right.

18 DR. HOMER: And the linkage between those  
19 two remains enigmatic. So how are you going to model  
20 the uncertainty around that linkage? Because that's  
21 always been the bug-a-boo when we've done the evidence  
22 reviews around that topic.

1 DR. PROSSER: So that's where we'll make an  
2 assumption about what that translation is, you know,  
3 how good of a marker it is for ABE and then, CBE. And  
4 we'll have to vary that. And there may be a lot of  
5 variability around that particular assumption.

6 DR. KEMPER: So the purpose of this modeling  
7 is not to replace the full report. But it's additive.  
8 And it's going to point out, I think, specifically, in  
9 this case, where the important gaps are.

10 Because, you're right. There are really  
11 precious few data around the relationship between  
12 hyperbilirubinemia and acute bilirubin encephalopathy  
13 and kernicterus. I think we can make reasonable  
14 guesses and put boundaries around what those are to  
15 get a sense of what's going on. But it's important to  
16 remember that this model -- you know, none of this  
17 modeling stuff is replacing what's happening with the  
18 evidence reports. It's just another way of looking at  
19 it. And I think back to issues when we were looking  
20 at critical congenital heart disease, how nice it  
21 would have been to have this kind of modeling, because  
22 of the questions that come up around, you know, how

1 many babies are you really going to find, what's going  
2 to be the false positive rate, what's going to be the  
3 long-term benefits of doing that.

4 You know, we have all that material in the  
5 full report. But it's not as clear as it would have  
6 been with this kind of modeling. So they go together,  
7 kind of, hand-in-glove.

8 DR. HOWELL: Lisa, thank you very much.

9 DR. PROSSER: Thank you.

10 DR. HOWELL: And we'll look forward to  
11 seeing your wisdom.

12 We are now going to move to committee-  
13 related work, preparing for the transition. And Joe  
14 Bocchini, who is the incoming committee Chair, will  
15 preside over this discussion.

16 DR. BOCCHINI: Well, first, I want to thank  
17 all for the opportunity to take on the task of running  
18 this committee. I think that, as I look around, with  
19 the expertise around this table and in the gallery  
20 there in the field of genetics and the newborn  
21 screening and at the accomplishments that Dr. Howell  
22 and Michelle Puryear made in this limited period of

1 time, I think it's a daunting task to follow them.  
2 And I'm assuming that anybody who is involved with  
3 newborn screening would look at this opportunity and  
4 be very nervous about doing it. So I'm assuming that  
5 what the HRSA did was said, "Well, let's give it to  
6 the infectious disease guy."

7 (Laughter.)

8 DR. BOCCHINI: Maybe he doesn't understand  
9 enough to know what dilemma he's (inaudible).

10 (Laughter.)

11 FEMALE SPEAKER: That was the Secretary's  
12 choice.

13 DR. BOCCHINI: So I believe with the  
14 strength of the committee and with what Rod and  
15 Michelle did to get it organized and have it run, that  
16 this will be a successful transition. So I,  
17 certainly, think that we could make it work.

18 My task today was to give some ideas about  
19 where the committee is and where we need to go. And I  
20 think that it's been prefaced very nicely by the work  
21 that's been done by the subcommittees and by the prior  
22 presentations of others, who have really, you know,

1 laid out some of the issues that are before the  
2 committee and some of the things that we really need  
3 to think about as we go forward.

4 So, actually, what I did was very similar to  
5 what some of the other presenters did. And that is I  
6 went back to the initiation of the establishment of  
7 this committee and looked at the charter and the  
8 duties. I want to just quickly review some of those  
9 and then, see how that led to some of the things that  
10 I'm going to then bring forward to the committee.

11 As you learned earlier, or were reminded  
12 earlier, this committee was chartered in 2003 with  
13 Section 1111 of the Public Health Services Act. And  
14 the charter was updated in the Newborn Screening Saves  
15 Lives Act of 2008. It was in the reauthorization of  
16 the Public Health Service Act that year.

17 But what it did was extended the operation  
18 of this committee for a five-year period beginning in  
19 April of 2008. And so, reauthorization of this  
20 committee is actually required in 2013.

21 The objective and scope of activities of  
22 this committee has been mentioned before, but I'll

1 just review it. The committee provides advice to the  
2 Secretary about aspects of newborn and childhood  
3 screening and technical information for the  
4 development of policies and priorities that will  
5 enhance the ability of the state and local health  
6 agencies to provide for newborn and child screening,  
7 counseling, health care services for newborns, and  
8 children having or at risk for heritable disorders.

9           And I think that in the submission of the  
10 report to Congress this year, it was mentioned in the  
11 committee's report that the focus has been primarily  
12 on newborn screening, because that was the area where  
13 the greatest impact could have been, but not that we  
14 were limited to newborn screening. And I think some  
15 of these issues have come up in discussion before  
16 about advancing the work of this committee to other  
17 areas. And I think that, clearly, it's within the  
18 major objective of the committee and scope of its  
19 activities to do so.

20           The duties were three-fold: to establish  
21 the bylaws, to specify the committee's operation  
22 procedures. And it's very clear that that's been

1 done. And the work of the Evidence Review Committee  
2 and others, clearly, show that the committee's very  
3 aggressive in looking at the ways it should look at  
4 information and the way it should operate. And,  
5 clearly, we're reviewing that as we're going along.

6 Review and report regularly on newborn and  
7 childhood screening practices, and recommend  
8 improvements in the national newborn and childhood  
9 screening programs. And, clearly, that's what the  
10 committee has done.

11 There are a number of activities that are  
12 also -- were placed in the Public Health Service -- or  
13 reauthorization Act in 2008 that have an impact and  
14 complement the work of this committee. Section 1112  
15 established the clearinghouse for newborn screening,  
16 1113, the program for laboratory quality, which we've  
17 heard about at this -- earlier in this meeting, 1114,  
18 establish the Interagency Coordinating Committee on  
19 Newborn and Child Screening, and 1116, establish the  
20 Hunter Kelly Newborn Screening Research Program at  
21 NICHD.

22 And all of those we've heard about during

1 this meeting. And, clearly, they're moving ahead and  
2 developing things that, clearly, will have an impact  
3 on what this committee does and inform the committee  
4 and the committee, in turn, provide advice for those  
5 projects.

6 Section 1109 was originally in the  
7 Children's Health Act of 2000. And it established the  
8 grant programs that exist to improve the ability of  
9 states to provide newborn and child screening for  
10 heritable disorders. And this committee provides  
11 advice and recommendations to the Secretary concerning  
12 those grants and projects, which are awarded -- or  
13 funded under this section and the technical  
14 information for the development of policies and  
15 priorities for the administration of these grants  
16 under that section.

17 Now, there are a number of specific duties  
18 that are outlined in the Newborn Screening Act of 2008  
19 that further provide an outline to what the  
20 committee's duties are. One was to make systemic,  
21 evidence-based, and peer-reviewed recommendations that  
22 include the heritable disorders that have the

1 potential to significantly impact public health, for  
2 which all newborns should be screened, including  
3 secondary conditions that may be identified as a  
4 result of laboratory methods used for screening.

5           And, clearly, this is where the committee  
6 has been remarkably successful in advancing a  
7 standardized uniform panel and now has made additional  
8 recommendations, which have been improved for  
9 additions to that universal panel.

10           Another duty was to develop a model decision  
11 matrix for newborn screening expansion, including an  
12 evaluation of the potential public health impact of  
13 such expansion, and periodically evaluate and update  
14 the recommended uniform screening panel as  
15 appropriate, based on such a decision matrix. And I  
16 think it's very clear that the decision matrix has  
17 been made for newborn screening expansion. We're  
18 modifying or looking at ways to strengthen the  
19 evidence on which that's based.

20           But I highlighted these two areas, because I  
21 think these are areas that I think we've had some  
22 discussion about, but, clearly, are things that we

1 potentially could focus more on, which would be the  
2 public health impact for the individual expansion.  
3 That's been discussed in some detail already.

4           And then, the issue about going back and  
5 reevaluating and updating what we've done, I think, is  
6 really important. I think most policies are subject  
7 to revision.

8           The American Academy of Pediatrics -- every  
9 policy that's made has a five-year life span. At the  
10 end of that five-year life span, it's either revised,  
11 retired, or reaffirmed. And I think that that's --  
12 other agencies -- I know AAFP has a similar policy,  
13 and CDC.

14           The ACIP has a similar policy about revising  
15 documents over a period of time. And this committee  
16 needs to consider reviewing and then, updating or  
17 modifying things, based on either a time period as  
18 well as based on new data.

19           Now, other duties include considering ways  
20 to ensure that all states attain the capacity to  
21 screen for conditions chosen. And in some way, that  
22 helps to inform how to provide grants through Section

1 1109, also provide recommendations, advice, or  
2 information as may be necessary to enhance, expand, or  
3 improve the ability of the Secretary to reduce the  
4 mortality or morbidity from heritable disorders, which  
5 may include -- and I think this is some of the --  
6 these are some of the things that we came up today,  
7 and, certainly, came up in each of the subcommittees.

8 Follow-up activities, including making rapid  
9 diagnosis in short-term and those that ascertain long-  
10 term case management outcomes, and appropriate access  
11 to services -- this, certainly, speaks to the report  
12 on one of the committees.

13 Implementation -- that became a big issue in  
14 two of the subcommittees for us to think about. And I  
15 think, clearly, it's under the purview of this  
16 committee to look at that and to make recommendations  
17 concerning that for monitoring evaluation for newborn  
18 screening activities, including diagnosis, screening,  
19 follow-up, and treatment activities, and then,  
20 diagnostic and other technology used in screening.

21 Additional things are availability and  
22 reporting of testing for conditions for which there's

1 no existing treatment and conditions not included in  
2 the recommended uniform screening panel that are  
3 treatable with FDA-approved products or other safe  
4 treatments as determined by scientific evidence and  
5 peer review. And this, certainly, could lead us to  
6 some of the things that Ned raised about the  
7 possibility of looking at things that might not be  
8 considered for universal screening, but might be  
9 targeted for specific things or specific individuals.

10           And then, developing minimal standards and  
11 related policies and procedures used by state newborn  
12 screening programs such as language, terminology,  
13 standardizing case definitions, et cetera.

14           The committee also has a duty to recommend  
15 quality assurance oversight and evaluation of  
16 screening -- the state screening programs, ensuring  
17 that tests, technologies used meet established  
18 standards. And this, certainly, was brought up in the  
19 Laboratory Evaluations Committee.

20           And public and provider awareness and  
21 education, certainly, has been an ongoing effort by  
22 this committee, and the subcommittee there has made

1 numerous contributions. And then, looking at costs  
2 and effectiveness of newborn screening and medical  
3 valuation systems and intervention programs conducted  
4 by state-based programs.

5           And I think that's, clearly, something that  
6 the committee will need to address. That's,  
7 certainly, become very important in a number of areas  
8 and, clearly, for recommendations that we make, I  
9 think cost effectiveness is now going to have to be an  
10 important part of each of the decisions that the  
11 committee makes.

12           The committee, also under its charter, has  
13 the responsibility for identification of, causes of  
14 public health impacts of, and risk factors for  
15 heritable disorders and the coordination of  
16 surveillance activities, including standardized data  
17 collection, reporting, harmonization of lab  
18 definitions for heritable disorders, testing results,  
19 and confirmatory testing and verification of positive  
20 results. And, again, that was spoke to directly by  
21 the Laboratory Group.

22           The committee has a number of reporting

1 requirements. After three years of existence, it  
2 needed to publish a report to Congress, and subsequent  
3 to that, is responsible for an annual report on peer-  
4 reviewed newborn screening guidelines, including  
5 follow-up and treatment. This committee reviewed and  
6 contributed to that report that was submitted this  
7 year -- submitted to Congress, the Secretary, and the  
8 ICC as well as to state departments of health.

9           Now, in terms of subcommittees, the Advisory  
10 Committee has three standing subcommittees. We've  
11 heard from the three of them: Follow-Up and  
12 Treatment, Education and Training, Laboratory  
13 Standards and Procedures.

14           And, at Sara's request, the committees did  
15 consider their current status and the future. And I  
16 think we had some very good suggestions from each of  
17 the three committees on how they should interact,  
18 better way to interact for the Chairs, and then, going  
19 forward, either modification of title and issues that  
20 are looked up at each committee, and then, perhaps  
21 even establishment of an Implementation Committee.  
22 And I think those are things that Sara and I will have

1 to look at and start to consider whether -- how we can  
2 fit those recommendations in in a smooth way and have  
3 the meeting continue in such an effective way by  
4 adding those parameters.

5 Current working groups -- we have the (off-  
6 mike) and then, (off-mike) and specific topic-related  
7 groups (off-mike) and evaluation methods. And these  
8 are working through their processes. And, obviously,  
9 additional working groups will be needed, some of  
10 which may have been, sort of, the seeds planted today  
11 for the development of subsequent committees and  
12 committee assignments.

13 So here are some of the thoughts that I had  
14 about what are the current needs that require being  
15 addressed or to be considered. And one of the things,  
16 based on the transition of membership, we have no  
17 members now in the Nominations and Prioritization  
18 Working Group. So we'll have to repopulate that  
19 group. So we'll have to assign members to that group.

20 We need to review the structure and function  
21 of each of the current standing work groups. I think  
22 that's already a process that's been started. And

1 then, we need to prepare for the reauthorization in  
2 2013.

3           And I think that, by review of legislation  
4 and our charter, we need to determine whether our  
5 standard operating procedures and all of our committee  
6 activities match those, the duties that are outlined  
7 in the charter. And if not, we'll look at ways that  
8 we can do that so we could meet our requirements for  
9 2013.

10           I think we have an excellent matrix for --  
11 and we're modifying it for development of working  
12 through nominations. But I think that the public  
13 health impact that I was talking about earlier -- I  
14 think we need to have a formal matrix for evaluation  
15 of public health impact. Some of them have already  
16 been outlined in previous discussions.

17           Benefits are important. Cost effectiveness,  
18 as we said -- I think that, as we look towards  
19 modeling, I think, in addition to modeling health  
20 outcomes, we need to begin to look at modeling cost  
21 effectiveness. And that may mean the need to  
22 incorporate health economists into the process so that

1 that can be done.

2 We need to look at technical aspects,  
3 laboratory capacity, provider capacity. So we need to  
4 know how states can include or implement the things  
5 that we're talking about. And, sort of, to frame  
6 that, I took two things from the Secretary's letter to  
7 Dr. Howell on the critical congenital heart disease to  
8 show that the Secretary's interested in this committee  
9 doing those as well.

10 This is a paragraph from -- or a sentence  
11 from one of her paragraphs. "In addition, I'm  
12 requesting that the committee collaborate with HRSA to  
13 complete a thorough evaluation of the potential public  
14 health impact of universal screening for CCHD, as  
15 required by the authorizing statute, Section 1111."  
16 So she thinks that this is our responsibility. And  
17 so, I think this is something we need to address. And  
18 I think it fits with what we're doing.

19 In addition, later in the letter, she  
20 indicates, "Specifically, it would be beneficial to  
21 states, health care facilities, and individual  
22 clinicians to have the Advisory Committee and other

1 public health experts partner with HRSA to provide  
2 information about a number of issues, including, but  
3 not limited to, the following: what will be the  
4 impact on state health departments, including staffing  
5 needs to implement this program?"

6 "What are the roles of the state health  
7 departments? What capacity is present to ensure that  
8 all babies are screened and the results are  
9 communicated to providers, including assuring that  
10 those not screened at birth receive a screen?"

11 I'm sure some of this is directed to the  
12 fact that this is point of care testing and not being  
13 done in a state laboratory. But I think it's, sort  
14 of, a model for us for us to consider these kinds of  
15 issues when we look at adding things to the newborn  
16 screening, whether they be point of care or whether  
17 they be in the laboratory or whether they be new  
18 technologies to modify what's being done.

19 So I think the other thing that came up that  
20 I think relates to these issues was follow-up on  
21 policy decisions; implementation issues; surveillance  
22 issues, since that, clearly, is in our purview;

1 patient outcome data; and looking at the effects of  
2 the decisions that have been made in terms of  
3 diagnosis, short and long-term case management  
4 outcomes, and whether there's appropriate access to  
5 services for the patients that are identified. And  
6 then, overall evaluation of program -- I've already  
7 discussed the possibility of planned policy reviews.  
8 I think that would be important.

9           And, certainly, our annual report gives an  
10 opportunity to do that. But, in addition, going back  
11 to the states with specific recommendations, based on  
12 what's happened as a result of the initiation of  
13 policies, would be very important.

14           And we've already talked in some detail  
15 about this. I think this was a great opportunity to  
16 review the structure and function of our working  
17 groups. But also, it's an opportunity for us to look  
18 at how we structure a working group for individual  
19 nominated disorders that we accept for review.

20           We've had some discussion about that and how  
21 that should proceed. And I think that might be  
22 something that the committee looks at in detail.

1           What's the makeup of those committees? What  
2           should be the standard operating procedures? What's  
3           our interactions with the Evidence Review Team?  
4           What's a work product that we would like the working  
5           group to bring forward?

6           And what's the format of that? And how  
7           should the interaction be with the committee as the  
8           data's evaluated? And how does the committee become  
9           informed about the issues so that, at the time of  
10          presentation, an appropriate discussion and then,  
11          decision made for a vote? So I think that's a process  
12          that we need to look at.

13          And then, an additional thing -- and I think  
14          Jeff's report on the meeting that was held in Utah is  
15          potentially an example of this. That there are groups  
16          outside of the committee that do things that may  
17          enhance the work of the committee. And, in many  
18          cases, they come to the committee with those details.  
19          And, in some, they may even ask for support from the  
20          committee.

21          And so, I think the committee needs to  
22          consider what should be the process of review of those

1 products. Is it such a thing so that, for Jeff's  
2 group, could it be that the committee would then  
3 either endorse that or support it or even approve that  
4 as part of the SOP of our committee and then, maybe  
5 potentially disseminate that. So I think that it  
6 might be important for us to start thinking about how  
7 we can enhance the role of the committee or help  
8 others who are working in a similar field by being  
9 involved in the development of those products or at  
10 least supporting or endorsing them.

11 So that's my summary, after looking at what  
12 the rules were and considerations of what's been going  
13 on in my tenure on the committee. And I think that  
14 the success of this committee is, clearly, based upon  
15 the people who are around this table.

16 I think we have five excellent new members  
17 who will join this table next time. And so, I think  
18 going forward, we have the expertise to continue at  
19 the rate that Rod has set. And I hope we can do that,  
20 because I think that's where the benefit is for the  
21 women and children of this country. So I'll stop  
22 there and see if there are any questions.

1 DR. HOWELL: Thank you very much, Joe.

2 Ned?

3 DR. CALONGE: (Off-mike.) Thank you, Rod.

4 Joe, that was fantastic, quite a great  
5 summary. And I will tell you, it's been interesting  
6 to sit next to Joe Bocchini and watch him actually  
7 capture the concepts as they flew by from committee  
8 members and then, integrate them, both in terms of  
9 things you'd already been thinking of and things you  
10 heard. That was really fantastic.

11 My question has to do with the  
12 reauthorization. And is there a specific process?  
13 Or, I mean, I can't believe there's, like, a form to  
14 fill out. But, I mean, really, to the degree of  
15 identifying those processes that need to occur and  
16 dedicating, you know, the work of your other members  
17 to help you get that done, because 2013 will be here  
18 before you know it.

19 DR. HOWELL: Right.

20 Sara, can you comment on that?

21 DR. COPELAND: Yes. I'll just -- am I on?

22 DR. HOWELL: No.

1 DR. COPELAND: No? Our Office of  
2 Legislation is already aware. I've already put it on  
3 the agenda for -- it's called an A-19 process. And we  
4 are starting it --

5 (Laughter.)

6 DR. COPELAND: Part of it -- but part of it  
7 is definitely making sure that our charter is in line  
8 with the legislation and the duties and making sure  
9 that there's as little controversy as possible.  
10 Otherwise, we run the risk of running -- it being,  
11 like, (inaudible) genetics and health -- GHS, so  
12 losing our authority to do this. So I want to make  
13 sure that we've dotted all of our i's and crossed all  
14 of our t's.

15 DR. HOWELL: Jeff?

16 DR. BOTKIN: I guess I, kind of, have a  
17 broad question about the charter and even our name. I  
18 mean, the heritable condition phrase is in there. But  
19 that hasn't limited us from looking at congenital  
20 heart disease, which is congenital, but not heritable,  
21 and hyperbilirubinemia, that only some causes of which  
22 would be heritable.

1           So are we satisfied with that state of  
2    affairs? I mean, can we move on to infectious  
3    diseases and things of that sort if they're proposed  
4    for analysis? Or do we, sort of, perhaps need to  
5    rethink the charter in that respect? Or is that thin  
6    ice?

7           DR. BOCCHINI: Well, I think we've already  
8    done that. So I think -- I would think, and I would  
9    hope that congenital infection would be under the  
10   purview of this committee, if and when we have an  
11   opportunity to make a specific diagnosis. It's a  
12   common problem with serious sequelae.

13           There is a potential for emerging treatments  
14   for CMV, the most common one. And toxiplasma we  
15   already have therapy for. So I would hope it would be  
16   under the purview of the committee. I think we've  
17   gone beyond heritable. So for congenital heart  
18   disease, as you said, we've --

19           DR. HOWELL: We've had considerable  
20   discussion offline about CMV already. And that will  
21   continue to come up. And we participated in a meeting  
22   at the CDC some years ago about the possibility of

1 newborn screening for CMV, obviously, because of its  
2 relationship to severe hearing loss.

3 Gerry?

4 DR. VOCKLEY: Rod, do you see that as a  
5 problem with the charter?

6 DR. HOWELL: I don't see -- you know, the  
7 name of the committee changed between the first and  
8 the second authorization, because during our first  
9 iteration, we had and genetic. And downtown dropped  
10 genetic, and we ended up with heritable and no genetic  
11 in that mix.

12 DR. COPELAND: It's going to depend on the  
13 OGC's interpretation of the legislation, because our  
14 charter has to reflect what's in the legislation. So  
15 ultimately, it's going to be a legal legislative  
16 issue.

17 DR. HOWELL: I'm sure many people will pour  
18 over that.

19 Gerry?

20 DR. VOCKLEY: Well, I do think there's some  
21 risk in mission creep. Not that there aren't other  
22 important issues that affect newborns and the health

1 and well-being, in general, of children and maternal  
2 health. But there are other groups that those are --  
3 that oversee some of those processes. So we have a  
4 legislative mandate.

5 We have a unique opportunity to take on a  
6 group of disorders that traditionally has had no other  
7 home and no other advocates. So I would hate for us  
8 to -- I would hate for the committee to lose that  
9 focus with looking at other conditions that don't, at  
10 least, have a significant heritable component.

11 Yes, bilirubin is already a little bit of a  
12 deviation. I would argue that congenital heart  
13 disease still falls in the category, because we  
14 recognize it as a multi-factorial disorder. So there  
15 is an heritable component to it. So I think we're  
16 still okay there. I think as soon as we step across  
17 the line and lose the heritable component, we are risk  
18 to losing the focus on heritable disease.

19 DR. HOWELL: I'll make a quick comment. And  
20 that is that also it would seem, however, highly  
21 appropriate if you're considering to screen all  
22 newborns for a given condition using dried blood

1 spots. That that would be so much within the purview  
2 of what this committee has considered.

3 And CMV, I think, would still fall into  
4 that. That's a personal opinion. I'm a big CMV  
5 advocate, as you can tell.

6 You know, Joe, I think that was a great  
7 discussion. And it seems to me that we have one small  
8 agenda item left that is scheduled to take 30 minutes.  
9 And I can't imagine it'll take 30 minutes. And I  
10 would think that it would be prudent for us to try to  
11 get that agenda item before lunch.

12 Would the group -- rather than to have lunch  
13 and then, come back for a few minutes, and so forth?  
14 Because this next thing is entitled, "Passing the  
15 Gavel." And since we don't have a gavel, it shouldn't  
16 take long.

17 (Laughter.)

18 DR. HOWELL: And no one even bothered  
19 thinking gavel today. But it would seem to me -- I  
20 would like to make just a few comments. And then, the  
21 folks who have toiled in the trenches will get some  
22 elegant certificate, I'm told, from Madam Secretary.

1           But I would like to comment briefly. We've  
2 talked for days now about this committee and what it's  
3 done. But it's been my wonderful privilege to serve  
4 as Chair of this committee since its inception.

5           And the committee came upon -- was formed at  
6 a time when there was rapidly-developing technology in  
7 the area of mass spectrometry so that we really were  
8 able to work with other people to see the really  
9 dramatic expansion of newborn screening. And that's  
10 really been a very exciting time.

11           The other thing is that the committee has  
12 had just outstanding membership. I mean, we've had  
13 people with diverse talents, and so forth, all along.

14           There a few people I would like to mention  
15 by name, and so forth, knowing that I'll miss a lot of  
16 people. But Dwayne Alexander, the former Director of  
17 NICHD, was very important in helping to get this  
18 committee underway. And he was always extremely  
19 supportive of the activities of the committee and what  
20 it was doing and trying to link research programs at  
21 NICHD into areas of area. And I think Dwayne did a  
22 great job.

1                   And Alan Guttmacher, his successor,  
2 continues to be highly supportive and interested.  
3 And, again, the research programs evolving from NICHD  
4 are very important, as are the programs at CDC. But  
5 Dr. Tina Urv is currently toiling away at the NIH to  
6 try to oversee a portfolio of situations that really  
7 relate heavily to this committee. And she will  
8 continue to do well.

9                   I personally would like to also thank Dean  
10 Pascal Goldschmidt at the University of Miami, who is  
11 my boss and has been extremely generous in two ways:  
12 number one, paying me, which is always helpful.

13                   (Laughter.)

14                   DR. HOWELL: But also, being totally fluid  
15 and flexible about the work that we do in the  
16 committee and viewing the importance of the genetics -  
17 - population genetics.

18                   We need to, again, talk about Michelle, who  
19 really worked so hard during the inception of the  
20 committee until very recently. And the committee  
21 would not be where it is today without Michelle there  
22 on the firing line.

1           And recently, we've had Alaina Harris and  
2 Sara Copeland moving into that place. And Carrie  
3 Diener has been there running the shop in the  
4 meantime.

5           We must comment about the American College  
6 of Medical Genetics and Mike Watson, who sits on the  
7 committee, because the HRSA contract that the college  
8 oversaw, and so forth, was really the groundwork of  
9 this committee. And I'd like to acknowledge Mike and  
10 the team at ACMG.

11           And then, the advocacy groups -- there are  
12 many who are represented in this room. And you all  
13 know who you are. But I specifically would like to  
14 single out the March of Dimes. It's been persistent  
15 in supporting our activities and working downtown to  
16 help educate the Congress about what the committee is  
17 doing.

18           And we have never seen more dramatic  
19 evidence of the advocacy community than we saw in the  
20 past month with the critical heart disease study,  
21 because, fundamentally, the education that was carried  
22 out downtown really changed the course of action

1     there.  And so, I think that's just invaluable.

2                     And we have to talk about Marina Weiss, who  
3     has been one of the folks running the show down there.  
4     And, again, Jennifer Howse, who is a member of the  
5     committee.

6                     But anyway, it's been my privilege.

7                     And if I had a gavel, I'd be pleased to pass  
8     it to you.

9                     But I'm sure that Joe will do a wonderful  
10    job.  And I will be observing close at hand, because  
11    I'm going to stay involved in newborn screening.

12                    And I'll be checking up on you regularly.

13                    (Laughter.)

14                    DR. HOWELL:  And if you do something I don't  
15    like, you'll hear from folks who work downtown under  
16    that big dome, and so forth, et cetera.

17                    (Laughter.)

18                    DR. HOWELL:  And Sara is going to have a few  
19    words, we hope, kind words, from HRSA.

20                    MS. LINDE-FEUCHT:  Thank you, Dr. Howell.  I  
21    just wanted to say, on behalf of HRSA and HRSA's  
22    Administrator, Dr. Mary Wakefield, and also, I think I

1 can say safely, on behalf of Dr. Peter Van Dyke, who  
2 has retired from our Maternal Child Health Bureau,  
3 just a great, big thank you to you, Dr. Howell, and to  
4 the other committee members who are rotating off. The  
5 work you have done is tremendous. And, obviously, we  
6 rely on your expertise and your thoughtful  
7 consideration of all these issues. So, on their  
8 behalf, I just wanted to say thank you.

9 And that thank you will have to suffice for  
10 now, because we don't actually have the physical  
11 certificates to hand out to the out-going members.  
12 So, like any good government, you know, project, it's  
13 probably in the mail. So --

14 DR. HOWELL: Outstanding. So everybody knows  
15 who's leaving. And so, we'll thank everybody. And  
16 your certificate will be in the mail, I gather. Okay.

17 DR. BOCCHINI: If there is no other  
18 comments, we will move to adjourn.

19 FEMALE SPEAKER: And you still get lunch, if  
20 you're a committee member.

21 (Whereupon, at 12:35 p.m., this session of  
22 the Advisory Committee adjourned.)