

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SECRETARY'S ADVISORY COMMITTEE ON
HERITABLE DISORDERS IN NEWBORNS AND CHILDREN

Thursday, January 26, 2012

Afternoon Session

1:30 p.m.-3:00 pm

Park Hyatt Hotel
Washington, D.C.

1 CHAIRMAN BOCCHINI: All right, let's go
2 ahead and call the meeting to order.

3 All right, thank you. We're going to
4 modify the agenda slightly to address the public
5 comments. First, a group from Wisconsin, as I
6 understand it, wanted to provide some information
7 about the nominated condition that we are going to
8 talk about momentarily, so bring all the public
9 comments first. So I guess one thing we're looking
10 for -- we're going to go ahead.

11 Donna McDonald-McGinn is going to
12 represent the group from Wisconsin. So the other
13 public commenter is not here, so we would like you
14 to step forward. The rules for public comment, we
15 will try to determine how much time is available
16 based on how many individuals or groups wish to make
17 presentations, so in this case we have one group for
18 pulse oximetry and one group for the nominated
19 condition, so it will be 10 minutes per group, so
20 please go forward.

21 Thank you for coming.

22 DR. MCDONALD-MCGINN: Good afternoon and

1 thank you for allowing us to present to you today.

2 So should why the 22q11.2 Deletion
3 Syndrome be added to the suggested list of newborn
4 screening studies? Well, in order to address this,
5 we would like to present historical background,
6 prevalence, key features, genetics, natural history
7 and preventable morbidity and immortality, efficacy
8 of screening, patient and family support for this
9 endeavor, and illustrative case presentations, in
10 rapid fire.

11 Historically, the 22q11.2 Deletion has
12 been identified in the majority of patients with
13 DiGeorge Syndrome, velo-cardial facial syndrome, and
14 conotruncal anomaly face syndrome, and in some
15 patients with the autosomal dominant Opitz-G/BBB
16 syndrome and Caylor cardiofacial syndrome.

17 However, once this was introduced in the
18 early 1990s as the standard diagnostic text, we
19 realized that they were really all the same
20 diagnosis.

21 Since then, we have found it to be the
22 most common microdeletion syndrome with an estimated

1 prevalence of 1 in 2,000 to 4,000 live births. It
2 is present in 1 of 68 children born with congenital
3 heart disease. It is the most common cause of
4 syndromic palatal anomalies. And it is the leading
5 cause of developmental disabilities.

6 Most patients have the same sized
7 deletion, A to D, which includes about 50 genes with
8 TBX1 thought to be responsible for many of the
9 phenotypic features. Most deletions occur as de
10 novo events, but even when inherited, it is often a
11 surprise to the parents with the resultant 50
12 percent recurrence risk.

13 Both sexes, all races and ethnic groups
14 are affected. But African-Americans with the 22q
15 deletion maybe underdiagnosed due to a paucity of
16 typical facial characteristics, even with high
17 prevalence conditions and in university-based
18 medical centers.

19 The 22q deletion is a multi-system
20 disorder with the most common significant medical
21 problems, including immune and autoimmune disease,
22 congenital heart disease, and palatal anomalies in

1 three quarters. Hypocalcemia in 50 to 65 percent.
2 Renal abnormalities and feeding and swallowing
3 difficulties in a third. Hypothyroidism in a fifth
4 hypothyroid. Intellectual deficits in a greater
5 than 95 percent. And psychiatric illness in a large
6 proportion.

7 But it is important to note that
8 ascertainment bias affects prevalence estimates of
9 all features.

10 Less common issues that contribute to
11 significant morbidity include diverse anomalies as
12 listed on your slide and in your packet.

13 To illustrate these points, we would like
14 to share the story of one child, 13-year-old Louis
15 Cavana, whose mother, Carol Cavana, founding board
16 member of the International 22q Foundation is here
17 with us today.

18 Louis was featured in the recent Journal
19 of Pediatric Guidelines Paper, which you have in
20 your packet, because he has exhibited so many of
21 these features. Born with tetralogy of Fallot, a
22 pink tet, he was discharged on day three of life.

1 At home he had twitching and jerking. His doctors
2 were not concerned, but Carol insisted the
3 pediatrician observe the teaching. Louis was
4 ultimately hospitalized with seizures and a question
5 of stroke. A calcium of 4.7 eventually explained
6 the findings. Then a diagnosis of 22q.

7 Now a middle school student, Louis is
8 unable to read. Newborn screening could have
9 ensured monitoring and treatment to prevent his
10 hypocalcemic seizures, especially now that
11 guidelines are established.

12 DR. BASSETT: What are the highlights of
13 anticipatory care?

14 CHAIRMAN BOCCHINI: What is your name?

15 DR. BASSETT: Anne Bassett.

16 Monitoring for new onset and adequate
17 treatment of hypocalcemia and thyroid dysfunction is
18 extremely important throughout life, especially at
19 times of biological stress, for example the surgery
20 readily treated with calcium and vitamin D
21 supplements.

22 We have provocative results indicating

1 that neonatal hypocalcemia without ongoing treatment
2 maybe associated with moderate to severe
3 intellectual deficits.

4 Standard treatments, however, for all the
5 multisystem conditions are readily available and
6 specialist referrals as necessary. Clearly, early
7 diagnosis and effective treatment improve outcomes,
8 both physical and cognitive, and we have a key
9 example.

10 DR. BERGER: My name is Stuart Berger.
11 I'm a pediatric cardiologist at the Children's
12 Hospital of Wisconsin, and I would like to tell you
13 the tale of two patients, both of whom have 22q
14 interrupted aortic arch.

15 Patient A was diagnosed by ECHO
16 prenatally. Came to our hospital and was started on
17 prostaglandin and had surgery soon after birth,
18 which included a complete heart repair and was
19 discharged from the hospital.

20 Patient B, which was a late diagnosed
21 patient, was discharged from the hospital on day two
22 of life but without any diagnosis. Presented in the

1 emergency room at 9 days of age had a very
2 complicated resuscitation and suffered a stroke, was
3 transferred to us where the diagnosis of 22q
4 interrupted aortic arch was made, had multiple
5 additional surgeries, and actually had a hospital
6 bill that was \$750,000 greater than patient A for
7 the first year of life and more importantly went
8 home with a stroke and severe neuro-developmental
9 delay.

10 This allowed us to go forward and look at
11 some other data. We did a study at our institution
12 of 180 patients with serious congenital heart
13 disease that was dependent. We wanted to look at
14 the impact of early vs. late prenatal diagnosis;
15 looking at cardiogenic shock presentation vs. no
16 shock; ICU length of stay; amount of time needing
17 drugs to support the heart; amount of time on the
18 ventilator; and hospital charges.

19 Very interesting, from that study of the
20 65 patients that presented on early, not a single
21 one of them, zero, presented with shock, whereas of
22 the patients that presented late, 38 out of about

1 105 presented with shock and all attendant problems.

2 Those attendant problems included a longer

3 length of stay in the ICU, included a longer

4 duration of needing drugs to support the heart,

5 included a longer period of time on the ventilator.

6 And in addition to that, on the average of the

7 babies that presented with shock, their hospital

8 charges were greater than \$350,000 more than the

9 hospital charges of the babies that did not present

10 with shock. I want to point out that one early

11 diagnosis of this entity would pay for one year

12 screening in our state, in the State of Wisconsin.

13 So I would conclude by telling you the

14 early diagnosis of congenital heart disease markedly

15 reduces morbidity and mortality, early diagnosis of

16 congenital heart disease markedly reduces overall

17 costs.

18 Pulse oximetry is not set up nor is it

19 able to pick up all forms of life-threatening

20 diseases, and I would tell you that, collectively,

21 these data strongly support newborn screening for

22 22q.

1 I'd like to move over to talking about a
2 subject beyond cardiac disease, and we would like to
3 introduce introduced Max Wootton. Max is
4 represented here by his mother Julie, founder of the
5 British children's charity Max Appeal.

6 MS. WOOTTON: Thank you.

7 Max was born with undiagnosed complex
8 heart defects, which became totally overshadowed by
9 his other problems, necrotising enterocolitis
10 through a fatal spiral of events, including
11 idiopathic physiopedia and massive acidosis that led
12 to his death at the age of 4 months.

13 Anticipation of potential issues rather
14 than continually reacting to crises would, I feel,
15 have improved his chances of survival, and for other
16 children their chances of achieving their potential.

17 This makes sound economic and social
18 sense. For this to happen here in the USA would
19 impact on the diagnostic protocols within national
20 health service of the U.K.

21 Now onto the diagnostic odyssey of Aidan
22 Shaw whose mother, Sheila Kambin, an obstetrician,

1 spent 5 years searching for an answer.

2 DR. KAMBIN: Hello, my name is Sheila
3 Kambin. My son Aidan's diagnostic odyssey
4 incorporated 27 specialists over a five-year period
5 at major medical centers. Despite having 18
6 findings associated with 22q, Aidan remained
7 undiagnosed. The cost was upward of \$500,000, but
8 what cannot be measured in dollars is Aidan's lost
9 chance for early intervention. Interventions which
10 I believe could have substantially improved his
11 prognosis.

12 What would Aidan's IQ and speech be like
13 today if he had come to attention in infancy? We
14 will never know.

15 I'm a parent. I'm also an obstetrician
16 physician who has coped with her son's medical
17 diagnosis by medicalizing every aspect of it. I can
18 recite every anomaly associated with the syndrome.
19 I also work on a special delivery unit, which was
20 built to deliver babies with congenital anomalies
21 specifically with babies with congenital heart
22 disease. And I came here to tell you today that I

1 could not reliably make this diagnosis in the
2 delivery room.

3 Newborn screening is the only solution to
4 this complex problem. Please do right by these
5 wonderful children and recommend adding newborn
6 screening for 22q.

7 In contrast to Aidan, we will now present
8 Riley Dempster.

9 MS. BREEDLOVE-SELLS: At birth, Riley
10 could not handle her secretions, breath or feed
11 properly, resulting in a trach and G2 placement.
12 Her heart was normal but hypocalcemia was present.
13 Riley's father is a celebrity, a baseball player,
14 whose name brought every specialist in the hospital
15 to help with this diagnosis.

16 And astute geneticists made the diagnosis
17 and Riley's treatment began immediately. The
18 Dempsters too have established a foundation, because
19 they want this type of immediate care for all
20 newborns with 22q.

21 So back to newborn screening. Can it be
22 done accurately, logistically, cheaply? The group

1 from Children's Hospital of Wisconsin has developed
2 a newborn screening test and Jack will share his
3 data.

4 DR. ROUTES: My name is Jack Routes, and
5 I'm from Children's Hospital of Wisconsin.

6 What would be the optimal test for newborn
7 screening for 22q? Well, it must reliably detect
8 haploinsufficiency in the gene TBX1. It should use
9 existing newborn screening cards. It should use
10 technology that the states have used to be amenable
11 to high throughput screening, and it must be
12 sensitive, specific and inexpensive.

13 We propose that we have a test in hand
14 that meets all of these qualifications. As you are
15 aware in 22q, there is a deletion in TBX1. Our
16 assay actually picks up the haploinsufficiency in
17 TBX1 by real-time quantitative PCR.

18 So just as a proof of concept, we studied
19 382 infants with congenital heart disease. We were
20 blinded to those infants that had 22q, and we
21 performed a multiplex PCR to determine if our assay
22 can pick up 22q.

1 And as you can see in the red dots, in
2 every single case, we were able to identify children
3 with 22q. The test was 100 percent sensitive and
4 100 percent specific.

5 So that's great with congenital heart
6 disease when you have blood. What about with the
7 newborn screening using pre-existing newborn
8 screening cards.

9 So in conjunction with Wisconsin State Lab
10 of Hygiene -- next slide -- we used 80 newborn
11 screening cards, extracted DNA from those cards, put
12 it in a 96 file format and then randomly included
13 DNA from 22q. We were completely blinded to the
14 results on which was spiked with 22q. And as you
15 can see in the real world we can identify infants
16 with 20q by halpoinufficiency of TBX1.

17 So in summary, we believe we have
18 developed a test that is sensitive and specific for
19 22q. Our group was in part responsible for
20 initiating a newborn screening for trach, the same
21 technology, approximately the same cost, about six
22 dollars per assay, and it is a technology that state

1 labs are familiar with.

2 So the next question, do people want
3 newborn screening for 22q?

4 DR. COPELAND: I'm sorry, your time is up.

5 DR. BASSETT: The answer is yes.

6 DR. MCDONALD-MCGINN: Thank you for your
7 kind attention.

8 CHAIRMAN BOCCHINI: Thank you for your
9 coming in for your presentation. We appreciate it.

10 We have an additional public comment on
11 pulse oximetry, Kristine McCormick.

12 MS. MCCORMICK: Dr. Bocchini and ladies
13 and gentlemen of the committee, my name is Kristine
14 McCormick. I am mom to Cora. It is an honor to
15 stand in front of you today and personally thank you
16 for your diligence, thoroughness, and swiftness in
17 recommending screening for critical congenital heart
18 defects to the universal newborn panel. I would
19 especially like to thank Dr. Rodney Howell for his
20 leadership.

21 I gave birth to Cora in November 2009
22 after an extremely healthy and happy pregnancy. She

1 was the picture of good health -- or so we thought.

2 A few days after bringing her home, I was
3 feeding her. I looked up for a split second to tell
4 my husband that I loved him. I looked back down and
5 she wasn't breathing. She was grey. She was pale.

6 We jumped into action, called 911, got to the
7 hospital within 5 minutes in our small community.
8 But it was too late. Cora was dead.

9 We found out from the coroner and later
10 the autopsy report that she had CHD problems with
11 her pulmonary veins. I didn't even know what CHD
12 was, never even heard the phrase.

13 Now a week doesn't go by that I am not
14 contacted by another mom, dad or friend of a newborn
15 that died at home suddenly and unexpectedly from
16 undetected CHD, babies like Veronica, Max, Sadie,
17 Luke, Nora, Harlow and, sadly, I could stand here
18 all day and read names.

19 I commend this committee for its work so
20 far and look forward to the day that every baby is
21 screened for CCHD with pulse oximetry before leaving
22 the hospital.

1 I'm impressed by the efforts of individual
2 states, like my home State of Indiana, where my baby
3 is free, but I'm not impressed by the e-mails that I
4 get, and the list growing of babies each day, that
5 we aren't screening every single baby.

6 Thank you.

7 CHAIRMAN BOCCHINI: Thank you, Ms.
8 McCormick, for your presentation.

9 That will close the individuals who asked
10 for an opportunity for public comment.

11 We will now go to the Nomination Workgroup
12 report.

13 As you're aware, the 22q11 Deletion
14 Syndrome was submitted and was reviewed by the
15 committee. We'll review the findings and hear the
16 recommendations of the working group.

17 Deitrich?

18 DR. MATERN: Thank you for giving me the
19 opportunity to describe what the Nomination and
20 Prioritization Workgroup discussed last December,
21 and this is a summary. Again, the issue was whether
22 22q11 Deletion Syndrome or DiGeorge Syndrome should

1 be added to newborn screening.

2 The proponents are partly here, at least
3 Dr. Routes and Dr. Verbsky from the Medical College
4 of Wisconsin in Milwaukee, Dr. Sullivan and Dr.
5 McDonald-McGinn from the Children's Hospital in
6 Pennsylvania. The supporting organizations of this
7 proposal are the Jeffrey Modell Foundation, the
8 Immuno Deficiency Foundation, the International
9 22q11.2DS Foundation, the Dempster Family
10 Foundation. And I do think now we have to add the
11 Max Appeal, and DCFE and the 22q11 Foundation.

12 So you heard now a lot about already
13 22q11.2DS, which is again also known as the DiGeorge
14 Syndrome, or the Velocardiofacial syndrome. If you
15 are unaware where they names come about, a physician
16 usually makes the diagnosis of a group of patients
17 that have similar symptoms, and because they don't
18 know what the cause of the disease is that they see
19 in front of them, they give it a descriptive name
20 such as velocardiofacial syndrome or later the name
21 is assigned based on the physician who first
22 described it, such as the DiGeorge Syndrome.

1 So it took some time until it was realized
2 what the actual cause of the disease in these
3 patients is, and apparently now for 22q11.2 Deletion
4 Syndrome, there is a genetic defect that has been
5 identified. Now contrary to most other conditions
6 that we deal with the newborn screening, this is a
7 autosomal dominant condition or chromosomal
8 recessive. However, also contrary to most of the
9 conditions, this is in more than 90 percent of the
10 de novo deletion and less than 10 percent inherited
11 from a parent.

12 The prevalence, as we already heard, is
13 relatively high, 1 in 4,000 live births. It does
14 not affect a specific ethnic group. It is pan-
15 ethnic, so anybody can be affected.

16 This phenotype is highly variable, and as
17 you can see in this table that I took from one
18 introduced by the proponents, the various anomalies
19 that can be detected, where cardio anomalies in
20 particular. Critical heart disease is fairly
21 frequent at 77 percent. Immune deficiency is also
22 very frequent with 77 percent. Panels of defects

1 which are typically not so easily detected when they
2 are not overt, palates to the cleft or cleft lip,
3 which occurred in only up to 13 percent. The
4 velopharyngeal insufficiencies are more difficult to
5 diagnose and certainly something that is not done in
6 the neonatal care unit.

7 And then you have the developmental and
8 mental issues that affect a large number of patients
9 and they are also, of course, not identified in the
10 newborn period.

11 The treatment is, at this point,
12 symptomatic, so we have patients apparently they
13 have heart disease or heart defect that needs to be
14 treated by surgery usually so there is nothing
15 causative or cumulative, which again of course
16 nothing for newborn screening, either.

17 One of the differences maybe to other
18 conditions is that apparently many of the patients
19 are born symptomatic so they have clearly already a
20 problem, such as congenital heart defect that we
21 will not be able to prevent anymore by the newborn
22 screening.

1 Over time, there are different concerns.
2 Again, this is from the review by the proponents
3 from last year. And you can see in early infancy
4 primarily the heart and the hypocalcemia are the
5 primary issues. Later in life, you have the
6 development, palate and infections being added. So
7 you can also see that over time there are issues
8 with this condition as the patient ages or depending
9 if you have a milder type, it might not be detected
10 until you're older or an adult or maybe because your
11 child wasn't identified as having 22q11DS, and then
12 family studies reveal that actually a parent carries
13 the mutation. So that also tells you that
14 apparently you can have people go through life
15 fairly long and don't show any symptoms until
16 there's a child born and another patient is
17 identified.

18 The other issue about treatment, and again
19 from the same paper, is states that more significant
20 issues relate to management of patients once a
21 diagnosis is established. The varied presentation
22 and the varied phenotypic constellations mandate

1 that each patient has a fairly unique management
2 strategy.

3 We just heard from the proponents that
4 they feel that such management can be accomplished
5 basically across the country. There might be some
6 areas, however, where I think we may not be able to
7 have a really comprehensive workup, at least not
8 very close to where the patient is living.

9 The promise and the possibility of
10 improved interventions for neuropsychiatric needs
11 could lead to enhanced adult function. Again, this
12 is an assumption, and generally I would agree that
13 if you treat someone prospectively that is always
14 better than later.

15 So the proposed method as we just heard is
16 a molecular genetic method using RT-PCR. It
17 requires the usual 1/8-inch or 3.2mm punch per test.

18 And the question that comes up is whether there is
19 overlap with existing newborn screening methods.

20 So if we look back at this table you will
21 notice again that there are anomalies playing a big
22 role and CCHD is apparently a part of this

1 condition.

2 So what many of these patients can be
3 identified through pulse oximetry, which is now part
4 of the uniform panel and just waits for
5 implementation across the country.

6 The other thing that immune deficiency
7 plays a big role. And again, it is currently being
8 implemented across the country, and could patients
9 be identified through a SCID screening?

10 So pulse oximetry, one would expect that
11 at least 50 percent of the patients here would be
12 identified because they have a cyanotic heart
13 disease, by pulse oximetry.

14 Through SCID screening. If you look at
15 the collaborative project and those few programs
16 that submit the data as of last Monday, 41 cases
17 that had abnormal SCID screen have a severe combined
18 immune deficiency. Seven of these 41 cases actually
19 were eventually diagnosed with the DiGeorge Syndrome
20 or 22q11.

21 So I don't know about all of the cases but
22 this is apparently -- at least 7 out of 41 is a good

1 percentage of all the cases that are identified
2 through a SCID screening.

3 And again 67 percent of 22q11 DS have T-
4 cell lymphopenia, so you would expect that again
5 another half at least should be picked up by SCID
6 screening.

7 The next question is, now that you have
8 proposed DNA-based assay and SCID is being
9 implemented, which again is the technology
10 apparently now making its way into every screening
11 laboratory, couldn't you combine those two?

12 So another paper fairly recent, 2 years
13 ago, in Genetics and Medicine tried to address the
14 issue of whether this condition should be added to
15 the newborn screening panel. We could go through it
16 and this table you see the benefits and the risks,
17 which are really nothing more new from the society
18 perspective. The benefits are that you might have
19 some impetus for development of effective screening.

20 We have that already.

21 The risk is that we don't yet have a fully
22 tested screening technique. Based on limited

1 studies that were done -- and I agree they were
2 blinded. They were done on newborn screening blood
3 spots. But again it was not a high throughput
4 population wide screen at this point.

5 So while apparently the limited study
6 apparently shows very good sensitivity and
7 specificity, whether this will hold true when you
8 start screening thousands of samples I don't think
9 we can answer at this point.

10 What about the false positives? What will
11 people say when there are false positives? Another
12 question that maybe Dr. Tarini can speak to this
13 later, is how do of physicians who do not have
14 specific training with these conditions talk to the
15 families and are able to help them go through the
16 process of confirming a diagnosis, if there were
17 false negatives possible?

18 The other issue would be that, the
19 screening tests as proposed, I believe you could
20 also identify cases that have 22q11 duplication
21 syndrome, which is not always considered because
22 most of these patients appear to be just fine. So

1 you have a risk that you identify something that is
2 clinically irrelevant and puts family through the
3 ringer until that is clarified. And in the end,
4 they may have some kind of genetic abnormality in
5 their medical record that really doesn't have to be
6 there.

7 But for the individual, of course, as we
8 already heard, there are significant benefits if you
9 have a heart defect and you do not go home before
10 the problem has been addressed. You can address all
11 of the other issues prospectively as opposed to once
12 a patient is already developing symptoms, and that
13 should be of benefit. And the risk, again, is
14 basically the ones I've already mentioned in not
15 being sure whether the early identification is
16 really what is required for every single patient
17 that has a spectrum of syndromes that are possible.

18 And for the family, the benefit of course
19 is that they know sooner than later what is going on
20 with the child, and the risk is that you have what
21 is called the vulnerable child syndrome that you
22 create by basically causing parents to wonder what

1 is wrong with her child, thinking about guilt,
2 bonding, all of these issues that may be a negative.

3 So also again, as kind of alluded to,
4 there's been no prospective study to date, so we
5 think that the assays are working very well in
6 newborn screening.

7 In the past, we know that the tests are
8 implemented, and we think they work very well
9 because of the limited studies we've done. Once we
10 go into real-life screening, you realize there are a
11 lot of problems that one should really have thought
12 about earlier.

13 So again, a large study prospectively
14 hasn't been conducted yet. And the question is
15 whether you will identify cases that are not
16 necessarily needed to be identified such as the
17 duplication.

18 The other issue is, again, that I think a
19 large number of patients should be identified
20 through currently recommended screening for SCID and
21 CCHD. And then the other question would be, to be
22 answered in a prospective study maybe, is must one

1 really identify all the other cases that do not have
2 immune deficiency, or heart disease be identified
3 that early.

4 Do we have an issue with the comprehensive
5 treatment centers across the country? Are there
6 really enough? Are they close enough? Those are
7 things that we are not yet sure of, and I also would
8 suggest that one has to consider the blood spot
9 sample. Four or five blood spots are collected on
10 every baby. On the screening card, you're screening
11 for now at least 29 conditions. That doesn't mean
12 we need to take 29 punches, but we take probably
13 five or six punches to screen for all those
14 conditions. Every time you propose a new test that
15 requires its own assay of our blood spot punch, we
16 lose some of that real estate on the card.

17 So as we go forward, I think that needs to
18 be addressed, and we should particularly consider if
19 you extract DNA already for one test, maybe you can
20 use that same extract to look for the other
21 condition as well. So that would be something to
22 consider going forward, whether we really need an

1 extra punch to do the screening test.

2 So the recommendation to this committee
3 from the workgroup are to not yet initiate an
4 external evidence review and to suggest to the
5 proponents and the newborn screening community at
6 large to conduct a prospective newborn screening
7 study for 22q11.2DS to determine the test
8 performance in a high throughput fashion. If
9 current newborn screening for SCCID and CCHD are
10 sufficient to detect clinically significant 22q11.2
11 DS cases, the testing for this condition could be
12 multiplexed with other DNA-based testing such a SCID
13 and also to suggest developing the ACT Sheets
14 algorithms so that physicians who will eventually
15 get a phone call about an abnormal newborn screen
16 for this condition know what communicate to the
17 families and what to do next.

18 I have some bias here because I am a
19 member of the workgroup that works on the ACT
20 Sheets, so I would like to make you aware of that.

21 So also then to recommend to the newborn
22 screening programs that already test for SCID to

1 please enter your true positive data into the region
2 collaborative website so that people can see how
3 many SCID cases are identified through prospective
4 screening.

5 Thank you very much.

6 CHAIRMAN BOCCHINI: Thank you for that
7 presentation.

8 Dieter has summarized the discussions and
9 recommendations of the Nomination and Prioritization
10 Workgroup in a very nice manner.

11 And to further discuss this, there is a
12 template within which the Nomination and
13 Prioritization committee works to look at whether
14 the nominated condition has met each of the
15 requirements to potentially go forward to evidence
16 review. And I think he has very nicely summarized
17 those issues that have been met and those issues
18 which have not yet been met, which led to the
19 committee making its decision.

20 So we will open this now to discussion by
21 the committee.

22 DR. LOREY: This is Fred. I would like to

1 make a couple comments. Can you hear me?

2 CHAIRMAN BOCCHINI: Yes.

3 DR. LOREY: I wanted to talk a little bit
4 more about the relationship with the SCID tests.
5 The numbers that you put up, I want to second the
6 emotion that we are having trouble with people
7 entering data, so I also want to encourage people to
8 enter data. And also, there was agreement among
9 immunologists that we would only enter the DiGeorge
10 that had an immune deficiency. So the number is
11 actually quite a bit higher.

12 And just a few observations from our SCID
13 screening. We have now screened about 700,000 kids,
14 and we picked up about 10 DiGeorge and, I'm talking
15 off the top of my head, but I believe that six of
16 them are immune deficiency and the other four are
17 not. And generally the direct values tend to be
18 lower for those that are immune deficiency, but you
19 will still pick up some without and at the higher
20 end of your cutoff, and I assume a lot more above
21 your cutoff that don't involve immune deficiency.

22 The other thing we observed is that I

1 believe without exception every result -- positive
2 result we called out, it was a DiGeorge. The
3 physicians had already diagnosed every time we got
4 the test done. So I'm not sure, no matter what test
5 is used, I'm not sure we're going to be
6 accomplishing a lot by adding this to newborn
7 screening.

8 So it is a syndrome, and I think in our
9 analysis we have to separate the parts because if
10 you remove the immunodeficiency part from it, it
11 really doesn't meet many if any of the criteria for
12 newborn screening, most notable being the
13 requirement that the test detect before symptoms
14 occur. And that is not true, except for immune
15 deficiency, and we're picking those up in the drug
16 assay.

17 To date, we haven't had any immune
18 deficient DiGeorge patients reported to us. We have
19 had some doctors who now know we're screening
20 diagnose DiGeorge and then ask us for the TREK
21 result, which was always negative. And by negative,
22 I mean either the TREK was negative or it was one we

1 picked up, sent to the flow and the flow was
2 negative.

3 So I'm just sort of reiterating what
4 Dieter said, but based on a fair amount of
5 experience.

6 Thanks.

7 CHAIRMAN BOCCHINI: Thank you, Fred.

8 Any other comments, questions, input? I
9 just want to make sure the committee has a good --
10 I'm sorry. Don?

11 DR. BAILEY: I don't know much about this
12 condition. I'm moved by the presentation by the
13 advocates. From what I hear you saying is that if
14 we recommended this go forward to the evidence
15 review committee route, it would probably not pass
16 muster from that group right now. Would that be a
17 fair assumption?

18 So I think it's important for us to
19 recognize that if it's not going to do well in the
20 evidence review process the way we have it
21 structured right now, that's --

22 CHAIRMAN BOCCHINI: I think part of the

1 screening requirement in the past to go forward and
2 also when it went forward, usually if there was not
3 a large-scale screening study done, it never was
4 approved anyway. So I don't see a reason to put it
5 forward to the evidence review when we already know
6 that this piece is missing.

7 DR. BOTKIN: A quick question about the
8 hypocalcemia manifestations. Is this is critically
9 neonatal phenomena where the kids need support prior
10 to the time of the newborn screening result to come
11 back, or can this be a more chronic or episodic
12 phenomenon that will benefit from newborn screening?

13 Does anybody know?

14 DR. MCDONOUGH: It can be both. I think I
15 have five children in my practice with DiGeorge and
16 they have critical heart disease sooner but if they
17 don't have -- or chronic hypocalcemia or mild immune
18 deficiency -- by the way, I can tell you that the
19 ones that I am familiar with, that we have not
20 picked up some of them.

21 Is there any way that our committee can
22 advise funding agencies to expedite some of the

1 research that needs to be done in this area for
2 standard testing of a bigger population?

3 DR. COPELAND: You can do whatever you
4 want. Whether or not it is capable of being done is
5 a different issue. You can.

6 DR. MCDONOUGH: From my experience, I can
7 see the benefit of picking up on some of these kids
8 earlier, hoping that universal heart disease
9 screening will be done, so there will be some kids
10 who will be missed though, who will have DiGeorge
11 who won't have heart disease and won't have immune
12 deficiencies. And I think there'll be quite a few,
13 because the incidence is 1 of 4,000 SCID screening
14 is not picking up any --

15 CHAIRMAN BOCCHINI: Coleen?

16 DR. BOYLE: Just because this will reflect
17 on the suggestions back to the committee in terms of
18 what needs to be done, I guess I would open this up
19 for others. You're adding something about the
20 clinical utility, understanding more about -- since
21 you mentioned that this has a very broad spectrum
22 and perhaps we are all concerned about the severe

1 end of that, but getting a better sense of that, so
2 really adding a clinical utility piece to this.

3 DR. MATERN: That's basically what I meant
4 by is it sufficient, clinically sufficient to -- the
5 cases are sufficient to be picked up.

6 CHAIRMAN BOCCHINI: Cathy?

7 MS. WICKLUND: So we're talking about the
8 test performance metrics and using real-time PCR.
9 Is it necessary to do this with every single
10 dilution disorder, or can we talk about the
11 technology itself and utilizing that technology the
12 way we utilize it in other disorders and the test
13 performance metrics in that way?

14 DR. COPELAND: So we are considering the
15 disorder that was submitted to us, which was for
16 sequencing of 22q11.

17 DR. MATERN: If you wondering whether or
18 not the false positive rate that is occurring in
19 SCID may be translatable to this. I don't know.

20 CHAIRMAN BOCCHINI: Mike?

21 DR. WATSON: It's really for a question
22 for Fred. I think because I was confused by the

1 semantics of his restriction to the DiGeorge
2 Syndrome, which is defined by an interrupted aortic
3 arch type b vs. VCF and CAFC, what may have a much
4 broader range of congenital heart disease associated
5 with them, because I do think it's important to
6 understand how many have both T-Cell lymphopenia and
7 congenital heart disease that would fall out of both
8 of the screening tests that we do. And I don't
9 think that was in the paper we reviewed, as to how
10 many occur in the same patient of both of those. It
11 may not be a 50 percent that fail the lab all the
12 time, but DiGeorge would be restricted to a
13 relatively small proportion of the 22q minus
14 patients, I think.

15 I just didn't understand the data that
16 Fred presented. This is the biggest data set of
17 700,000 on the SCID, but when he said restricted to
18 DiGeorge, I got lost because I think T-Cell
19 lymphopenia occurs in 22q independent of DiGeorge,
20 as a narrow subset --

21 DR. COPELAND: They've been calling it
22 complete DiGeorge, and I think that is where some of

1 the semantics come in, is a lot of -- I've heard the
2 immunologists calling it complete DiGeorge.

3 But it's a semantics issue, I do believe.

4 But, Fred, if I'm wrong, please feel free to chime
5 in.

6 DR. LOREY: I am not the expert but I did
7 hear that term, yes.

8 DR. GREENE: I should probably say that
9 although I am sitting in the SIMD chair, this is not
10 an SIMD disease, but I am a clinical geneticist.
11 And though I'm experienced with deletion 22 and I
12 thank people for clarifying the semantics issue, but
13 what I heard are several things I think I would like
14 to put on the record.

15 One is not diagnosed does not mean not
16 symptomatic, so for the families that are also --
17 apparently don't have a diagnosis but they may be
18 schizophrenic, they may be walking around with a low
19 calcium, they may have all sorts of health problems
20 that they don't know about, that when we start to
21 correct, when we figure out what is going on in the
22 family -- that is also true in older siblings.

1 Another point that I heard, the incredible
2 data. Of 700,000 kids screened, picked up 10 that
3 were labeled DiGeorge, never mind the semantics.
4 But it sounds like 10 probably deletion 22qs picked
5 up in 70,000. I've heard two numbers. I heard 1 in
6 2,000; I've heard one of 4,000. If we in the 1 of
7 4,000, not even the 1 in 2,000, that is picking up 1
8 in 17 deletion 22 kids. So I don't know, Dieter,
9 with due respect, where you got the number that
10 TREK screening will pick up 50 to 65 percent of kids
11 with deletion 22q. But in my experience, most kids
12 with deletion 22q, and I see a lot of them, don't
13 have immune deficiency.

14 So counting on TREK screening to pick it
15 up ain't gonna help.

16 DR. LOREY: This is Fred. I would like to
17 correct that. That is not what I was saying.

18 What I was saying was the immune
19 deficiency is the one that qualifies for the newborn
20 screening criteria. We do not attempt to pick up
21 the non-immune deficient. We do pick up some. And
22 we are fully aware that way above our cutoff are

1 probably the majority of DiGeorge cases but they are
2 not immune deficient. And that is why I am saying,
3 when we have this discussion, we have to pull apart
4 the different disorders because we believe we are
5 picking up the immune deficient cases, and by no
6 means are we picking up any substantial percentage
7 of the total cases.

8 But I can tell you, every one we reported
9 of the 10, whether immune deficient or not, the
10 doctor already knew.

11 So we are not trying to pick up all
12 DiGeorge by that screen. All I'm saying is we are
13 picking up the immune deficient ones.

14 DR. GREENE: Thank you. And I believe
15 that we are then in agreement. We are picking up
16 the immune deficient patients with the deletion 22,
17 but of course not the non-immune deficient ones.

18 DR. METERN: The 67 percent comes
19 basically out of the table where it says 67 percent
20 of patients have low T-cells, so nobody has real
21 data at this point. And that is basically, in my
22 opinion, the most important, that there's no

1 prospective study that is done. And from the SCID
2 testing states, we do not yet have enough
3 information back as to how many are actually picked
4 up.

5 DR. GREENE: And I am not in any way,
6 shape, form able to respond to some really important
7 questions that are being raised about the level of
8 some important -- knowledge, but I think it
9 important for nobody to walk away thinking that TREK
10 testing is going to pick up a substantial portion of
11 kids who will need treatment.

12 With respect to the heart, many of the
13 patients that we see with deletion 22, their heart
14 defects are not -- so lots of actionable heart
15 issues but will not be picked up on the critical
16 cyanotical, congenital heart disease screen.

17 And absolutely reinforce lots of folks
18 have completely normal calciums. That's why the
19 screening says you keep monitoring the calcium.
20 They can go down and get your ICU after their
21 calciums gradually drop-down and then hit your ICU
22 in coma and seizing when they are 16.

1 There's also other things that I think
2 that folks who presented hit the highlights. We
3 monitor speech. We monitor hearing. We monitor
4 eyes.

5 Speaking as a clinical geneticist,
6 somebody hands me a diagnosis of deletion 22. I
7 know what to do. I know what to monitor. I have
8 guidelines. I can talk to the family. I can
9 partner with the pediatrician anywhere in the
10 country. If the family can make it down once,
11 great. If not, I can talk the pediatrician through
12 it. There are genetic counselors all over the
13 place, if the family is having a hard time. Yes, we
14 all get -- both Dr. Tarini and I work on false
15 positive concerns. We know that we can make people
16 anxious. It sounds like this would probably have a
17 low false positive rate, but it ain't gonna be zero.
18 But there are genetic counselors around who partner
19 with pediatricians.

20 So I am not speaking to some of the data
21 questions or the technical questions, but speaking
22 as a clinical geneticist, hand me this information,

1 hand me a pediatrician with questions, we can deal
2 with it. We're not going to fix it. It's not going
3 to answer the questions about cost effectiveness or
4 anything else. And speaking as me personally, folks
5 here in the room have heard me argue against plenty
6 of things where "Don't give me this, please. I
7 don't know what to do with it." This is, "Give it
8 to me, please. I know exactly what to do with it."

9 CHAIRMAN BOCCHINI: Questions? Comments?

10 DR. BOTKIN: Yes, just one question. I'm
11 not sure I understood or remember exactly how this
12 went, maybe this was Fred. But are there ways to
13 improve SCID screening data collection that would
14 give us better answers in this domain over the next
15 year or so? That would give us additional
16 information about at least the T-cell subgroup of
17 this of this group? And potentially think about it
18 as states ramp up congenital heart disease
19 screening, are there ways to collect some of these
20 data so that we have more information later than we
21 have today?

22 DR. LOREY: Boy, that is a million-dollar

1 question.

2 I think we should, because the limited
3 data entry that we have for our site is really only
4 from the four states that were in the pilot. And we
5 can't even get all four of them to enter their data.

6 So one of the reasons is because
7 immunologists just want a lot of information in
8 there. Personally, I think it's more than we need,
9 and it's difficult and time-consuming to enter all
10 the CDC information, all the pulse oximetry
11 information, so maybe we could have a discussion
12 about that issue. But I agree.

13 I mean, this is a valuable resource if we
14 can get people to contribute. And then the other
15 thing, maybe we might want to revisit it. And
16 again, we need to include the immunologists because
17 they are the ones who told us not to record the
18 DiGeorge. And you know, maybe we should. Maybe we
19 should report them all. And then whether they were
20 or were not, that data goes to waste, really.

21 Although, I agree with the previous
22 speaker that we will find a big chunk of the non-

1 immune deficient ones.

2 Maybe we can offer to pay running.

3 DR. COPELAND: Please keep it very brief.

4 DR. BERGER: I just wanted to make a brief
5 comment. To remind you, I'm the cardiologist.

6 I want to go on the record to say that I
7 absolutely am in support of pulse oximetry
8 screening, but I will tell you that there will be
9 forms of 22q and congenital heart disease that will
10 not be picked. Interrupted aortic arch up is
11 actually not a form of cyanotic congenital heart
12 disease. And these babies may well be saturated and
13 not have a difference between upper and lower
14 extremities at the time they go home, until the
15 ductus closes as that date 9, day 10 of life.
16 Similarly, tetralogy of Fallot is a form of cyanotic
17 congenital heart disease and has a relatively high
18 incidence in the 22q syndrome. That may also not be
19 picked up by pulse oximetry for the time that the
20 ductus is open.

21 So even though pulse ox will pick up some
22 stuff. Many of deletions may not be picked up in

1 this syndrome.

2 DR. ROUTES: Again, there seems to be an
3 issue but the immunology. I was the lead author on
4 the JAMA paper for the newborn screening for SCID.
5 I was the one who raised the money and optimized the
6 assay, worked with the states and got things going.

7 I'm very familiar with the data from Wisconsin. We
8 have picked up babies with 22q that were not
9 diagnosed. It is not, as pointed out very nicely,
10 it is not a test that is suitable for picking up
11 22q. You will miss the vast majority. And in fact,
12 only those with "complete DiGeorge," which is
13 defined by almost no T cells, will be picked up by
14 the TREK assay.

15 All I do for a living when I see patients
16 is immune deficiency. So that's my livelihood.

17 The immune deficiency varies from very
18 severe to mild, but the TREK assay was never
19 designed to pick up 22q. It can never be designed
20 to do that.

21 And then one other thing about technology,
22 I think everyone would agree, and certainly our

1 experience in Wisconsin was, how amazingly sensitive
2 the assays -- the real-time assay was with a
3 positive predictive value of about 50 percent. I
4 mean, it is amazing. And in comparison to the other
5 test that we do for newborn screening, the real-time
6 assay has had an incredibly low incidence of false
7 positives.

8 In our first year when we screened 70,000
9 infants, only 17 went to flow cytometry. I mean,
10 imagine that. And out of those, 50 percent had T-
11 cell lymphopenia. This is the same technology. It
12 may not be exactly as good, but it will be pretty
13 close.

14 CHAIRMAN BOCCHINI: Okay.

15 DR. LOREY: I'm not disputing any of those
16 facts. I don't think anyone is trying to say that
17 was screening for DiGeorge -- but the point I was
18 trying to make was if you remove the immune
19 deficiency from the equation, then what is left --
20 newborn screening. And based on 700,000, we could
21 pool our data and we're probably at 1.5 million. If
22 anybody has missed any immune deficient DiGeorge,

1 it's -- that's all I'm trying to say. I'm not
2 trying to say to do any more than that, but you have
3 different comparisons, because it is a syndrome.

4 CHAIRMAN BOCCHINI: If there are no
5 further comments or questions -- yes, one more?

6 DR. BASSETT: I just want to reiterate the
7 point that the severe immune deficiency is a marked
8 minority of patients with the 22q deletion. In
9 fact, it's a minority that have serious congenital
10 cardiac defects. This does not mean that they don't
11 have an awful lot of morbidity. I have seen over
12 150 adults with this condition.

13 It also doesn't mean even if they are a
14 late diagnosis, they haven't had a slew of multiple,
15 treatable, some preventable associated conditions
16 that could have been better with screening and early
17 intervention.

18 The most important thing for parents and
19 patients of the neurocognitive deficits and some of
20 these can be ameliorated with early intervention,
21 including treatment for hypocalcemia that you cannot
22 pick up with any of the existing screens.

1 CHAIRMAN BOCCHINI: Thank you. We
2 certainly appreciate the points that were brought up
3 in this discussion. And I think many of them are
4 clearly very relevant and would be important parts
5 of an evidence-based review of this subject and of
6 the potential for addition of the nominated
7 condition.

8 I think they key in terms of adding SCIDs
9 and critical congenital heart disease to the
10 schedule to the recommendations recently was what
11 potential impact that either of those would have on
12 identification of patients with this condition.

13 I think the key thing is whether the test,
14 which clearly has very high performance metrics in
15 the situation that you have created, has been tested
16 in a population-based setting to determine outcome.

17 And I think that's the key issue that the
18 Nomination and Prioritization committee sort of
19 hangs on because that is a criteria for which we
20 must meet to go forward.

21 So I think those were the major issues
22 that came up to discuss, and I think Dieter did a

1 really nice job summarizing those for us.

2 So I think now it comes to the committee
3 for a decision. So the question is, do we have a
4 motion to accept the decision of the --

5 DR. COPELAND: So if someone moves for it,
6 I'm remembering now you have to move it and second
7 it before you can vote.

8 The vote is on whether or not to move 22q
9 deletion to the evidence review or not at this point
10 in time.

11 DR. MATERN: Can I make the motion to not
12 initiate the review at this point?

13 CHAIRMAN BOCCHINI: Do we have a second?

14 DR. LOREY: Second.

15 CHAIRMAN BOCCHINI: Thank you.

16 So it's been moved and seconded. Is there
17 any further discussion by the committee?

18 DR. MCDONOUGH: Is it possible to amend
19 the motion to encourage additional research on some
20 of the unanswered questions we have here about a
21 pilot study, a prospective study to screen a
22 population for this and to look at the benefits to

1 come up with early detection, addressing some of the
2 neuro calcium issues and the developmental issue.

3 I can just tell you, from my experience,
4 it would be really nice to pick up some of these
5 kids earlier.

6 So I move that, to clarify that language
7 to make it nicer, to make it a sentence, rather than
8 a rambling paragraph.

9 CHAIRMAN BOCCHINI: Is there a second to
10 that?

11 MS. WICKLUND: I second.

12 CHAIRMAN BOCCHINI: Is that acceptable to
13 the original motion?

14 DR. MATERN: Yes.

15 CHAIRMAN BOCCHINI: So we then have the
16 original motion modified by the request to initiate
17 pilot studies.

18 First we need to determine if there's
19 anybody who will abstain.

20 DR. HOMER: This is Charlie Homer. This
21 is not abstaining but the request for research, I'm
22 just trying to put that in the context of this

1 morning's conversation about what we can ask, who we
2 can ask to do what. Is that more for the internal
3 people on the committee who have access to data to
4 do it, or is it actually at the level of a formal
5 recommendation to go to somebody outside the
6 committee? I'm just trying to think of what the
7 level of that second modification is.

8 CHAIRMAN BOCCHINI: I think in this case,
9 it is going back to the individuals who nominated
10 the condition and that there is support from this
11 committee for that to occur, not that it's a formal
12 --

13 DR. COPELAND: Dr. McDonough, did you want
14 this to be a recommendation back to nominating, or
15 was this a recommendation to the Secretary?

16 DR. MCDONOUGH: It would be a
17 recommendation wherever it can assist the process to
18 get funding for the research. I guess a statement
19 of intent from the committee that this is an issue,
20 that we're not just dropping at this point because
21 it doesn't qualify, but hopefully we'll spur it to
22 come back and revisit it in a year.

1 But I'm new at this, so I don't know.

2 DR. COPELAND: So looking at those
3 wonderful tables that I sent you, that are not
4 completely clear, but if you look at the table for
5 projects, where would you say your nature of support
6 is?.

7 DR. MCDONOUGH: I would say number two.
8 It would go to the Secretary who has resources to do
9 research.

10 DR. COPELAND: So there are two that goes
11 to the Secretary. One includes an action; one
12 includes just an FYI.

13 DR. MCDONOUGH: It would be an FYI.

14 DR. HOMER: I object to that. I think
15 that's a second go-round to the purpose of the
16 committee. I have no problem with the suggestion,
17 but not to the Secretary. Perhaps we could just
18 issue a statement of the findings of the
19 subcommittee and make that recommendation.

20 DR. MATERN: I think the committee agrees
21 that this is an important condition, but I think
22 what is missing is that piece of a prospective

1 studies so that we have a better understanding of
2 identifying all the cases, of not identifying cases
3 that don't need to be identified as indifferent
4 conditions.

5 So we -- I guess a vote is, are we going
6 to initiate external review vote yet or not? So my
7 motion was to not do this yet, to suggest to the
8 proponents or anybody who wants to do it, to do a
9 prospective study. I don't know if there are any
10 other countries interested in doing this or are
11 doing this already. And then we can suggest to the
12 Secretary that she maybe comes up with a way of
13 funding it or opening a way to funding it to anyone
14 who is interested.

15 I don't think we want to suggest that the
16 Secretary has to find funding for this to go
17 forward. If the proponents or anybody else finds
18 ways to do this, they should go ahead and do it.

19 CHAIRMAN BOCCHINI: Alexis?

20 DR. THOMPSON: I'm wondering -- my sense
21 is there are probably levels of concern with the
22 original motion and -- would it be possible to vote

1 on them separately?

2 DR. HOMER: I would agree with that. My
3 only issue is that we're sending something to the
4 Secretary when we already decided to go with a full
5 review, let alone the Secretary. So I think a
6 separate motion that doesn't go to the Secretary or
7 -- I just don't want to confuse our main vote.

8 CHAIRMAN BOCCHINI: Is that reasonable?
9 Is the committee comfortable separating the two to
10 go forward --

11 DR. MCDONOUGH: I withdraw my motion then.

12 CHAIRMAN BOCCHINI: All right.

13 The motion withdrawn, and then we're back
14 to the original motion to accept the report of the
15 committee that this nominating condition does not go
16 forward to the evidence review committee at the
17 present time.

18 Yes?

19 DR. BOTKIN: Yes, I guess I would say, in
20 clarifying what the motion is, is the assumption
21 that this slide is the motion, so this
22 recommendation includes a prompt for additional

1 research on some very some fairly specific outcome
2 measures? So to a certain extent I think we're
3 picking up on Steve's concern here that the
4 committee wants to make a positive statement to say
5 that there's enough promise to the screening that
6 somebody ought to be collecting additional data, and
7 I think the whole recommendation does that in its
8 totality.

9 DR. COPELAND: So just to clarify the
10 process, if you vote not to move this forward, the
11 nominators would get a letter back outlining what
12 the committee voted on and what the recommendations
13 were and the suggestions will go, just to clarify.

14 CHAIRMAN BOCCHINI: All right with that
15 clarification then, any additional questions?

16 And then we are ready to vote.

17 No one will abstain, so we will call the
18 roll.

19 DR. COPELAND: Don Bailey?

20 DR. BAILEY: Are we always going in
21 alphabetical order?

22 [Laughter.]

1 DR. BAILEY: I'm changing my last name.

2 [Laughter.]

3 CHAIRMAN BOCCHINI: So a vote yes means a
4 vote to accept the recommendation.

5 DR. BAILEY: Yes, I tend to feel for
6 children of for families and to want to support
7 their proposal, because I think that there are
8 children who will clearly benefit from this, but I
9 do think that I am swayed by two things. One is
10 that it would not pass muster in our evidence-based
11 review process, so there is no point in sending it
12 to it now. Secondly, especially if we have added
13 this criteria of public health impact that these
14 larger studies, as are suggested here, is what is
15 needed to help determine the impact in a much
16 broader kind of way.

17 I do think it ultimately raises some
18 question for us as we go forward. How many -- for
19 every condition are we going to have to do large-
20 scale implementation studies? And that will be
21 something for us to discuss.

22 But that's a long-winded answer to say,

1 yes, I support this recommendation.

2 DR. COPELAND: Dr. Bocchini?

3 CHAIRMAN BOCCHINI: Yes.

4 DR. COPELAND: Dr. Botkin?

5 DR. BOTKIN: Yes.

6 DR. COPELAND: Dr. Homer?

7 DR. HOMER: Yes.

8 DR. COPELAND: Fred?

9 DR. LOREY: Yes.

10 DR. COPELAND: Dr. McDonough?

11 DR. MCDONOUGH: Yes. Can I ask a

12 question? If the groups are allowed to reapply

13 after a year or so?

14 DR. COPELAND: They don't even have to
15 wait for a year. We just outline suggestions and
16 when they feel they have met those criteria, that
17 they can get past that hurdle, then they can --

18 DR. MCDONOUGH: So, yes. I would like to
19 find some advice from legal counsel about how we can
20 send a message on that we would like to have more
21 research done in this area, if something could be
22 drafted and voted on.

1 DR. COPELAND: We can't vote on it at this
2 meeting. We only have scheduled votes. But maybe
3 next meeting.

4 Dr. Matern?

5 DR. MATERN: Yes.

6 DR. COPELAND: Dr. Thompson?

7 DR. THOMPSON: Yes.

8 DR. COPELAND: Ms. Wicklund?

9 MS. WICKLUND: Yes.

10 And I just want to echo what Don said. I
11 think you eloquently discussed the struggle.

12 DR. COPELAND: Andrea Williams?

13 MS. WILLIAMS: Yes, I feel the same way.

14 DR. COPELAND: Agency for Healthcare
15 Research and Quality?

16 DR. DOUGHERTY: Yes.

17 DR. COPELAND: Center for Disease Control
18 and Prevention?

19 DR. BOYLE: Yes

20 DR. COPELAND: Food and Drug
21 Administration?

22 DR. KELM: Yes.

1 DR. COPELAND: Health Resources Services
2 Administration?

3 DR. LU: Yes.

4 DR. COPELAND: Okay.

5 CHAIRMAN BOCCHINI: Thank you all very
6 much. I appreciate the efforts that you have made.
7 We have come a long way in the development of this
8 potential test for implementation. So thank you.

9 So with that, we're now ready for short
10 break and then the beginning of the subcommittee
11 meetings.

12 Sara, do you want to reiterate where
13 everybody is?

14 Okay, so let's reiterate where the
15 subcommittees are going to meet before we close the
16 session.

17 DR. COPELAND: So Laboratory Standards are
18 in Salon 1 and 2 down the hall to the right next to
19 the bathroom. Follow-Up Treatment stays here. And
20 Education and Training is next door in the Gallery 3
21 Ballroom.

22 CHAIRMAN BOCCHINI: And those who are

1 signed up for dinner, meet in the lobby at 6:15.

2 And then tomorrow morning, we began again
3 at 8:30 in the morning.

4 [Whereupon, at 2:55 p.m., the meeting was
5 adjourned.]

6

7

8

9

10

11

12

13

14

15

16

17

18