

1 SECRETARY'S ADVISORY COMMITTEE ON
2 HERITABLE DISORDERS IN NEWBORNS AND CHILDREN

3

4

5

6

7

8

9

Friday, January 27, 2012

10

Morning Session-Part 1

11

8:30 a.m.-11:00 a.m.

12

13

14

15

16

17

18

19

20

21

Park Hyatt Hotel

22

Washington, D.C.

Alderson Reporting Company
1-800-FOR-DEPO

1 APPEARANCES

2 COMMITTEE MEMBERS:

3 DON BAILEY, PhD, MED

4 JOSEPH A. BOCCHINI, JR., MD (Committee

5 Chairperson)

6 JEFFREY BOTKIN, MD, MPH

7 CHARLES HOMER, MD, MMPH

8 FRED LOREY, PhD

9 DIETRICH MATERN, MD

10 STEPHEN MCDONOUGH, MD

11 ALEXIS THOMPSON, MD

12 CATHERINE A.L. WICKLUND, MS, CGC

13 ANDREA M. WILLIAMS, BA

14

15 EX-OFFICIO MEMBERS:

16 COLEEN BOYLE, PhD, MS

17 DENISE DOUGHERTY, PhD

18 KELLIE B. KELM, PhD

19 MICHAEL LU, MD, MPH

20

21 DESIGNATED FEDERAL OFFICIAL:

22 SARA COPELAND, MD

1 APPEARANCES

2 (Continued)

3

4 ORGANIZATION REPRESENTATIVES:

5 NATASHA BONHOMME, BA

6 FREDERICK M. CHEN, MD, MPH, FAAFP

7 JANE GETCHELL, DrPH, MT (ASCP)

8 CAROL GREENE, M.D.

9 WILLIAM A. HOGGE, MD

10 CHRISTOPHER KUS, MD, MPH

11 BENNETT LAVENSTEIN, MD

12 JOE LEIGH SIMPSON, MD

13 BETH TARINI, MD, MS, FAAP

14 MICHAEL S. WATSON, PhD, FACMG

15 MARY J.H. WILLIS, MD, PhD

16

17

18

19

20

21

22

1

2

C O N T E N T S

3

AGENDA ITEM

PAGE

4

SUBCOMMITTEE REPORTS

5

Subcommittee on Laboratory Standards and

6

Procedures -- Fred Lorey, PhD

8

7

Subcommittee on Education and Training --

8

Don Bailey, PhD, MEd

16

9

Subcommittee on Follow-up and Treatment --

10

Coleen Boyle, PhD, MS

44

11

Hyperbilirubinemia: Final report from

12

Evidence Review Group -- James M. Perrin,

13

MD

65

14

Report: Improving Data Quality and Quality

15

Assurance in Newborn Screening by Including

16

the Bloodspot Screening Collection Device

17

Serial Number on Birth Certificates -- Brad

18

Therrell, PhD

140

19

Report: Implementing Point-of-Care Newborn

20

Screening -- Nancy Green, MD

202

21

Federal Plan of Action for CCHD - Update --

22

Coleen Boyle, PhD, MS

224

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

C O N T E N T S

(Continued)

AGENDA ITEM	PAGE
New Jersey - CCHD Implementation -- Lorraine Freed Garg, MD, MPH	230
Indiana - CCHD Implementation -- Bob Bowman, MS	248

1 P R O C E E D I N G S

2 CHAIRMAN BOCCHINI: All right. Thank you
3 all.

4 I want to welcome you to the second day
5 of the 26th meeting of the Secretary's Advisory
6 Committee on Heritable Disorders in Newborns and
7 Children. I think we had a good, productive day
8 yesterday, and we're going to start again this
9 morning.

10 So, first, we need to do a roll call.
11 Sara?

12 DR. COPELAND: Don Bailey?

13 DR. BAILEY: Here.

14 [Laughter.]

15 DR. COPELAND: Joe Bocchini?

16 CHAIRMAN BOCCHINI: Here.

17 DR. COPELAND: Jeff Botkin?

18 DR. BOTKIN: Here.

19 DR. COPELAND: Charlie Homer? Are you on
20 the phone?

21 [No response.]

22 DR. COPELAND: Okay. Fred Lorey? Fred?

1 Is anybody on the phone?

2 [No response.]

3 DR. COPELAND: Okay. We tried.

4 Steve McDonough?

5 DR. MCDONOUGH: Present.

6 DR. COPELAND: Dieter Matern?

7 DR. MATERN: Here.

8 DR. COPELAND: Alexis Thompson? Not yet.

9 Cathy Wicklund?

10 MS. WICKLUND: Here.

11 DR. COPELAND: Andrea Williams?

12 MS. WILLIAMS: Here.

13 DR. COPELAND: AHRQ?

14 DR. DOUGHERTY: Here.

15 DR. COPELAND: CDC? Coleen?

16 DR. BOYLE: Here.

17 DR. COPELAND: FDA?

18 DR. KELM: Here.

19 DR. COPELAND: HRSA? Not here yet.

20 And NIH?

21 DR. GUTTMACHER: Here.

22 DR. COPELAND: Okay. Great.

1 CHAIRMAN BOCCHINI: All right. Thank
2 you.

3 So you can see, we've modified the
4 configuration a bit. So Sara and I are here so
5 that Chris will stop throwing spitballs at us when
6 he wants to talk.

7 [Laughter.]

8 CHAIRMAN BOCCHINI: So I think we're in
9 good position.

10 We're going to start this morning with
11 subcommittee reports. And the first report is the
12 Subcommittee on Laboratory Standards and
13 Procedures, and Sara will give that report for
14 Fred.

15 DR. COPELAND: Fred did promise he would
16 be up at 5:30 in the morning his time, but
17 apparently not this morning, and he may be
18 anticipating his trip to Mexico.

19 Oh, yes. That's it. Thanks.

20 So we had very good discussion on the
21 second screen study. It's been 3 years in the
22 making. Dr. Shapira, from the Centers for Disease

1 Control and Prevention, presented preliminary data
2 from the retrospective study that they've done.

3 And some of the interesting findings that
4 they found is that there's a higher incidence of
5 congenital thyroidism in the two screen states,
6 that there tends to be a 2-to-1 female-to-male
7 preponderance in congenital hypothyroidism, and
8 birth weight/feeding method have shown some
9 difference on the thyroid incidence.

10 We need to figure something a little
11 better out for me, but that's okay.

12 For CAH -- so the purpose of the second
13 screen study was mostly to look at how they're
14 picking up thyroid and congenital adrenal
15 hyperplasia and what the differences are. And
16 again, in the congenital adrenal hyperplasia, in
17 the two screen states, the incidence of CAH is
18 higher, particularly for nonclassical. But for
19 salt wasting, which is the main purpose of
20 screening for CAH, there's about twice as many
21 cases picked up in the two screen states, which is
22 fairly interesting.

1 There was not much difference based on
2 gender, but significant difference based on weight.
3 More picked up in the normal birth weight range in
4 the two screen states, and there's no difference in
5 types of cases picked up on the first screen
6 between groups.

7 And as noted previously, the simple
8 virilizers and nonclassics contributed to the
9 higher incidence in the two screen states, and they
10 were picked up more on the second screen. Also of
11 interest is that there's a higher proportion of
12 Hispanics picked up on the second screen. There's
13 an "n" missing.

14 Just in kind of summary, they're still
15 cleaning that data. They're going to do some
16 modeling of the cases and try to evaluate the
17 clinical significance of those detected on the
18 second screen.

19 There's quite a bit of limitations. This
20 is a retrospective study. There's limits due to
21 lack of long-term follow-up information available
22 to them, screening algorithms, and as with any

1 retrospective data, there is missing data. But
2 he's going to follow up with another presentation
3 at the Labs Subcommittee in May and then,
4 hopefully, a final report to the whole committee in
5 September.

6 And then we had our standing item, the
7 National Library of Medicine talking to us about
8 LOINC codes and standardization, and Swapna
9 Abhyankar presented to us on the work they're doing
10 with cystic fibrosis and mutation reporting.
11 They're working to standardize the lists and the
12 ordering of the lists. They have 116 LOINC codes,
13 which is 116 mutations that they have developed,
14 and they're using cDNA, protein, or traditional
15 name in a searchable database.

16 Reports will need to be very clear for
17 reporting purposes since reporting out molecular
18 diagnostic results is always problematic.

19 And then we talked about hemoglobinopathy
20 reporting. They're developing codes in conjunction
21 with many of the newborn screening programs, and
22 they're trying to accommodate for those that

1 confirm the diagnosis at the newborn screening lab,
2 as well as those that just do the screen itself.

3 And they're using the CLSI guidelines to
4 develop results reporting terminology, as well as
5 looking at reasons for lab tests. So they're just
6 to develop a really robust dataset so that when
7 people are ready to do HIE and reporting that
8 they'll be able to just plug in already developed
9 standardized codes.

10 And that is it. The one talk was a nice,
11 long, good, robust discussion.

12 CHAIRMAN BOCCHINI: Okay. Thank you.

13 Questions or comments?

14 DR. BOYLE: I have a quick question. For
15 the CH and CAH, you said it's higher. I'm just
16 wondering higher than what? Higher than --

17 DR. COPELAND: The incidence in the two
18 screen states --

19 DR. BOYLE: Yes.

20 DR. COPELAND: -- is higher than the
21 incidence in the comparative group, which was a one
22 screen state.

1 DR. BOYLE: Okay.

2 DR. EATON: Are you taking comments from
3 other people?

4 CHAIRMAN BOCCHINI: Yes, certainly. I
5 think, since we're done with those others, we have
6 a microphone that we could hand --

7 DR. LOREY: (on telephone) Fred Lorey.
8 I'm here.

9 CHAIRMAN BOCCHINI: Okay. Go ahead.
10 Your name, please?

11 DR. EATON: Roger Eaton, UMass Medical
12 School.

13 I think there was one bullet that was
14 incorrect, and it was an important one that I don't
15 think the implication should stand. Other people
16 who were at that meeting can chime in.

17 You said that there were two times the
18 number of salt wasting cases in the two screen
19 states. I don't -- Dieter, do you remember? I
20 don't think that that was part of that data.

21 DR. COPELAND: Maybe I may have misstated
22 it, but there was a higher incidence. Whether or

1 not it's two times.

2 DR. EATON: It was mostly in the less
3 important -- I mean, the simple virilizers.

4 DR. COPELAND: It was -- yes, the vast
5 majority, the vast difference was in the simple
6 virilizers and the nonclassic. But there was a
7 higher incidence of salt wasters that were detected
8 in the two screen states than in the one screen
9 states.

10 DR. THERRELL: This is Brad Therrell from
11 Texas.

12 I think that there are some salt wasters
13 picked up in the two screen states on the second
14 screen, not so many. Most of those things picked
15 up on the second screen were simple virilizers,
16 which are also classical cases that need to be
17 treated, and then the nonclassicals, which are not
18 being picked up on the first screen and wouldn't be
19 expected to pick up on the first screen.

20 DR. COPELAND: Thank you for clarifying.

21 CHAIRMAN BOCCHINI: Additional comments?

22 DR. LOREY: Could you please speak a

1 little louder or closer to the mic, please?

2 DR. COPELAND: I will.

3 Did you have any other comments, Fred?

4 It is your subcommittee.

5 DR. LOREY: Say that again.

6 DR. COPELAND: Did you have any other
7 comments?

8 DR. LOREY: No.

9 DR. COPELAND: Okay.

10 DR. LOREY: Oh, you're asking me? No.

11 CHAIRMAN BOCCHINI: Yes, go ahead.

12 DR. TANKSLEY: Hi. I'm Susan Tanksley.
13 I'm from Texas.

14 And I wrote down the numbers. This is
15 what I wrote down. So, in one screen state, salt
16 wasting, the incident was 1 in 43,500. In two
17 screen states, it was 1 in 20,800 -- for salt
18 wasters.

19 That's what I wrote down.

20 DR. COPELAND: Okay. That's more than I
21 did.

22 CHAIRMAN BOCCHINI: All right. We can

1 look at the exact data and clarify all that. So we
2 can fix that.

3 Thank you for the comments.

4 Let's move to the second subcommittee
5 report, the Subcommittee on Education and Training.
6 Don Bailey will give that report.

7 DR. BAILEY: Okay. Good morning.

8 So, just to remind you of the charge for
9 our subcommittee, it's to review -- it's a broad
10 one: Review existing educational and training
11 resources, identify gaps, and make recommendations
12 regarding five groups. We did a sophisticated
13 statistical analysis and grouped these five groups
14 into two clumps, parents and the public, and then
15 health professionals.

16 So, currently, we have 19 subcommittee
17 members -- six from the advisory committee, another
18 eight from organizational representatives to the
19 advisory committee, and then five more from what we
20 call consultant members. And I'll come back to
21 this in a minute, because we have a large committee
22 already, and we have a lot more people that would

1 like to be involved.

2 The goals for our meeting yesterday were
3 to review a variety of things that are going on in
4 the education and training world, to look at our
5 charter briefly and discuss possible linkages with
6 other committees or other subcommittee, and to
7 begin some discussion about future education and
8 training needs, both for parents and the public and
9 for health professionals.

10 So in terms of major current activities
11 for parents and the public, we talked about the
12 Newborn Screening Awareness Campaign, the 2013
13 newborn screening 50-year celebration the CDC is
14 organizing with APHL, the Newborn Screening
15 Clearinghouse, and brief updates in a number of
16 other initiatives.

17 We also had updates from the Genetics in
18 Primary Care Initiative, the family history for
19 prenatal providers, brief reports from professional
20 organizations. And I'll give a little bit more
21 detail about each one of these.

22 So the Newborn Screening Awareness

1 Campaign, this is something that HRSA has been
2 leading, and it came out of a recommendation from
3 our committee a few months ago. So I think you
4 remember from our last meeting Porter Novelli
5 reported the results of Phase I media scan, talking
6 about what's out there in terms of if a typical
7 parent went to look for something about newborn
8 screening, what would they see? What would they
9 find? Where would they go to get it?

10 So the next step is to convene what we're
11 calling a "strategy session" to determine what
12 would actually be the goals, objectives, audiences,
13 and approach to a media awareness campaign. So a
14 steering committee was formed a couple of months
15 ago to nominate attendees for this strategy
16 session. We're looking at a 1 1/2-day meeting
17 sometime in late March or early April.

18 A report will come from that meeting. It
19 will be discussed probably on the telephone and
20 then in our Education and Training Committee
21 meeting on the first day of the May advisory
22 committee meeting. And then we'll have a report on

1 the second day.

2 So this will basically be what are we
3 trying -- what problem are we trying to solve
4 through this campaign? What would be the key
5 messages?

6 So then we had a report from Carla
7 Cuthbert from CDC about activities related to the
8 upcoming 50th anniversary. I don't know how many
9 of you are aware of this, but next year, 2013, will
10 be I guess the 50th anniversary that states began
11 screening for PKU and -- or at least some states
12 did.

13 So it's been determined that this would
14 be a good opportunity to highlight newborn
15 screening nationally. So the goal is to create a
16 public that's informed about newborn screening.
17 CDC is going to -- is leading the planning of this,
18 but APHL will take a major lead in actually doing
19 the implementation of these activities.

20 There are quite a few activities that are
21 being planned over the next 18 months, from media
22 campaigns to webinars and a variety of other

1 products that will be put together. It's very
2 exciting.

3 And this will culminate in a 50th
4 anniversary celebration in 2013. This will be a
5 joint meeting between APHL and the International
6 Society for Newborn Screening. That meeting will
7 be in Atlanta. I know the dates are specified, but
8 I can't remember. I didn't have them written down.

9 But that should be a very important and
10 exciting event. So I hope everyone here will plan
11 to be there.

12 We also had -- Natasha gave us an update
13 from Genetic Alliance. As you remember, last year
14 there were some Challenge Awards that were given,
15 and there was another competition this year for
16 Challenge Awards. And so, they received more than
17 double the number of applications that they got
18 last year, indicating interest from a variety of
19 different constituencies about products and
20 materials that could be developed.

21 They received very interesting
22 applications from a diverse array of groups. We

1 couldn't find out who the awardees are yet because
2 the final contracts haven't quite been made, but
3 the formal announcement of these will be made in
4 February.

5 Natasha, I would assume you'll make sure
6 that gets out to the Secretary's committee at that
7 time.

8 Natasha also reported on the Consumer
9 Task Force that Genetic Alliance is organizing and
10 gave us an update on the Web site that they're
11 developing called Baby's First Test.

12 So just some musings, thoughts, or
13 reflections about next steps from the committee
14 with parents and the public. So, first, this is
15 really a pretty huge audience that we are dealing
16 with here. So if you think about parents and the
17 public and professionals, there's not many people
18 left.

19 So we really need to be careful about how
20 we're -- be strategic about what our activities
21 are. And so, one of our goals over the next few
22 months is to say are there other important, big-

1 picture strategic initiatives that we need to be
2 undertaking?

3 Going along with that is the need for
4 multiple input from these diverse constituencies
5 and, again, our deliberations. So we already have
6 19 committee members. We feel like we need to add
7 at least one new committee member, representing the
8 parent and public communities. I'll come back to
9 this at the end of the presentation because we also
10 feel like we need more professional input, and that
11 raises some questions about how we function as a
12 subcommittee.

13 We applaud the collaboration to date. At
14 first it seemed to us that the HRSA awareness
15 campaign and the CDC campaign were trying to
16 accomplish the same thing, and we didn't understand
17 really the differences between the two. But as we
18 got further into the discussion, both in the
19 meetings and after the meeting, it was quite clear
20 that there is quite a bit of collaboration between
21 the two organizations.

22 And so, we applaud that collaboration,

1 and then we just urge continued integration of
2 activities to minimize the redundancies; of course,
3 to harmonize messages, making sure we're all on the
4 same page; and to maximize our resources.

5 So there are two major questions about
6 the awareness campaign that I think we continue to
7 need to ask, and the first one is what problem is
8 it that we're trying to solve? We had some
9 discussion about are we really trying to solve the
10 problem of the public not being that aware of
11 newborn screening, or is there another problem
12 regarding the issues around dried blood spot
13 storage and use? Is that the real problem that
14 we're trying to solve?

15 Those are two very different kinds of
16 things, and really, the primary goal, I think, is
17 public awareness about newborn screening as an
18 enterprise. But clearly, we can't ignore the dried
19 blood spot issue in this campaign. We'll have to
20 be very careful about how we approach it so that it
21 actually doesn't undermine public perceptions,
22 which are in general very positive for people who

1 know about newborn screening.

2 And then I think a second thing we're
3 curious about, and this will be a long-term
4 concern, is how can we move awareness away from a
5 single campaign to something that's a more enduring
6 institutional activity?

7 Awareness, we might have a great campaign
8 over the next year, but people will keep having
9 babies after that, and we need to make sure that
10 everyone -- that we sustain whatever momentum we
11 can get from this. And how can we institutionalize
12 this in day-to-day practice?

13 We also talked a little bit about a topic
14 that we mentioned last time, which is -- and we
15 think this probably falls both under our committee,
16 as well as maybe the Follow-Up Committee and the
17 Laboratory Committee, and that is how can we help
18 advocacy groups maximize their efforts in bringing
19 their favorite condition to us for review?

20 Certainly, we have information on the
21 website about our processes, but we're thinking
22 that maybe a more advocate group-friendly set of

1 materials so that people will know when foundations
2 are investing money or trying to push things with
3 their legislature, that they will know very clearly
4 the processes we go through and the information
5 that they need to bring to us before we can move a
6 recommendation forward.

7 So, in terms of health professionals,
8 Beth Tarini, who has also agreed to be co-chair of
9 the committee -- thank you very much, Beth --
10 reported on the Genetics in Primary Care
11 Initiative. I think, was there a whole committee
12 report on this last time, or was that just in the -
13 - it was in our subcommittee? It was in our
14 subcommittee?

15 Just in our subcommittee. Okay.

16 So this is -- for everyone's information
17 then, this is a joint effort funded by HRSA and
18 Maternal and Child Health -- it's including --
19 well, these are all together, but HRSA and Maternal
20 and Child Health Bureau. It's a 3-year award.
21 It's a cooperative agreement to the American
22 Academy of Pediatrics. Beth and Bob Saul are the

1 co-PIs, and there's an advisory committee comprised
2 of representatives from a variety of different
3 important organizations.

4 So the key here is to -- the vision is to
5 increase primary care provider knowledge and skills
6 in provider genetic-based services. So these three
7 broad goals: mobilize a community of learners,
8 implement a strategy to address systems and policy,
9 and then to think about how to embed this
10 information into residency training.

11 So the Goal 1 is a quality improvement
12 project. A subcommittee of the advisory committee
13 has been established to develop what they're
14 calling change packet, a series of key topics that
15 they feel like everyone should know about. They're
16 utilizing a quality improvement network through the
17 AAP to test implementation of this change packet
18 through a modified learning collaborative.

19 They also have a technical assistance and
20 education center. A core piece of this will be a
21 website that will have key pieces of information
22 about genetics that primary care providers need to

1 be aware of, as well as a series of ongoing
2 educational activities.

3 Then, finally, a residency training, and
4 so a major goal of the core group is to identify --
5 is to assess current residency training curricula
6 regarding genetics and develop activities,
7 objectives of curricula that could supplement
8 existing accreditation activities from across a
9 variety of different specialties and primary care
10 providers.

11 So we also had a report from NCHPEG on
12 the family history for prenatal providers. So the
13 goal here of this activity is to develop and
14 evaluate a family history and genetic screening
15 tool for primary care prenatal providers. This
16 tool will help primary care providers collect
17 patient personal and family history data, perform
18 an assessment for the clinician, and then give
19 clinicians a tool for making decisions about how to
20 support families and patients in future decisions.

21 So how this works is there in the waiting
22 room or in the exam room, there's actually a tablet

1 that includes family history questions that the
2 patient will complete I guess while they're waiting
3 for their appointment. The clinician then prints
4 and reviews this report and then discusses. It
5 helps give the clinician information about topics
6 to discuss with the patient and some guide in
7 decision making. And also targeted educational
8 materials that are provided in association with
9 that.

10 We're very pleased to see because this is
11 something as a committee we're very interested in,
12 is constantly looking at evaluation activities. So
13 not only do we want to do evidence-based reviews of
14 the conditions, but we also want to make sure that
15 the activities that we're doing for education and
16 training have a database behind them.

17 So we're pleased to see the evaluation
18 questions that are being asked as a part of this
19 project, and you can see the range of those. I
20 won't go through with them. But they range from
21 satisfaction to improving provider knowledge and
22 improve adherence to guidelines for screening.

1 So here are just some reflections and
2 thoughts about next steps with health
3 professionals. It's clear to us that there are
4 several great and important activities underway,
5 and we're pleased to see that all of them have an
6 evaluation component, and we want to encourage the
7 continuation of that.

8 I think Freddie brought up a point
9 yesterday that we can think a lot about the core
10 competencies for residents, for residency training,
11 for example. But the key is going to be the
12 faculty who implement that. And so, they need to
13 be a target audience for how we're preparing or
14 making any changes in those areas.

15 And we do feel like, and I think we
16 mentioned this last time, that both the
17 subcommittee and the Secretary's Advisory Committee
18 would benefit from input from the nursing
19 community. So we talked about should we go
20 straight to have a nursing representative on our
21 subcommittee, or should we wait and have the
22 advisory committee have a nursing liaison, who

1 would then be appointed to our subcommittee?

2 And we can go either way, but we think
3 the latter strategy would be better. And so, we're
4 hoping that the advisory committee will consider
5 appointing a nursing liaison, and then that person
6 would serve on our committee.

7 And then, finally, just some broader
8 thoughts about the subcommittee as a whole. So
9 actually, yesterday and today, and before the
10 meeting, several people have contacted me about
11 serving on a subcommittee, which is unusual, I
12 think, for subcommittees. Sometimes people don't
13 want to do that.

14 But I think this points to the importance
15 of this topic, of these topics and the diverse
16 audiences that are very much interested in how we
17 get the word out and how we change practice.

18 So, clearly, the breadth of our charge
19 means that there are many different stakeholders
20 and people who do want to make a difference. And
21 we would benefit from multiple perspectives. So,
22 but there's a tension between wanting to get a lot

1 of input and also we've got 19 members already. If
2 we want to add at least one more consumer
3 perspective and one more professional perspective,
4 that puts it to 21. At what point do we get to be
5 a group that's too large to function efficiently?

6 So I think, as a subcommittee, we need to
7 be thinking about how we address this issue. We
8 need to think about whether we should have a sub-
9 subcommittee structure, maybe two or three
10 subcommittees within our subcommittee. Some kind
11 of other liaison arrangement. I don't know. I
12 don't want to create too much of a bureaucracy, but
13 we need to figure out how to manage all this
14 because this is very important.

15 We also need to figure out ways to
16 promote cross-subcommittee communication. Joe and
17 other subcommittee chairs and I have discussed
18 this. Certainly there are education issues that
19 I'm sure that Follow-Up and the Training Committee
20 -- I mean Follow-Up and Treatment Committee need to
21 be addressing. And so, I think we would benefit
22 from some cross-subcommittee discussions.

1 Joe Leigh Simpson and others brought up
2 this question about how much are trying to react to
3 solve problems that are already facing us right now
4 vs. maybe paying attention to things that are on
5 either the near or slightly far horizon, like
6 whole-exome or whole-genome sequencing and how that
7 might impact providers or patients and families and
8 the public and public awareness of what that might
9 mean. So when do we start thinking about that,
10 either as a subcommittee or as an entire committee?

11 And then, finally, I don't know if
12 "products" is the right word, but certainly the
13 results of things like the Genetics in Primary Care
14 Initiative or the NCHPEG activities or even the
15 Baby's First Test website or other things that a
16 variety of people are doing to promote education
17 and training. And I think we talked a little bit
18 about this in your four levels of things that our
19 committee -- that the broader committee should be
20 thinking about.

21 Are there points in time where we would
22 want to endorse or encourage or somehow say the

1 advisory committee has reviewed this particular
2 product and put our "good housekeeping" stamp of
3 approval on it? I think there is some appeal to
4 that.

5 On the other hand, there are many
6 different groups out there now developing
7 materials, and we could get bogged down in
8 reviewing each one of them. And I don't think we
9 want to do that either. So I think we'll have to
10 think about that in terms of our committee role
11 going forward.

12 So that's the end of my report. Let me
13 just ask if any of the other subcommittee members
14 had any recollections of things that happened
15 yesterday that I didn't recall.

16 Steve?

17 DR. MCDONOUGH: One addition. As far as
18 the 50-year campaign, I think there is planned to
19 be an event in D.C. in the fall of --

20 DR. BAILEY: Right.

21 DR. MCDONOUGH: -- September, October of
22 2013, which could be really exciting. And also to

1 tie this in somewhat to the authorization, which
2 will be also that year as well.

3 DR. BAILEY: Thank you.

4 CHAIRMAN BOCCHINI: All right. Other
5 questions, comments?

6 DR. DOUGHERTY: I'm just thinking, trying
7 to think ahead. I didn't notice on the cooperative
8 agreement with the APA -- I may have missed it --
9 is there a relationship with the American Board of
10 Pediatrics Foundation in that?

11 DR. BAILEY: Beth?

12 DR. TARINI: I don't believe they are
13 formally represented on the project advisory
14 committee, but they are part of who we reach out
15 to.

16 DR. DOUGHERTY: Okay. Just one thought -
17 -

18 DR. TARINI: I can take your concern back
19 to the committee.

20 DR. DOUGHERTY: Well, I mean, just one
21 thought. The foundation, the American Board of
22 Pediatrics Foundation or the American Board of

1 Pediatrics has the maintenance of certification and
2 is encouraging pediatricians to do a lot of quality
3 improvement and measure their activities.

4 And one thing you might think about doing
5 is having a project where the goal is for the
6 primary care physician during the first visit to
7 actually talk about the newborn screening results,
8 and then track to see how that goes. And you could
9 learn something about how that could most
10 fruitfully be done.

11 DR. TARINI: That's actually a good
12 point, and I'll bring that back. Because that
13 links -- there was the last large project from
14 QuIIN, quality improvement, was about newborn
15 screening results and reporting, doing a change
16 packet, which is the QI terminology for the
17 project, and specifically focusing on communicating
18 normal results to parents.

19 So it would be a nice link. That's an
20 excellent point. Thank you.

21 DR. HINTON: Hi. Cindy Hinton from the
22 CDC.

1 And actually, CDC has just funded or
2 finished funding AAP to develop an EQIPP training
3 module on newborn screening. It is in beta
4 testing, I believe, going through review. It
5 builds off of the QuIIN project, which brought in
6 15 practices to develop quality improvement
7 protocols. Using the ACT Sheets actually was a
8 primary goal, but what we really ended up focusing
9 on was closing that loop for all newborn screening
10 results, both in range, out of range.

11 The EQIPP module builds on that and
12 really expands it. They also address hearing
13 screening. And so, now, as part of the Part 4 MOC,
14 pediatricians can sign up, take -- or will I think
15 starting sometime this year, take the EQIPP module,
16 get the certification. And they're really learning
17 how to put in place practice protocols to make sure
18 that every newborn coming into their practice has
19 been screened, that they have discussed every
20 result with the families and really build that
21 network of support and connections they need to
22 meet the needs of that newborn and their families.

1 So I think it will be a really great
2 addition to all of this.

3 DR. TARINI: And as someone who's
4 recently taken an MOC in the last 30 days, I think
5 that the committee can do wonders to increase
6 awareness of this module for the primary care
7 pediatricians. So I'll definitely work on this. I
8 appreciate that.

9 DR. BOTKIN: I asked the question about
10 whether we can get access to that data to take a
11 look at it.

12 DR. BOYLE: Yes, just a quick comment on
13 the HRSA awareness campaign, or whatever it's going
14 to be. So when this was originally discussed at
15 the committee a couple years ago, the thought was -
16 - and maybe those of you who have been here along
17 with me -- was to really try to focus on some
18 desensitizing the issue of newborn screening so
19 that families expect it and want it, and it's like
20 considered an essential benefit.

21 And if they don't get it, they're
22 worried. "Why didn't I get this kind of thing?" I

1 mean, obviously, they will get it, regardless.
2 But, so I don't know if it's taken -- where it is
3 right now. I know that right now it hasn't really
4 gone anywhere? No? Okay.

5 DR. BAILEY: I think the thing is
6 consistent with just what you said, yes.

7 DR. LOREY: This is Fred. I'm not sure
8 if this -- I know in the beginning, you briefly
9 mentioned the dried blood spot storage thing. And
10 one of the things that we're being faced with now
11 is the -- I believe it comes from NIH, this whole
12 GWAS and dbGaP issue, and we had a meeting,
13 actually. And we're not going to participate in
14 the studies.

15 There are other grants and research, et
16 cetera, but in the midst of all of this criticism
17 we're getting about the Government -- and it's
18 giving it to the Federal Government and this, that,
19 and the other thing, we've been saying -- one of
20 the things we've been saying is we're not
21 extracting these DNA -- and then the DNA we
22 extracted is destroyed at the end of the test.

1 But what GWAS wants any researcher to do
2 is if you're providing genetic data and they have
3 sequencing data, you're supposed to upload this --
4 which means we lose complete control of what people
5 are doing with it. And we've made a decision now
6 that we're not going to allow that.

7 And I think that's going to cause a
8 problem for NIH, and I'm just curious if other
9 people like from Michigan, who have encountered
10 this -- we just had our third study commissioned
11 with this. Is that like a whole other issue or
12 what?

13 CHAIRMAN BOCCHINI: Alan, did you want to
14 say something?

15 DR. GUTTMACHER: Sure. This is Alan
16 Guttmacher from NIH.

17 It depends certainly what funding pot one
18 is getting money from. There are certainly some
19 studies funded by NIH, and there are multiple
20 different mechanisms by which genome-wide
21 association studies and other related studies are
22 funded. And some of those clearly do require data

1 that position in dbGaP with the idea that it goes
2 along with a larger NIH principle, which is getting
3 more comments. I mean, not pervasive at NIH, but
4 it's becoming more so. And that is that data does
5 not belong to the PI. It needs to be shared with
6 the research community so that work can advance
7 most expeditiously to benefit the public.

8 At the same time, clearly, there is a
9 large amount of recognition that issues of privacy,
10 confidentiality of participants, those cannot be
11 compromised. So it depends very much upon the
12 individual situation, the funding source, and other
13 kinds of things what requirements are there. But
14 regardless of what the requirements are, the
15 expectation is that whether it be through the
16 safeguards that are put on the use of dbGaP,
17 because it's not just sort of freely available to
18 anyone.

19 In fact, researchers need to be qualified
20 to access it, et cetera, et cetera, that this issue
21 continues to be looked at. I think there has been
22 concern that in some situations, and what we're

1 talking about is not one of those, that some PIs
2 have hidden behind the sort of curtain of patient
3 confidentiality and privacy when their real
4 interest was not about that. It was about PA solo
5 use.

6 So that there really are these three
7 different I think competing at times all goods, and
8 one of them is the principle of privacy and
9 confidentiality. The second is absolute
10 recognition of the role of the PI and co-
11 investigators, et cetera, in a project who really
12 have put time, intellectual effort, et cetera, and
13 need to be recognized in various ways for that.
14 And at the same time, the idea that research funded
15 especially by the Federal Governments belongs to
16 the public.

17 So that we need to try to balance all
18 three of these, and I think you're right that with
19 more of this happening, there's clearly a lot of
20 sensitivity about the issue of genetic information
21 being made available to anyone. And we're still
22 trying to figure out all of the balances in this.

1 I hope that's helpful.

2 CHAIRMAN BOCCHINI: Thank you.

3 Additional questions, comments? Chris?

4 DR. KUS: Yes, relative to the Genetics
5 in Primary Care Quality Improvement, I'm involved
6 with a HRSA/MCHB-funded grant that was given to
7 Albert Einstein College of Medicine that's called
8 the Bronx Ongoing Pediatric Screening Program in
9 the Medical Home, affectionately known as BOPS in
10 the Medical Home, where they're supposed to look at
11 three and four different domains.

12 One of their domains of screening is
13 newborn screening, and this project, we've been
14 working on this for the last year and with outcomes
15 like making sure that the results are in the chart.
16 And then once the results are in the chart, that
17 they're discussed with the family, and the group is
18 going to be presenting at the February AMCHP
19 meeting, the Association of Maternal and Child
20 Health Programs.

21 And they've done some nice stuff because
22 particularly it's linked to the electronic records.

1 So they're able to produce what they call smart
2 reports for practices to see how they're doing as
3 they're doing this improvement project.

4 CHAIRMAN BOCCHINI: So I think with these
5 kinds of projects, it's going to really be up to
6 the committee, the subcommittee whether you want to
7 start looking at those, and those would be things
8 that potentially could overwhelm the subcommittee.

9 DR. BAILEY: Yes. We're already
10 overwhelmed.

11 CHAIRMAN BOCCHINI: So I think -- but
12 those are obviously important parts of education of
13 professionals and very essential to getting things
14 into the office with individual patients. So, very
15 important.

16 Other questions or comments? Okay.

17 DR. BAILEY: I just want to thank the
18 members of the subcommittee. There is tremendous,
19 enthusiastic participation, and I'm looking forward
20 to working with you.

21 Thank you.

22 DR. COPELAND: Oh, I would like to

1 comment on the nursing liaison. Once we develop a
2 process for the nomination for the organizational
3 reps, I think that we'll see how that goes. But I
4 don't want to do anything in the meantime, if we're
5 going to try and establish processes, to circumvent
6 that.

7 CHAIRMAN BOCCHINI: Thank you for a very
8 thorough, complete report.

9 DR. BAILEY: Thanks.

10 CHAIRMAN BOCCHINI: Next is the
11 Subcommittee on Follow-Up and Treatment, and Coleen
12 Boyle will make that presentation.

13 DR. BOYLE: Well, thank you, and good
14 morning, everyone.

15 It's my pleasure to report back to you
16 all on the excellent work of the Follow-Up and
17 Treatment Subcommittee and acknowledge my committee
18 members, as well as those -- I think we have a very
19 robust and dedicated group. Many of you have been
20 with us for many years and working on this
21 subcommittee.

22 I do want to also point out, in addition

1 to what I'm going to report today, this morning,
2 that this afternoon we're going to hear several
3 presentations that are really products from the
4 subcommittee, including the presentation by Brad
5 Therrell on the vital records, newborn blood spots
6 linkage.

7 Nancy Green is going to be talking about
8 our white paper on point of care newborn screening.
9 That really was -- the CCHD was really the impetus
10 behind our thinking about sort of this evolving
11 paradigm of the newborn screening in the context of
12 all the different kinds of conditions that are
13 being proposed for the recommended uniform panel.

14 And then we're also going to hear some
15 additional presentations on the implementation
16 around critical congenital heart disease that will
17 complement what we heard in our subcommittee
18 yesterday afternoon.

19 So our committee really has focused over
20 the years on we've called it follow-up, but it
21 really is newborn screening implementation beyond
22 short-term follow-up. We've done a number of white

1 papers in regard to trying to define what follow-up
2 is, trying to provide guidance to the field in that
3 regard.

4 And one of the issues that I know you
5 know that we have been working on in that context
6 is this issue of making sure that children who are
7 identified through newborn screening are provided
8 the appropriate services and that those services
9 are equitably distributed.

10 So, within that context, medical foods
11 has been an issue that we have been putting
12 considerable energy towards in the subcommittee.
13 So we did hear a couple of very targeted
14 presentations yesterday, one by Kathy Camp on NIH-
15 related activities. There was a workshop. I
16 didn't put the date on that. But there was a
17 workshop in December, which was really trying to
18 focus on identifying gaps in the safety and
19 efficacy in regard to inborn errors of metabolism.
20 It really was a stakeholders' workshop.

21 And then, following that -- and I don't
22 know if Cathy is in the room?

1 Cathy, if you are, just raise your hand.

2 She's not.

3 I know she provided information to us on
4 a meeting that NIH is also conducting next month,
5 which is essentially to update the NIH consensus
6 statement around PKU.

7 Many of you are familiar with that. I
8 know, I think Rod actually chaired that consensus
9 conference many years ago. And so, that's an
10 update. Clearly an important and needed activity,
11 and Cathy did provide for us some background
12 information and a website link, for those of you
13 who are not aware of that.

14 So that was just some very concrete
15 activities that NIH is embarking on around the
16 issues of medical foods, the continuing science
17 associated with that.

18 Another big bundle of activities, and
19 Christine Brown presented on that regard, and that
20 is this issue of medical foods. And reimbursement
21 has been an issue that the committee has brought to
22 the attention of the Secretary numerous times. I

1 think we put forward four different letters in that
2 regard.

3 So now with -- we heard yesterday in the
4 context of the Affordable Care Act and the
5 essential benefit package, there is concern that
6 medical foods may not be incorporated at the state
7 level in the context of what states end up
8 adopting. So Christine gave us a very nice update,
9 for those of us who weren't intimately familiar
10 with this package.

11 Many of you know that HHS held regional
12 listening sessions, and Christine let us know that
13 medical foods were discussed at each one of those
14 listening sessions. And HHS issued a pre-bulletin
15 around the essential benefit package, which
16 includes these 10 essential services. But the
17 bottom line is there's really going to be
18 flexibility for the states to choose among four
19 options, and the decision really rests with the
20 states.

21 And Christine's summary was essentially
22 that states that currently have coverage will most

1 likely continue to have coverage. Those that don't
2 probably won't. So it's sort of a -- her analysis
3 was a sort of full circle, kind of back where we
4 are.

5 So we did discuss what the advisory
6 committee could do to try to understand and
7 continue to monitor this complex issue. And so, we
8 will, as an advisory committee -- subcommittee,
9 excuse me -- continue to get information about how
10 this rolls out and try to inform the process.
11 Because I think that's really what we can do is
12 really education and information.

13 So, in terms of education and
14 information, that really goes to the next bullet
15 here, and our subcommittee, in collaboration with
16 the regional centers, worked together to conduct an
17 evaluation of insurance coverage, using the
18 regional centers as an opportunity to do a survey.
19 And Sue Berry and others in the room, Ronnie Singh
20 and -- help me out with names, guys.

21 Yes, Kathy Harris. Thank you, Kathy.
22 From those three regions were engaged in that

1 study.

2 The analysis of that study is complete.
3 A manuscript has been drafted, and Sue Berry will
4 tell you that has been through at least 40
5 different reviews, or more. But she has had great
6 patience and a wonderful sense of humor through the
7 whole thing and quite the dedication, as has
8 everyone else that's been engaged in that.

9 So it really is a descriptive study, in
10 my regard, in terms of the use of medical foods
11 within the context of families receiving services
12 and tries to identify the limits of insurance
13 coverage. So I think that at some point we will
14 bring this back to the committee for I don't know
15 which one of those four categories that you
16 outlined yesterday this might be appropriate for,
17 but that is for further discussion.

18 So, again, medical foods is kind of
19 illustrative for us in terms of some of the
20 complexities around the implementation and the
21 follow-up for children identified through newborn
22 screening.

1 I mentioned that we had a presentation by
2 Dr. -- that's actually Dr. Badawi from Maryland.
3 I'm probably not pronouncing her name correctly.
4 But this was really, I thought, an enlightening
5 presentation on the complexities of clinical
6 congenital heart disease implementation at the
7 state level.

8 So Maryland is in the process of adopting
9 CCHD newborn screening, and they were actually
10 tasked to put together an expert panel to really
11 look at the challenges and the issues around
12 implementation. That extra panel delivered a
13 product to their I guess state legislature. I
14 think it was on Tuesday that this report went
15 forward.

16 But I tried to highlight for you some of
17 the issues that the report discussed, and this
18 would be nice thinking about our presentations this
19 afternoon by two other states, Indiana and New
20 Jersey. Again, I think it really -- it behooves
21 us, as an advisory committee, to stay very closely
22 in tune to how these new conditions, implementation

1 of these new conditions are rolled out, as we have
2 done with SCID. I think we've done a very nice job
3 in terms of pilot studies for SCID.

4 So I'm just going to run down this list
5 in terms of how -- these are in the broad bundles
6 that we heard about, that, first of all, hospitals
7 should follow the protocol that Kemper, et al., put
8 forward in the Pediatrics article, that the birth
9 hospital is actually charged with the screening and
10 follow-up from positive screens.

11 So the context there is similar to
12 newborn hearing screening, where the hospital is
13 charged with that responsibility. Their assessment
14 was that all hospitals have the capacity for
15 screening, but that they must establish the
16 capacity for follow-up, whether that's in regard to
17 a telemedicine component or the need for transport
18 for children.

19 The hospitals are responsible for the
20 protocol for follow-up and clinical management,
21 though obviously there needs to be harmonization
22 across hospitals in that regard.

1 The health departments -- again, these
2 are the roles and responsibilities very clearly
3 identified here. The health department is
4 responsible for surveillance data on screening and
5 evaluations. So there needs to be some -- we did
6 ask the question about a longer-term follow-up to
7 understand how these children do and the linkage to
8 the Birth Defects Surveillance Program.

9 They did say that the linkage is going to
10 happen with the Birth Defects Surveillance Program.
11 But in terms of trying to get ongoing data for
12 those children, that would be done within the
13 context of those existing programs.

14 Education is a clear component to this,
15 and it should be. So, Don, more work for your
16 subcommittee or more thoughts. Education should be
17 provided to consumers, clinical staff, and
18 community providers. So, again, everybody.

19 But there was no one -- at least I only
20 had the executive summary there. I don't know if
21 anybody remembers Deborah talking about this, but
22 there was no one identified for the education

1 piece.

2 And then, cost. So they talked about --
3 this summary report talked about the main costs,
4 which is really for the hospitals and staff time to
5 screen and track results in a very broad sense, and
6 then the cost to states is the infrastructure for
7 evaluation.

8 We did ask them if they had received any
9 negative pushback from hospitals, and at that
10 point, she said they actually had not and that many
11 hospitals, at least their largest hospital -- which
12 some of you who are in this region might know what
13 that hospital is -- has already been engaged
14 screening. So just it was good to hear from them,
15 and I wanted to give you enough details so that you
16 could put this context with what we will hear this
17 afternoon.

18 So I think our subcommittee will
19 definitely stay on top of this issue.

20 The latter half of our discussion for the
21 subcommittee was a continuing sort of reflection
22 about where the subcommittee has been. And I tried

1 to paint a broad picture for you. I know we're
2 clearly seeing our lane as trying to stay abreast
3 of implementation and how well implementation is
4 carried out.

5 And I think that the angst for the
6 subcommittee is that we perceive this -- we
7 perceive newborn screening and the mandate for
8 newborn screening as a real disconnect between the
9 actual screen that is equitable and fair and goes
10 to everyone, and yet the mandate for follow-up and
11 treatment is not there.

12 And so, how do we best identify those
13 issues? How do we best target our energies on
14 those things as inequities that are maybe the
15 easiest ones, the low-hanging fruit? The easiest
16 ones to change, I mean, that's the challenge for
17 our committee.

18 So it's easy to identify the issue. It's
19 much more challenging to identify what it is that
20 we can do. So, as a committee, we've taken a
21 fairly broad view on this, trying to set the
22 landscape. But my own personal feeling is that I

1 think that we need to start to take some -- maybe
2 do some deeper diving. Medical foods might be an
3 example of that.

4 So what we talked about, that second
5 bullet, that is what to do about this? You know,
6 we really need to be monitoring implementation
7 better, and that's not just for the new conditions,
8 though. It's for conditions that are already --
9 we've been monitoring for years and years, the work
10 that NIH is doing in terms of PKU, my introductory
11 slide, and keeping abreast of the science and the
12 changes and the treatment and understanding of
13 long-term outcomes, understanding the issues on
14 pregnancy and PKU, all of those evolving issues.

15 As children survive into adolescence and
16 adulthood, which is great, great, great news, we
17 need to stay tuned to what those complex issues
18 are.

19 We did some work as a subcommittee a
20 couple years ago about clarifying roles and
21 responsibilities in follow-up and treatment. And
22 what I just presented to you for CCHD implement

1 might be very illustrative of maybe what we need to
2 do and what those around the table yesterday felt
3 like we needed to do, is be very explicit about
4 whose lane these different activities fall in.

5 Yes, that may vary from state to state,
6 based on implementation. And that given that we
7 highlight those, at least states, as they implement
8 or reevaluate how things are done, can
9 deliberatively make changes in those roles and
10 responsibilities.

11 We talked about taking some -- to do
12 that, several people -- Celia Kaye, others, I think
13 Jeff Botkin, when he used to be with us and then
14 turned coat on us --

15 [Laughter.]

16 DR. BOYLE: But his notes from September
17 was that maybe we should leave this at sickle cell
18 disease. You know, there are considerable Federal
19 resources that have been going into sickle cell
20 disease, but yet in terms of -- I don't know what
21 you all, the physicians in the room would call
22 this. But in terms of continuity of care and

1 assuring that every child, adolescent, and adult
2 receives good, consistent care and treatment, I
3 mean, I don't think we're there with that.

4 I think we've made vast improvements in
5 the survival of individuals with sickle cell
6 disease, but I think we have -- I mean, I've said
7 this many times in my own context, I think we can
8 close that gap in terms of a 30-year disparity in
9 survival in children with sickle cell, of
10 individuals with sickle cell disease. And I think
11 it's because we're not applying what it is that we
12 know that can work well.

13 So what we thought we might do, and
14 again, these are still evolving thoughts here, is
15 trying to clarify roles and responsibilities, try
16 to look at implementation issues and maybe take
17 three, at least sickle cell disease and then the
18 two new conditions that the committee has added to
19 the newborn screening panel, SCID and critical
20 congenital heart disease. Because we do feel like
21 we have a responsibility for those and that sickle
22 cell disease because we do think there's a

1 considerable Federal Government investment, and it
2 would be great for us to help align that investment
3 with what we see as appropriate gaps.

4 The other idea that was tossed around a
5 bit, and I'm just going to put it out there for
6 your own consideration was maybe providing to
7 decision makers, particularly around the cost of
8 care, is like we've done -- and I don't think this
9 could be the work of the committee, but perhaps the
10 work of agencies or others, but identifying the
11 cost of providing care. So this could be used by
12 decision makers, insurers, others in trying to
13 understand what this all means.

14 And then, finally, I think Bob Bowman
15 made this excellent suggestion, and the more I
16 thought about it overnight, I think that this is
17 something that I know, Don, you were saying the
18 same thing about your committee. I think we have a
19 lot of great ideas. Sometimes we just follow them
20 up because we have an interested person, but I
21 think what we need to do is we need to, following
22 on Sara's idea, sort of rethinking how we do things

1 in the committee.

2 I think we need to come up with a
3 process, some method in terms of trying to
4 prioritize the work of the committee and align it
5 better with really what the needs are out there.
6 So that's it.

7 DR. COPELAND: If it's okay, I'd like to
8 comment. I think the committee priorities, what
9 you've outlined there should actually be the
10 advisory committee priorities and that maybe it
11 would be better to come from the advisory committee
12 to the subcommittee and help direct the work. And
13 that would definitely help with the prioritization,
14 et cetera, and this is something that could be
15 definitely a topic and a discussion at the next
16 committee meeting is just looking at these
17 different issues. What are some of the options, et
18 cetera?

19 But monitoring implementation is an
20 advisory committee role. Whether or not it gets
21 delegated to a subcommittee or it stays at the
22 advisory committee level I think is something that

1 needs to be decided by the committee. These are
2 all very key issues, and I don't think that -- and
3 I think that we all realize that this is something
4 that is more than just follow-up and treatment, and
5 I think that we need to make sure we get -- as
6 opposed to having three separate subcommittees work
7 on the same thing.

8 So we can discuss probably in the
9 meantime about how best to present it to the
10 advisory committee, but I'd like the advisory
11 committee to take the lead, and the subcommittee,
12 various subcommittees to follow through with it.

13 DR. BOYLE: Having had some experience
14 with other committees, just a comment to that, it
15 might be good if we, as a full committee, reflect
16 on what those issues are and then charge the
17 subcommittee to sort of follow up on that.

18 DR. COPELAND: That was what I hoped to
19 get through.

20 DR. BAILEY: I would certainly echo that.
21 I think within our committee, we feel we're doing a
22 lot of things, but instead of everything coming

1 from us to the primary committee, let's charge the
2 subcommittees to do the major things.

3 CHAIRMAN BOCCHINI: Jeff?

4 DR. BOTKIN: I've got a real specific
5 question. I'm wondering whether Maryland talked
6 about how they were funding the increased state
7 responsibilities for the congenital heart program?
8 Were they just going to add that onto the workload,
9 or were they going to increase kit fees, or is
10 there some mechanism that they describe for
11 funding?

12 DR. BOYLE: I don't remember. Does
13 anybody else remember?

14 DR. KUS: I don't think there's any
15 funding.

16 DR. BOYLE: I don't think there's any
17 funding, yes. They're applying for the HRSA grant.

18 DR. KUS: Yes, it was legislation that
19 didn't have appropriation.

20 CHAIRMAN BOCCHINI: Other questions,
21 comments? I think, clearly, the committee has a
22 very insightful report, and it's right on target

1 with where we are. And I think that bringing this
2 forward to the full committee and now having the
3 chance, as you indicated, to reflect on it and
4 think about it and then come back an opportunity to
5 spend some time discussing that, prioritizing I
6 think is very appropriate.

7 And as Sara said, I think that it's very
8 clear that this committee's responsibility includes
9 implementation and follow-up and being aware of
10 what has happened, based on the recommendations of
11 the committee to the Secretary, is very important
12 and needs to be looked at carefully.

13 And it will inform the committee for
14 subsequent decisions, and so I think that's
15 important.

16 All right. Well, thank you all. I thank
17 the presenters for the three subcommittees. I
18 think, clearly, in 2 hours, you each covered
19 significant topics, and we didn't have a lot of
20 time.

21 Next, we are going to have the final
22 report from the Evidence Review Group on

1 hyperbilirubinemia. I know we're a little bit
2 ahead of time. Jim, are you ready and the group
3 ready so we can go ahead and get started?

4 As you know, this is a condition that was
5 nominated, and the Evidence Review Group has been
6 working diligently for a considerable period of
7 time to put together a review and a final report.
8 It's now available, and we're going to have a
9 presentation of the final report.

10 And then I asked two committee members to
11 sit in on the final discussions of the Evidence
12 Review Committee and to then look at the evidence
13 and formulate, using our template for decision
14 process, what the potential recommendations of the
15 committee might be. And so, after we hear the
16 final report, we're going to hear from the two
17 committee members and their reviews and their
18 initial recommendations.

19 So they're going to do this, and then we
20 can sort of frame the discussion and then get input
21 for the committee as to the final recommendation.
22 A vote will be required subsequent to the

1 presentation.

2 So, Jim, thank you.

3 DR. PERRIN: Thank you, Dr. Bocchini.

4 It's a pleasure to be here today to present this
5 report.

6 I believe you all know that we have
7 transferred the primary responsibility for the
8 Evidence Review Group from our team at MGH and
9 Harvard to Alex Kemper and his team at Duke. So
10 we've gone from Harvard, otherwise known as "the
11 Duke of the North" -

12 [Laughter.]

13 DR. PERRIN: -- to the real thing. And
14 I'm presenting this report primarily because our
15 team took the initial responsibility for the
16 development of the hyperbilirubinemia report, and
17 thus, I have the opportunity to share it with you.

18 The members of the team, many of whom are
19 here, include John Co and our group in Boston; Alix
20 Knapp, who I believe is on the phone; Danielle
21 Metterville; Lisa Prosser, who took responsibility
22 for decision analysis that we will describe for the

1 end of the presentation; and then a number of other
2 consultants and staff who were very much involved
3 with this project.

4 You have a very full report in your book.
5 It is a very broad and complex area. We reviewed
6 quite a good deal of literature. I'm going to try
7 to summarize the report in the next several slides.

8 As background for neonatal
9 hyperbilirubinemia, bilirubin elevations, as I
10 think most of us know, are very common in newborns.
11 The elevations of bilirubin arise from a variety of
12 etiologies.

13 Hyperbilirubinemia is a detectable risk
14 factor for acute bilirubin encephalopathy, which
15 I'll describe in a little more detail in a few
16 minutes, and for chronic bilirubin encephalopathy,
17 otherwise known in general as kernicterus. And the
18 primary concern of screening and treatment is to
19 prevent the neurotoxic effects of
20 hyperbilirubinemia.

21 I want to review very briefly two
22 previous really key reports. One was backed by the

1 American Academy of Pediatrics in the development
2 of clinical practice guidelines initially in 2004
3 and then updated in 2009. And this was the
4 prevention and management of hyperbilirubinemia in
5 infants of greater or equal to 35 weeks gestational
6 age, i.e., not smaller, more premature infants.

7 The main recommendations of this report
8 were to promote and support successful
9 breastfeeding, recommended systematic assessment
10 before discharge with measurement of bilirubin
11 levels either with total serum bilirubin or with
12 transcutaneous bilirubin measurement individually
13 or in combination with clinical risk factor
14 assessment to help assess the risk of subsequent
15 hyperbilirubinemia.

16 A third recommendation was for early and
17 focused follow-up based on risk assessment and
18 based on these predischarge screening results,
19 gestational age, and other risk factors. And then,
20 when indicated, phototherapy or exchange
21 transfusion to decrease serum bilirubin, prevent
22 hyperbilirubinemia, and possibly -- that was the

1 term used in Academy report -- bilirubin
2 encephalopathy, or kernicterus.

3 The U.S. Preventive Services Task Force
4 in 2009 released an evidence review regarding
5 screening infants for hyperbilirubinemia, and their
6 assessment at that time was that the evidence
7 regarding the benefits and harms of screening
8 newborn infants to prevent chronic bilirubin
9 encephalopathy was lagging. And the
10 recommendation, therefore, was to say that the
11 evidence is insufficient to recommend routine
12 screening.

13 So let me now move to our work and our
14 report activities. With the help of a subcommittee
15 of this committee and discussions also with some
16 experts, we tried to come up with case definitions
17 for three primary areas. What do we mean by
18 neonatal hyperbilirubinemia, which we defined for
19 this report as serum bilirubin levels above the 95
20 percentile for age in hours in term and near term
21 newborns.

22 For acute bilirubin encephalopathy, which

1 is very widely and diversely described in the
2 literature, we limited our definition to advanced
3 manifestations of bilirubin toxicity in the first
4 weeks of life. Things like loss of Moro, extensor
5 hypertonia, high-pitched cry.

6 Some authors do use this term, ABE, to
7 over substantially less severe symptoms with
8 basically the more subtle signs, such as
9 somnolence, hypotonia, and fever. For our review
10 and as we present it to you, we actually did not
11 consider this acute bilirubin encephalopathy.

12 And then, of course, the thing that we're
13 particularly interested in defining and preventing
14 is chronic bilirubin encephalopathy, or
15 kernicterus, defined as persistent and permanent
16 brain damage related to bilirubin toxicity and
17 characterized by four areas. One is movement
18 disorders, such as athetosis, spasticity, dystonia;
19 auditory dysfunction, oculomotor impairment; and
20 dental enamel hypoplasia.

21 So this is the conceptual framework that
22 we used here. I will go through parts of it. It

1 is a bit complicated, and it's one we've used
2 before for some of our earlier reviews with the
3 committee.

4 So we, of course, begin with the general
5 population of newborns on the left here. We then
6 do some screening for hyperbilirubinemia, trying to
7 understand where we can the harms of testing and/or
8 identification. We develop risk assessment of
9 increased bilirubinemia, and then we talk about the
10 issues of treatment of hyperbilirubinemia and the
11 relationship of the acute phenomena in the newborn
12 period with outcomes especially of chronic
13 bilirubin encephalopathy with the question here to
14 discuss as to whether screening and/or treatment
15 are related to reduced rates of both acute
16 bilirubin encephalopathy and chronic bilirubin
17 encephalopathy.

18 So our literature review, we searched for
19 all relevant studies published between January 1990
20 and October 2011. We did present earlier versions
21 of this report to this committee in the past, but
22 we have updated the literature review to October of

1 2011, English language human studies only.

2 We have about 3,000 abstracts for
3 preliminary review. We looked at 201 articles for
4 more in-depth review, and 112 -- forgive me, it's
5 not 113. It's a mistake in this slide. One
6 hundred twelve articles met all inclusion criteria
7 for abstraction.

8 So let me just briefly overview these 112
9 studies. This, I think, will give you, among other
10 things, a sense of the quality of the studies and
11 the quality of the evidence there.

12 There is a very small number, as is
13 always true in our reviews, of experimental
14 interventions. There is a relatively large number
15 of cohort studies. There is a smaller number of
16 case control studies, and about half of the studies
17 that we reviewed are really case series. And
18 again, there are things one can certainly learn
19 from case series, but there are real limitations
20 about understanding cause and effect and real
21 prediction of outcomes in case study literature.

22 So this gives you a little bit about the

1 background of the studies that we have. I'd say
2 that if you look at some of the rarer disorders we
3 have studied with you, this is actually a few more
4 experimental interventions, but not a lot more.

5 So, the condition, let me give you some
6 of the statistics that arise from the review on the
7 prevalence of this condition, which is, first of
8 all, if we look at incidence of bilirubin levels in
9 newborns above 30 milligrams per deciliter, the
10 ranges in reports are between 3 and 12 per 100,000.
11 So it's a pretty uncommon phenomenon to have this
12 high a level of bilirubin.

13 The estimated incidence of acute
14 bilirubin encephalopathy, using a fairly strong
15 definition of substantial symptomatology, is
16 estimated at less than 1 per 200,000 live births.
17 And the estimated evidence -- I'm sorry, incidence
18 of kernicterus ranges from about 0.5 to 2.7 per
19 100,000.

20 However, that 2.7 is very much of an
21 outlier in the studies that we provide to you in
22 the larger report, and most of the evidence would

1 indicate rates really between 0.5 and 1 per
2 100,000. So these are all relatively uncommon
3 phenomena.

4 So let's talk a bit about the
5 relationship that's known between
6 hyperbilirubinemia and acute and chronic bilirubin
7 encephalopathies. First is that no specific
8 bilirubin level is associated with acute or chronic
9 encephalopathy, although in general, higher levels
10 of neonatal bilirubin are associated with higher
11 likelihood of both acute and chronic
12 manifestations.

13 Most, but not all, cases of chronic
14 bilirubin encephalopathy have total serum
15 bilirubins above 30, but rare cases do occur below
16 25 and even lower, with co-morbidities and/or
17 significant risk factors.

18 And although some neonates do develop
19 less severe signs of hyperbilirubinemia, less
20 severe than fairly dramatic acute bilirubin
21 encephalopathy, we have a very large majority of
22 studies indicate no long-term effects at all of

1 that level of increased bilirubin and minimal
2 evidence of neurologic involvement.

3 We move to screening and say that there
4 are three major forms of screening that exist for
5 hyperbilirubinemia. One is visual assessment.
6 Just looking at the baby, using certain criteria
7 for where you can see jaundice in the baby and
8 using that to estimate levels of bilirubin.
9 Transcutaneous bilirubin measurements and total
10 serum bilirubin.

11 In general, the evidence that we have is
12 that TcB appears as a valid screening tool for
13 detecting significant hyperbilirubinemia, i.e., it
14 is pretty high correlation with TSB at higher
15 levels. But when you get down to fairly low levels
16 -- 10, 8, 7 -- it's much less well correlated with
17 a total serum bilirubin.

18 There is an hour-specific bilirubin
19 nomogram that's based on total serum bilirubin
20 values that allows prediction of subsequent
21 hyperbilirubinemia, and there has been some work
22 that applies this same risk nomogram to the use of

1 TcB rather than TSB values.

2 Treatment evidence. The treatment
3 evidence basically is that phototherapy does
4 effectively decrease levels of bilirubin in the
5 neonatal period. A number of very good studies
6 that document this quite well.

7 There is indirect evidence, but only
8 quite indirect, that screening and phototherapy
9 decrease rates of chronic bilirubin encephalopathy.
10 Case series provide evidence -- this is one of the
11 things that we did learn from the case series --
12 that symptoms of ABE, children who have quite
13 severe neurologic findings in the neonatal period,
14 in fact, may be perfectly healthy at 1-year and 2-
15 year follow-ups.

16 There is direct evidence that early
17 treatment with phototherapy effectively does lower
18 bilirubin level and seems to lower the need for
19 treatment using treatment guidelines for exchange
20 transfusion. I might say that adverse events
21 remain common after exchange transfusion, although
22 this is a relatively unused -- not underused,

1 forgive me -- relatively unused technology today.

2 Economic studies, and I will defer a
3 little later to Dr. Prosser here. But as is true
4 in most of the other reviews we've done, there is
5 limited quality and quantity of economic evidence.
6 There is limited evidence for the cost of these
7 three or four areas that seem to be most critical:
8 jaundice readmissions, phototherapy treatment,
9 long-term outcomes.

10 There is one study of cost effectiveness.
11 The strategy is to prevent kernicterus. He
12 estimated costs of doing TcB, transcutaneous
13 bilirubin, testing ranged from less than \$1 to not
14 quite \$8, with most in the lower range here.

15 And the cost per case that we've
16 estimated of preventing kernicterus using TSB is
17 somewhere around \$5 million or \$6 million. You can
18 see our sensitivity analyses here, using TcB are
19 closer to \$10 million.

20 So the harms and benefits of universal
21 predischarge screening. The harms are to the
22 literature relatively limited harms found. There

1 are some risks of phototherapy that include fluid
2 loss, temperature instability, corneal damage, skin
3 rash, diarrhea, delayed parenting and bonding. All
4 of these in the literature appeared to be minor
5 risks.

6 The use of exchange transfusion, which,
7 of course, is not screening, but rather is a form
8 of treatment, is associated with substantial
9 morbidity and some mortality.

10 The benefits potential of universal
11 pre-discharge screening include the identification
12 of newborns who are likely to develop levels above
13 30. We do -- the benefit may be that lowering
14 bilirubin level reduces the risk of a newborn
15 developing ABE and kernicterus. And that early
16 identification and treatment with phototherapy may
17 prevent the need for exchange transfusions and
18 readmission to hospital.

19 So our report gives you many tables. I'm
20 going to try to go in a little bit of detail in
21 these last few tables about the key findings of the
22 report, based on the questions that we worked out

1 with the committee to try to address.

2 In these tables, where we have the number
3 of studies, you can see in the first column, for
4 example, we have 27 studies that include about
5 50,000. The design is in the second column. The
6 quality or risk -- I'm sorry, the risk of bias and
7 study quality is in the third. And then some
8 aspects of the quality of the data in the areas of
9 consistency, directness, and precision.

10 And then our overview of the quality of
11 this particular item is moderate strength of
12 evidence, and the evidence is that when compared to
13 controls, newborns with increased total serum
14 bilirubin experienced an increase in acute clinical
15 manifestations.

16 The second question is additional
17 sensitivity of TcB over visual assessment. Visual
18 assessment being sort of routine looking at the
19 child again. And here, the evidence is fair.
20 There's really two decent studies or two studies
21 that we reviewed in some detail.

22 Here TcB appears to detect most cases of

1 neonatal hyperbilirubinemia that may necessitate
2 further assessment. Adding TcB to visual
3 assessment increases the sensitivity from about 6
4 percent to 30 percent. So a substantial increase.

5 And there is some evidence that indicates
6 that TcB leads to less subsequent TSB blood draws
7 and a greater number of newborns identified at or
8 above the higher risk 75th percentile. This is,
9 again, comparing a TcB with visual assessment.

10 The third question is the specificity and
11 sensitivity of risk assessment/screening
12 prediction. This is where you're looking at
13 whether the test will predict whether after
14 discharge in the immediate neonatal period children
15 are going to have higher bilirubin levels. The
16 strength of the evidence here is moderate.

17 You can see that we have seven studies.
18 The specificity of predischarge screening and risk
19 assessment nomogram at or above the 75 percentile
20 is high. As you can see here, sensitivity at or
21 above the 75th percentile is also high. And above
22 the 40th percentile, the specificity drops, as one

1 might expect, to about 65 percent. But the
2 sensitivity is still quite high there.

3 So the evidence again, though, does not
4 address whether this prediction assessment
5 decreased their incidence of kernicterus.

6 And then the next question is really
7 whether screening for hyperbilirubinemia prevents
8 kernicterus. We use the term "label" -- the label
9 of poor, excuse me. Indeed, there are no data here
10 at all that we were able to identify.

11 And then, the effectiveness of early
12 intervention for hyperbilirubinemia, the strength
13 of evidence is moderate. Twelve studies, again
14 indirect evidence that early intervention is
15 associated with improved outcomes for those with
16 neonatal hyperbilirubinemia. Direct evidence that
17 treatment lowers elevated bilirubin concentrations.
18 That seems to be quite clear. And that lower
19 bilirubin levels seem to be associated with less
20 acute clinical manifestations. Again, no evidence
21 relating to longer-term kernicterus.

22 So this, again, is sort of the quick

1 overview of what I've just said. I don't think I'm
2 going to read through this table again. But this,
3 basically, is what we've just covered in the last
4 few slides together.

5 And I'm going to comment on what the gaps
6 in evidence are. One of the roles of our Evidence
7 Review Group is to sort of let you know where we
8 think we need to know more information.

9 Again, the relationship between high
10 bilirubins and kernicterus, we still have
11 insufficient evidence. And there's no clear
12 evidence that treating clinically significant
13 hyperbilirubinemia prevents kernicterus.

14 There's no evidence regarding universal
15 discharge bilirubin logistics and the impact of
16 large-scale screening, something that Dr. Boyle was
17 really describing in some aspects of her previous
18 report for other conditions. And we really don't
19 have much evidence about cost effectiveness in this
20 area.

21 I'm going to try to describe what Dr.
22 Prosser did in the decision analysis, and she can

1 certainly correct me if I get any of these parts
2 wrong. But partly based on our last discussion
3 with the committee, we went ahead and carried out a
4 decision analytic model to project outcomes.

5 We convened three meetings with six
6 experts who are listed at the bottom of the slide.
7 They're Drs. Bhutani, Johnson-Hammerman, Maisels,
8 Newman, Stark, and Stevenson. And worked with that
9 group to confirm and revise the model structure to
10 identify key outcomes, which really are
11 kernicterus.

12 We developed a series of assumptions,
13 based on our work with this group, that include
14 really focusing on three large-scale pre-post
15 studies. Some of the only really good studies that
16 we had here that gave us these kinds of data and
17 that we were, again, interested in reducing the
18 proportion of children with severe neonatal
19 hyperbilirubinemia who would then develop
20 kernicterus.

21 Key findings at the beginning of this
22 work and consistent throughout again is the lack of

1 data relating to hyperbilirubinemia and
2 kernicterus. And it also became clear from the
3 studies at least that TcB screening in practice may
4 not be exactly what's happened in descriptions in
5 the literature, that there is almost always in
6 practice some follow-up with TSBs, and it's
7 variably described in the literature on those
8 studies.

9 The assumptions that we used were that
10 the U.S. birth cohort is about 4 million, that the
11 incidence of kernicterus is about 0.5 to 1 per
12 100,000, and that the impact of screening based on
13 those studies might reduce acute hyperbilirubinemia
14 by 45 to 73 percent.

15 Using those assumptions, the boundaries
16 of benefits with these assumptions, that the range
17 of projected annual cases of CBE before
18 implementation of universal screening would be
19 between 20 and 40 in the U.S., and the range of
20 cases that are potentially averted by screening,
21 potentially averted -- need to stress that -- are
22 about 8 to 29 per year. Again, not all cases of

1 kernicterus would be prevented by universal
2 screening.

3 I believe that is my last slide. So
4 thank you very much for the opportunity to present
5 this.

6 CHAIRMAN BOCCHINI: Thank you, Jim, and
7 thank you for the work of your group for putting
8 this great stuff together.

9 This is open for discussion. The
10 committee certainly has the full report that they
11 were able to review before the meeting.

12 DR. GUTTMACHER: Can you say -- can you
13 tell us anything more about the relationship,
14 either observed or projected, for screening with
15 exchange transfusion, since exchange transfusion,
16 as you showed, has such high mortality associated
17 with it.

18 DR. PERRIN: So we actually don't -- did
19 not find data looking -- that described a change in
20 rates of exchange transfusion. But we have tons of
21 anecdotal data that it is vastly less common, and
22 especially in term and near term infants, it's

1 almost never done at this point. It's really
2 pretty much limited to sick prematures at this
3 stage.

4 So I think it's really not a critical
5 issue at the moment.

6 CHAIRMAN BOCCHINI: Steve?

7 DR. MCDONOUGH: Is there any information
8 on the incidence kernicterus decreasing in this
9 last decade with the fact those guidelines have
10 gone out?

11 DR. PERRIN: There is a little bit of
12 evidence that, indeed, kernicterus rates may have
13 decreased. It is not overwhelmingly convincing
14 data, and there is some disagreement in the
15 literature about that fact.

16 And of course, associating that
17 specifically with the publication or the
18 distribution, dissemination of the guidelines of
19 different kinds is hard to do.

20 CHAIRMAN BOCCHINI: Denise?

21 DR. DOUGHERTY: Just a couple of
22 questions on criteria. One is when you say in

1 those charts that a study quality is good, does
2 that mean the study quality using some criteria
3 for, say, a cohort study is good for that kind of a
4 study?

5 And do you have I think it's in the
6 article that we all wrote about what should be used
7 to judge the study quality, but is that what you
8 used? Because I see that only one reviewer
9 actually assesses the quality of the study.

10 DR. PERRIN: So I actually don't think we
11 said good at any point, but maybe we did. We may
12 have. We may have.

13 DR. DOUGHERTY: But under "risk," that
14 column "risk of bias/study quality."

15 DR. PERRIN: Oh, I'm sorry. Yes, yes.
16 Okay, yes. So we use actually essentially a
17 variation on the grading criteria for these
18 studies. And as I said at the beginning, we have
19 almost no experimental studies. These are
20 predominantly cohort and case series studies.

21 And so, grades case studies extremely
22 low.

1 DR. DOUGHERTY: Right.

2 DR. PERRIN: As you know, right. Does
3 that answer your question? I'm not sure.

4 DR. DOUGHERTY: Well, I guess my question
5 was, you're not using the typical grade study
6 criteria so that every cohort study would be judged
7 low. You're saying for a cohort study, this study
8 is pretty good, or most of the studies are good
9 quality?

10 DR. PERRIN: Yes.

11 DR. DOUGHERTY: Okay.

12 DR. PERRIN: They're a very small number,
13 I think, even there. The answer is yes.

14 DR. DOUGHERTY: Okay. The other question
15 goes in the other direction where on the harms, you
16 listed a lot of things like corneal damage and
17 things that -- and then said all the risks are
18 minor risks. So I'm wondering if "minor" means
19 that they infrequently occur or that the corneal
20 damage, per se, is minor and doesn't affect
21 eyesight.

22 DR. PERRIN: Yes. I will have to go back

1 and look at the corneal studies. There are two, if
2 I remember correctly. My memory, but I don't want
3 to be held to this without going back to the
4 literature, is that even in that context, there was
5 resolution. And it's quite rare.

6 But indeed, as you likely know, there are
7 a series of guidelines for how to do phototherapy,
8 among others, which does include a substantial
9 amount of ordinalities to protect the cornea, among
10 other body parts.

11 DR. DOUGHERTY: Thank you.

12 CHAIRMAN BOCCHINI: Jeff?

13 DR. BOTKIN: I wonder if you came across
14 any literature that gives a better description of
15 which kids end up with kernicterus. Are they the
16 kids who got G6PD or Rh incompatibility or
17 prematurity or glucuronyl transferase deficiency
18 conditions, et cetera? I mean, are they enriched
19 by some subset there?

20 DR. PERRIN: So the answer, they seem to
21 be -- again, you're dealing almost always with
22 pretty small samples. So probably on the order of

1 two-thirds are in the high bilirubin level.
2 Depends a little bit on the series. And the others
3 are typically children for whom there are any of a
4 number of risk factors, including the ones you just
5 mentioned, Jeff.

6 DR. PROSSER: Can I add something to
7 that?

8 DR. PERRIN: I was going to say you went
9 over that more recently, too.

10 DR. PROSSER: So there was a lot of
11 discussion on this point. Well, on the point of
12 what categories or subgroups of children with
13 hyperbilirubinemia would not be impacted by
14 screening. So the discussion on the expert panel
15 was that there were these certain conditions that
16 were not likely to be impacted by screening, and
17 that's reflected in the decision analysis
18 projections of where screening is not likely to be
19 100 percent effective in preventing cases.

20 DR. PERRIN: And of course, there are
21 some conditions which increase susceptibility but
22 also do increase bilirubin well above 30. So

1 there's a bit of an overlap in some of these to a
2 certain extent.

3 CHAIRMAN BOCCHINI: Fred?

4 DR. CHEN: My question about the evidence
5 review is in relation to our discussion yesterday
6 about our efforts to harmonize with other Federal
7 groups, like the U.S. Preventive Services Task
8 Force, which did this evidence review just a couple
9 years ago, 3 years ago or so. Your sense, Dr.
10 Perrin, about the difference in methodology, the
11 difference in sort of implications for what it
12 might mean for our evidence reviews to be
13 comparable to their evidence reviews?

14 For example, I do know that they haven't
15 done decision analysis. They don't do cost
16 effectiveness analysis, at least that's my
17 understanding.

18 DR. PERRIN: So, thank you for that
19 really interesting question. We did pull together
20 a group of people about a year ago to think through
21 how to do an even better job of weighing the
22 evidence in the context rare to extremely rare

1 conditions with very limited evidence, which is
2 what the issues before the advisory committee
3 typically are.

4 We benefited at that time from about six
5 or seven people who either then or had recently
6 been members of the U.S. Preventive Services Task
7 Force to discuss ways of weighing evidence, which
8 was very productive. Ned Calonge, who used to
9 chair the Preventive Services Task Force, has been
10 an adviser from the committee in this process
11 essentially from the beginning.

12 So I think that I can say is we've
13 benefited a great deal from the wisdom of the
14 Preventive Services Task Force. It is absolutely
15 true that the evidence procedures that we have
16 carried out differ in substantial ways from those
17 of the U.S. Preventive Services Task Force, which
18 provides a substantially different and especially
19 higher bar for evidence.

20 It gets back to Dr. Dougherty's comment
21 before, which is we've tried to use grading
22 criteria in our evaluation of evidence. But even

1 grade, which has tried very hard to be thoughtful
2 about the variations in evidence that exist, it
3 does typically label our primary series of data or
4 studies very low. And we've tried to say -- they
5 do provide us some information that we think is
6 valuable for committee decisions.

7 A long-winded answer. I hope it gets to
8 what your question was.

9 CHAIRMAN BOCCHINI: Additional -- yes?

10 DR. GETCHELL: I have two questions.
11 First of all, the TcB test, is it a needle stick?

12 DR. PERRIN: No, it is not. It's just --

13 DR. GETCHELL: Just transcutaneous?

14 DR. PERRIN: Correct.

15 DR. GETCHELL: Okay. And the other
16 question is, as with CCHD, what are the
17 implications for public health with this? I know
18 you didn't look at it, but I think it's something
19 we need to think about. Would public health, for
20 example, have to provide surveillance monitoring,
21 education, follow-up, and so forth?

22 DR. PERRIN: Well, that again I think is

1 really a committee discussion and decision. And
2 our task, of course, is to provide you what we can
3 learn from the evidence from experts. But I think
4 that's a very important topic that I would leave
5 for your discussion.

6 DR. GETCHELL: Yes. That isn't currently
7 in place, the ability to assess that. And so,
8 that's part of the whole condition review.

9 CHAIRMAN BOCCHINI: Jeff?

10 DR. BOTKIN: Yes. As you spoke with the
11 experts in the community about this condition, I
12 don't have a sense of what the experts feel about
13 universal newborn screening. Is this something
14 that they're advocates of?

15 DR. PERRIN: You want to try that?

16 [Laughter.]

17 DR. PROSSER: We simply did not ask them
18 that question. So, in the expert panel, we really
19 had a very focused discussion on the specific
20 questions we were asked around the evidence and how
21 we would use it to project outcomes that would be
22 of use to the committee.

1 And so, we really didn't get into that.
2 I would say that there was certainly the spirit
3 that it would be useful to have more evidence. And
4 one of the interesting outcomes of the sets of
5 calls were the areas that could be identified for
6 future research in this area. But that wasn't our
7 discussion.

8 DR. PERRIN: I think it's also important
9 to remember what our roles and tasks have been.
10 And in our work for any of the reports we've done,
11 when we have talked with experts that include
12 people doing research in this area, clinical in
13 this area, advocates and families, we have not
14 really assessed what do you think should happen, or
15 what do you think the committee should recommend or
16 whatever else.

17 Our roles and responsibility have always
18 been simply to gather what evidence they can add to
19 what is published in the literature. So I think
20 that's the reality of how we addressed this, Jeff.

21 CHAIRMAN BOCCHINI: Okay. Denise and
22 then --

1 DR. DOUGHERTY: Just what Jim is saying
2 reminds me, just as a matter of process, since we
3 have almost all new people on the committee, maybe
4 it would be good to redistribute sort of those
5 articles that do lay out what the process is, the
6 roles and responsibilities, and also the article
7 about how the evidence review is done with the
8 criteria for judging different things. It might be
9 useful to the rest of the committee.

10 CHAIRMAN BOCCHINI: Okay. Thank you.
11 Nancy?

12 DR. GREEN: Thank you. I'm Nancy Green,
13 Columbia University, as part of that workgroup.

14 I just want to mention that, you know,
15 very nicely done and correctly, assiduous attention
16 to the information. But I would like to say that
17 the evolution of the transcutaneous monitoring, I
18 think, has sort of thrown a monkey wrench in the
19 analysis, right, because some of the data were done
20 before.

21 And specifically addressing the question
22 about the experts and, of course, didn't ask them

1 for their opinion about what ought to be done, but
2 in the context of this juxtaposition of practice
3 and public health, several of those, that panel of
4 six -- and I don't know what proportion because we
5 didn't ask -- do practice universal transcutaneous
6 monitoring in their own institutions. So I just
7 wanted to add that.

8 Thank you.

9 CHAIRMAN BOCCHINI: Carole?

10 DR. GREENE: Thank you.

11 I should probably mention that years ago,
12 when I worked for HHS, I was involved in the
13 beginning of this. And so there's a long history
14 involving efforts with the AAP, asking the AAP to
15 make this standard practice.

16 It became a JCAHO sentinel event, which
17 is something that made hospitals more conscious of
18 the issue and more hospitals moving towards
19 monitoring. So there is a very long history of a
20 community of experts who believe all babies should
21 be tested, and the question came to this committee
22 after this long history.

1 Having said that, I personally think that
2 the question is now coming back to what's the
3 public health role here? And we are framing it, I
4 think, as should this be part of the newborn
5 screen? But I don't think that's the right
6 question.

7 I think that there's a lot of evidence
8 that suggests that this is a fairly noninvasive
9 test. Speaking as a pediatrician, I would like to
10 see every baby have the test. That doesn't mean
11 that it makes criteria for addition to the newborn
12 screen with all the public health implications.

13 And I think we got into this with the
14 CCHD, and I don't think we have to do an up or -- I
15 personally don't think that it would necessarily be
16 an up or down newborn screen. But I think in the
17 process for the committee, there is now room for a
18 different kind of recommendation. And if the
19 committee wanted to say all babies should be
20 tested, even though it's not part of the core
21 newborn screen, I think that should be an option on
22 the table.

1 CHAIRMAN BOCCHINI: You're raising the
2 issue about whether this is a practice standard
3 rather than a newborn screen, and I think that's
4 certainly an important issue. And the way our
5 evidence review had been conducted, obviously, we
6 don't have public health implication in that. And
7 as you know from our prior discussion at the last
8 meeting, there is the need to add that.

9 And so, one of the options, if we were to
10 accept this to go forward, would be to go forward
11 for a public health impact analysis. And so, that
12 certainly is an option, as is the option you
13 raised.

14 DR. GREENE: Thank you.

15 And I would just say that we're just
16 exploring the implications of CCHD on the newborn
17 screen and how the public health department will
18 follow up. This would dwarf it because the amount
19 of data and the amount of time it would take, and
20 then questions coming back to how many of them have
21 a genetic basis or a liver disease and ABO
22 incompatibility. It would be huge.

1 And of course, this committee doesn't --
2 isn't -- we're not in the -- the committee is not
3 in the business of making professional guidelines,
4 but that doesn't prevent people from saying we have
5 an evidence review that's just beautifully done
6 that shows all this useful information and kick it
7 back to people who might not have to struggle only
8 with the question of should it be added to the
9 newborn screening.

10 CHAIRMAN BOCCHINI: Okay. Chris and then
11 Michael.

12 DR. KUS: Jim, you mentioned that the
13 evidence review process that is used is
14 significantly different from the preventive health
15 services. How would you summarize that? What's
16 the significant differences?

17 DR. PERRIN: So I think the differences
18 are basically two. One is where a number of
19 studies would be essentially withdrawn for review
20 by the U.S. Preventive Services Task Force, we have
21 included those for review, recognizing their
22 substantial limitations.

1 So out of the 112 that we reviewed, my
2 guess is U.S. Preventive Services Task Force might
3 have reviewed 25. That's one part of it.

4 And the second gets back to really what
5 Denise was asking about before, which is, again, I
6 think we have "lenientized," made more lenient the
7 grade criteria so that we can look at case series.
8 We actually had a very useful discussion back in
9 March with the people from the U.S. Preventive
10 Services Task Force and others about where case
11 series can actually be useful to us.

12 And in fact, one specific piece of
13 evidence for this report has to do with is there
14 evidence that children who develop acute bilirubin
15 encephalopathy with substantial neurologic signs
16 can define over time it comes really from the case
17 series? That's a very important, we think,
18 valuable piece of information for this committee.

19 So those are the two differences.

20 DR. PROSSER: I would add to that, too,
21 based on the meeting that we had last March, the
22 application of decision analysis for newborn

1 screening is also very different from how it's been
2 used on the U.S. Preventive Services Task Force.
3 Because there, it's typically a case where there's
4 a lot of evidence, and it's a question of building
5 the model of either for health outcomes or cost
6 effectiveness, based on fitting data from a number
7 of large studies.

8 But we're operating for newborn
9 screening, an area where there's far little data,
10 and there's a lot of discussion at that meeting
11 that the application of decision analysis would be
12 different here but still advantageous as a way for
13 synthesizing what little evidence that we have to
14 provide some additional information.

15 And so, that would -- most of the
16 decision analyses that we will do here are likely
17 to be cases where we would say there's not enough
18 evidence to do it.

19 DR. LU: So just sort of the public
20 health kind of impact part of the discussion and
21 based on your presentation of the few studies that
22 are on cost effectiveness, just based on back-of-

1 the-envelope, quick calculation, projecting that if
2 we were to do universal screening, it would cost
3 around \$200 million to \$400 million a year? Does
4 that sound --

5 DR. PROSSER: So we haven't done those
6 calculations. So I can't comment on that. But
7 that's one place where we could --

8 DR. LU: I guess --

9 DR. PERRIN: Your numbers are right if
10 you think about what the costs are.

11 DR. LU: Well, I guess the other side of
12 the equation is that the benefit and what's the
13 benefit of screening? Do we have any evidence in
14 terms of what cost savings might be accrued from
15 universal screening?

16 DR. PROSSER: The little evidence that's
17 out there suggests that universal screening is not
18 likely to be, on the whole, cost saving, that it's
19 likely to require an additional investment. And
20 so, that's where there isn't an update to say so is
21 it then worth the additional investment that's
22 likely to be required, looking at the balance of

1 cost to benefits?

2 We don't have that information now to say
3 is it cost effective or not. So that's a thing
4 that could be looked at in the future. And that
5 was something that came up on the expert panel as
6 well.

7 DR. PERRIN: But your evidence, your
8 analysis you put together said that the range of
9 potentially averted cases of kernicterus is in the
10 order of up to 30 per year.

11 DR. PROSSER: Right. Correct.

12 DR. PERRIN: That's the savings
13 potential.

14 DR. HOMER: Jim, what was that?

15 DR. PERRIN: I'm sorry? Is that Fred?

16 DR. HOMER: This is Charlie. I'm sorry.

17 I just couldn't hear Jim's estimate --

18 DR. PERRIN: Could you repeat that?

19 DR. HOMER: There seems to be an echo. I
20 couldn't hear what Jim Perrin's estimate was of
21 dollars per case.

22 DR. PERRIN: I was just saying that

1 Lisa's decision analysis basically said that the
2 maximum potential benefit in the sense of numbers
3 is in the order of 30 averted cases of kernicterus
4 per year. And that's, of course, based on many,
5 many assumptions.

6 DR. PROSSER: And that we did not
7 specifically look at cost effectiveness. The
8 limited evidence that's available suggest a fair
9 amount of cost savings. But again, just to
10 comment, that's not the bar that we used to decide
11 if something's cost effective or not. There are
12 many interventions that we decided to invest in for
13 improved health outcomes.

14 So we don't have that event for bilirubin
15 screening.

16 DR. HOMER: But the cost issue, the cost
17 of the test plus the public health costs associated
18 with establishing a tracking system for these kids
19 and appropriate follow-up, et cetera. That's what
20 you would be using to balance against the potential
21 savings or the number of cases, not savings. But
22 the number of cases averted. Correct?

1 DR. PROSSER: We're having trouble
2 hearing you, Charlie.

3 DR. HOMER: Oh, I'm sorry.

4 DR. PERRIN: You're saying that we have
5 case numbers, but not cost?

6 DR. HOMER: That's correct.

7 DR. PERRIN: Yes, that's correct.

8 DR. PROSSER: Yes.

9 CHAIRMAN BOCCHINI: Okay. Michael?

10 DR. WATSON: Thanks.

11 I'm curious about the -- one of the
12 problems certainly with congenital heart disease
13 screening was the question of whether something
14 should be in sort of the standard of care versus
15 public health environment. And I don't think the
16 committee has ever looked carefully at how nursery-
17 based screening is organized or how it would be
18 addressed at the state level.

19 I know it was a major problem in
20 California because the newborn screening group
21 dealt with laboratory-based screening, and it was a
22 clinical part of the public health department that

1 would have had to deal with congenital heart
2 disease, independent of the newborn screening
3 group. And certainly, it was a major problem with
4 hearing screening when this pile of money came down
5 and formed an entire new part of screening,
6 independent screening program, independent of the
7 laboratory-based parts of the programs in many
8 states.

9 And because it worked so well, they're
10 sort of merging them back together in some states.
11 But I think it might be worth looking at that
12 infrastructure across the country at the state
13 level to see really what happens with nursery-based
14 screening, just to have a sense of whether making a
15 recommendation of something like that is actually
16 going to require a tremendous amount of
17 restructuring in state public health departments.

18 DR. PERRIN: As one minor comment, we
19 tried to identify data that would tell us what the
20 current standard of care is in most American
21 nurseries and were unable to find those data.

22 CHAIRMAN BOCCHINI: Stephen?

1 DR. MCDONOUGH: Could I ask a question to
2 the Academy of Pediatrics? Is the current
3 guidelines in October 2011 from the academy that
4 recommends that all children 35 weeks gestation or
5 older be screened at 24 hours with either a
6 transcutaneous or a serum bilirubin?

7 DR. TARINI: I'd have to go back and
8 review the guidelines.

9 DR. MCDONOUGH: No. I don't have another
10 question.

11 CHAIRMAN BOCCHINI: Okay. Freddie?

12 DR. CHEN: Just a comment. That
13 bilirubin screening is really -- continues to be a
14 mainstay of clinical practice for newborn care.
15 Many of us in the room are well aware of the
16 issues, and actually, I think it really raises for
17 me -- the other thing I'd say is, clearly, the task
18 force recommendations actually, in my estimation,
19 have minimal impact on that part of clinical care.
20 So that's one observation.

21 And then the second piece is your
22 evidence review really raises some questions about

1 something that we've never really handled, which is
2 are we looking at some over utilization of this? I
3 mean, given the numbers, and I mean, that's really
4 been a question in clinical practice for a long
5 time about really how appropriate is the care that
6 we're currently providing now.

7 That cost effect is really overwhelming.
8 The number, the cost per case and that kind of
9 stuff. So, anyway, it's just a comment. It's
10 something that we may see as this committee
11 continues to go into new territory.

12 CHAIRMAN BOCCHINI: Additional questions,
13 comments?

14 Okay. No further. Thank you both very
15 much.

16 And now let's go forward -- oh, all
17 right. Let's bring forward Catherine Wicklund and
18 Alexis Thompson. And as I indicated, I had asked
19 them to sit in on the final discussions of the
20 Evidence Review Group to hear the evidence. And
21 then after review of the evidence document, to look
22 at our template for making a decision and to frame

1 the discussion for us by looking at that and giving
2 some preliminary recommendations.

3 MS. WICKLUND: Thank you.

4 And thank you to the Evidence Review
5 Workgroup. That was a really thorough document and
6 really made our job easier in being able to think
7 about this issue. And also thank you, Joe, for
8 making us the test case.

9 [Laughter.]

10 CHAIRMAN BOCCHINI: You're very welcome.

11 MS. WICKLUND: Yes, we were thrilled.

12 Let me say that just for a little bit of
13 clarification, when Alexis and I were brought into
14 this, I was able to sit on the call with the
15 Evidence Review Group. I think Alexis was not able
16 to. And it really was geared towards going through
17 the slides for the presentation and giving me an
18 opportunity to ask additional questions or
19 clarifications at that time, which was extremely
20 helpful. But I just wanted to be transparent about
21 the process.

22 And then Alexis and I independently

1 reviewed the document, went through the key
2 questions that are in the policy manual and came
3 together then to discuss our views on this, and we
4 independently kind of came to our conclusion about
5 what we would recommend. And luckily, we came down
6 on the same -- in the same place.

7 So we had consensus. So that's what we
8 wanted to do today was to just basically -- we're
9 not going to reiterate the evidence that Jim
10 presented. It was very thorough. But just kind of
11 through the key questions, the answers that we kind
12 of came to on our own and then what our
13 recommendation would be, given the matrix that we
14 used.

15 So the first question was, is there
16 direct evidence that screening for the condition at
17 birth leads to improved outcomes for the infant or
18 child to be screened or for the child's family?
19 And I want to be clear that we were using the
20 chronic bilirubin encephalopathy or kernicterus as
21 our defining outcome when we were looking at this.

22 And we came to the conclusion that there

1 really was not any direct evidence that screening
2 for neonatal hyperbilirubinemia prevents CBE.

3 MS. THOMPSON: The next key question was
4 whether there is a case definition that can be
5 uniformly and reliably applied? If so, what are
6 clinical history and the spectrum of the disease,
7 of the condition, including the impact of
8 recognition?

9 This was somewhat challenging. We
10 thought that there was a clear definition of CBE in
11 terms of its clinical manifestations. There is a
12 bit more challenge in looking at instance rates of
13 factors that you can use to characterize either the
14 acute vs. chronic and the relationship between the
15 two.

16 We certainly appreciated from the
17 evidence review that there is a spectrum for those
18 infants who have an elevated bilirubin alone versus
19 those who are symptomatic with acute. And then the
20 infants that we were most focused on with CBE, and
21 we felt that this spectrum was not well defined.
22 And so, as a consequence, it was quite difficult to

1 look at the case definition if one is looking at
2 combining the bilirubin level and CBE.

3 MS. WICKLUND: Okay. Key question three
4 was, is there a screening test or screening test
5 algorithm for the condition with sufficient
6 analytical validity? And there does appear to be a
7 reliable screening tool, either TcB for detecting
8 significant hyperbilirubinemia, and also wanting
9 confirmatory follow-up with total serum bilirubin.

10 The other thing that I got from maybe the
11 call was that the screening methods vary and really
12 can either be dependent upon the institution. So I
13 think that was a lot -- and correct me, the
14 workgroup, if I got that wrong. But that even from
15 the expert panels, there was just a lot of
16 discussion about really what was happening in
17 hospitals and how it was being carried out.

18 But there was analytical validity. I
19 guess if you think about the fact that you can
20 measure bilirubin and find that it is elevated.
21 So, again, screening has been associated with a
22 lower incidence of hyperbilirubinemia. But again,

1 that's the hyperbilirubinemia, not the CBE that
2 we're talking about.

3 MS. THOMPSON: The next key question was
4 related to clinical validity of the screening test
5 or the screening algorithm, which can be considered
6 in combination with diagnostic tests and whether we
7 can actually look to see whether the validity is
8 adequate.

9 We felt that newborns with increased
10 serum bilirubin levels do experience acute
11 manifestations, but that the linkage between those
12 levels and CBE, that the clinical validity was
13 really insufficient.

14 MS. WICKLUND: And key question five,
15 what was the clinical utility of the screening test
16 or screening algorithm? I think the workgroup
17 nicely laid out 5A and 5B, which are the benefits
18 and harms. But the clinical utility is unclear.
19 That is what we came down on.

20 Again, earlier treatments with
21 phototherapy decreases the likelihood of the
22 exchange transfusion. The treatment lowers the

1 total serum bilirubin, but there's really limited
2 evidence that the treatment actually ends up
3 preventing cases of CBE. Again, it's more
4 indirect.

5 And the last question really we felt
6 about how cost effective is the screening, the
7 diagnosis, and treatment for this disorder compared
8 to the usual clinical case detection and treatment,
9 there just really is a lack of data in general.
10 And we were really unable to kind of assess that.

11 So what we did then was we went to the
12 decision matrix and really walked through that and
13 asked ourselves if a policy of universal screening
14 was implemented, what would be the magnitude of net
15 benefit? And both Alexis and I felt that it would
16 maybe be minimal to unknown.

17 And Carole brought up -- well, maybe I'm
18 jumping a little bit. So it kind of put us in the
19 level of 3 or 4 to begin with right off the bat,
20 when we looked at the magnitude net benefit. And
21 then when we asked ourselves what the level of
22 certainty about the magnitude of net benefit, that

1 was where we got a little muddled maybe about
2 whether or not this is really a 3 or 4.

3 Three is insufficient evidence and
4 substantial additional evidence is needed to make a
5 conclusion about that benefit. We believe that's
6 true, that there is a huge lack of evidence. But
7 what we struggled with was the issue that Carole
8 brought up, which is, is this really a condition
9 that needs to come back this panel or, I'm sorry,
10 advisory committee to make a decision on?

11 So that it was more that, yes, there is
12 research, further research that needs to be done.
13 More evidence needs to be generated. But are we
14 going to land on four, recommending that it not be
15 added to the panel and that it doesn't necessarily
16 come back to us, vs. three, the way -- and Alexis
17 jump in here -- that maybe it was possible it came
18 back to us as a committee. And I'm not sure this
19 is the best place for this to play out, that it
20 really is more of a practice guideline kind of
21 issue rather than an advisory committee kind of
22 issue.

1 MS. THOMPSON: I think we felt that that
2 was important to state, in terms of making
3 recommendations about what work should be done
4 moving forward. Arguably, certainly an advocacy
5 group may very much want to bring it back to the
6 committee, and I think that if we think that
7 there's a likelihood of the advisory committee
8 reconsidering and adding it to the panel, we would
9 strongly encourage them to do that.

10 But if we do not think in the
11 deliberations that we are likely to move in that
12 direction, then perhaps it is better for the
13 committee to be clear that we are probably not the
14 group to bring back additional research, research
15 that we'd like to see done, but it would instead be
16 more beneficial for that advocacy group to think
17 about redirecting their efforts to another
18 organization and considering it, for instance,
19 perhaps as a clinical practice guideline rather
20 than a universal screening kind of an issue.

21 But to make it clear so that as opposed
22 to simply leaving it out there and instead of

1 having people do work, that, in fact, we know it's
2 not likely to actually change our deliberations.

3 CHAIRMAN BOCCHINI: Thank you both very
4 much.

5 Discussion by the committee?

6 We're going to project the decision
7 matrix. So if we can get that put together. Oh,
8 we've got it? We got it. Thank you. Okay.

9 MS. THOMPSON: I would also say that even
10 though I think we both initially thought that the
11 task was daunting, if you look at the responses and
12 concerns that were brought up yesterday, in terms
13 of selection, I think that honestly neither Cathy
14 nor I really came into this with any predisposition
15 one way or the other. We used our expertise as
16 best we could in the area, and so I think that it
17 is conceivable for a selection to be made by the
18 Chair, as opposed to a process, unless you choose
19 to develop a process.

20 But I think it is possible to have
21 committee members just using your judgment, I
22 think, to determine who sits in on this process in

1 the future. Also, the notion about coming in
2 earlier in the process, I think there really would
3 be some benefit to that. Although the Evidence
4 Review Group did a fantastic job, obviously, we
5 really didn't have much of an impact on that, given
6 how late we were inserted into the process.

7 CHAIRMAN BOCCHINI: Thank you for those
8 comments.

9 Beth?

10 DR. TARINI: I just want to respond to
11 Dr. McDonough's questions about the AAP and
12 hyperbilirubinemia. First, the report in
13 Pediatrics 2011 October focused, it seems, on
14 phototherapy. I think which types of phototherapy
15 are most effective. It didn't focus on management
16 guidelines.

17 And as I have it, the last set of
18 management practice guidelines I have are from July
19 2004.

20 To your questions about whether TcB or
21 serum bilirubin, it is in the recommendations of
22 clinical assessment. Throughout it says TcB or

1 TSB, and it defers to the nurseries and the
2 providers as to which is more preferable to them.

3 CHAIRMAN BOCCHINI: Thank you.

4 Questions, comments? Jeff?

5 DR. BOTKIN: Yes, thanks for that
6 analysis. Generally with your assessment data, I
7 guess I wanted to question about the last set of
8 comments and where you were going with that. If we
9 did -- in the next 10 years, if somebody did a big
10 randomized control trial and showed definitive
11 benefits and limited harms, why wouldn't that come
12 back to this committee?

13 MS. WICKLUND: I think for us thinking
14 about the public health impact and whether or not
15 this is really getting back to the public-health
16 issue vs. the standard of care, that should be
17 implemented in the newborn period, and what the
18 professional organizations and guidelines of the
19 role is and in that vs. the Secretary's Advisory
20 Committee.

21 DR. THOMPSON: I think that is actually
22 right. It is not that we don't think it should be

1 done. The question is who is responsible for
2 overseeing it. If in fact it becomes a standard of
3 care, and that there is not that additional overlay
4 that is required with quality assurance as well
5 monitoring data collection that would be required
6 if you were to move into the realm of the universal
7 panel, if in fact we can ensure the health of more
8 infants using the guidelines that are set out by
9 the task force or the AAP.

10 I think that if we have some assurances
11 that we can obtain a benefit, I'm just not
12 completely convinced it would be required that it
13 comes through the universal screening panel.

14 DR. BOTKIN: This is getting to the point
15 of care screening issue that we will talk about
16 here in a minute.

17 DR. MATERN: I'm just wondering if we
18 were to decide to not include this or not to
19 recommended as part of the uniform screening panel,
20 with the AAP for example go back and say, well, we
21 didn't treat review this for 8 years. Maybe we
22 should do it? Or will they just say, well, the

1 SACHDNC just reviewed it. They rejected it; we
2 don't have to deal with it anymore. I don't think
3 we would want that to happen.

4 CHAIRMAN BOCCHINI: I know every AAP
5 statement is required to be reviewed every 3 years,
6 and at that point it is either reaffirmed,
7 rescinded or rewritten. So there is a requirement
8 for that, ongoing reviews, so that would be
9 independent of the actions of this committee. And
10 it may be currently under review; at the present
11 time, I don't know that.

12 DR. MATERN: They might review our
13 deliberations and what we came up with and say,
14 well, there is no need to change anything. The
15 question I think is on the one hand is it
16 worthwhile to look for these conditions in babies;
17 our question is whether it should be a public
18 health issue or it should be something that stays
19 with the hospitals and with the pediatricians and
20 family physicians who take care of the babies.

21 That's all I'm saying. I don't want
22 people to think that we don't think this is

1 important.

2 CHAIRMAN BOCCHINI: I agree with you.

3 DR. BOYLE: So I don't know what happened
4 to four, but anyway I was going to talk about
5 number four.

6 Oh, there it is. That's fine.

7 So my recollection about what level four
8 is supposed to be is that this is for conditions
9 where there is sufficient evidence that there is
10 zero benefit, or there's essentially harm, so I
11 don't think this falls under level four.

12 So regardless of the point of care, the
13 newborn screening, universal screening, I don't
14 think this gets to that. This is really more
15 levels of evidence here.

16 So my sense is it's three.

17 MS. WICKLUND: I think that is where we
18 struggled with three. We didn't know that exactly
19 either, in the sense of this other issue about
20 maybe having groups come back with more evidence
21 for us to deliberate as a panel. So we did
22 struggle with that. So I agree. Four did not

1 necessarily fit well.

2 CHAIRMAN BOCCHINI: Chris?

3 DR. KUS: I just want to follow-up with
4 what Jeff said. If there's evidence that came back
5 and said screening prevented kernicterus, I think
6 this would come back to the panel to decide whether
7 you would do it. So I think that's the issue, as
8 opposed to the issue of how does it play out in
9 clinical practice, because there aren't anything in
10 clinical practice where they get 100 percent of
11 kids screened. So to me that is the issue. The
12 evidence here says you screen; you can't prove that
13 it's going to prevent kernicterus.

14 DR. THOMPSON: The other part of it is
15 that not every good thing that happens to children
16 comes from newborn screening. I think it is quite
17 logical that there are a number of things that are
18 done for infants that is good medical care that
19 don't require it coming through uniform screening
20 panels, so you're absolutely right.

21 If the evidence were there, we would
22 adopt it. We don't mandate anything. So it's

1 almost as if, if it occurs and it is not through
2 us, I think that is okay.

3 DR. KUS: I guess just to follow-up, I
4 don't agree with that concept to me, because the
5 idea is, again, if the evidence here was strong
6 that said, I could prevent 30 babies having
7 kernicterus, if everybody got screened, if there
8 was good evidence, I think that is a message for
9 universal screening. That is my take.

10 DR. LOREY: This is Fred. I appreciate
11 the review. That's been very helpful.

12 And I wanted to talk about -- a couple
13 people specifically brought up the issue for public
14 health, that is what I did with the congenital
15 heart discussion, and so what you have given to us
16 now is the newborn screening. And as we know, the
17 other thing I wanted to say is that limited
18 screening coming from a hospital is that we are
19 responsible to keep track of our HTC and we have to
20 report the various values, including bilirubin.
21 And if they are not good, we have to consult with
22 specialists to develop our algorithm instead of

1 like coming up with cutoffs for values.

2 We have to say, well, is it steadily
3 rising, but we thank you for at least considering
4 the public-health labs' approach to this.

5 CHAIRMAN BOCCHINI: Okay, thank you.

6 Beth and then Michael.

7 DR. TARINI: I'm speaking now as an
8 individual, not as a representative of the AAP. It
9 seems to me, following onto Dieter's comment, and
10 also on Chris's, that the discussion is focused on
11 two different levels. One is screening itself. Is
12 it self-effective either by TcB or TSB? And the
13 clinical assessment. And secondarily, would
14 screening if placed in the institution of public-
15 health screening be effective? Would it enhance
16 that screening?

17 And to my personal opinion, having been
18 at this committee for a few years and listened, is
19 that this is a paradigm shift that is being
20 discussed in the way newborn screening is being
21 handled. So I don't think the presumption should
22 be taken lightly that simply shifting it to the

1 public health and newborn screening level will
2 enhance the screening. I'm not saying it doesn't,
3 but I'm saying the presumption should be
4 considered.

5 MS. WICKLUND: Let me just add to one of
6 the things that came out with our discussion of the
7 evidence review committee was that when they were
8 making the prediction about the number of cases
9 that could be presented per year, a lot of that was
10 based on studies from the early 2000s, which was
11 before the implementation of the guidelines from
12 AAP, so that the actual, if universal screening was
13 adopted, that the actual incremental benefit of
14 adding -- it would be varied. It might not even be
15 the 8 to 29, but it could be even smaller than that
16 number as well.

17 DR. LU: My concern about this disconnect
18 between what we recommend and clinical standards is
19 that our recommendations could potentially impact
20 on the coverage. And now we have this problem of
21 what is considered clinical standard isn't covered.

22 And I don't know how we address those

1 questions, whether it is a conflict between our
2 recommendations and what is considered standard
3 practice.

4 CHAIRMAN BOCCHINI: That is important,
5 because I would like to know whether this is a good
6 lead-in to a discussion we're going to have this
7 afternoon about point of care screening.

8 DR. COPELAND: I have consulted with the
9 attorneys. I love that when she is sitting at the
10 table and the attorney shall remain nameless.

11 [Laughter.]

12 DR. COPELAND: The consideration was, can
13 we do anything besides yes or no, and this gets
14 back to the discussion yesterday. We can ask the
15 Secretary to make recommendations and provide
16 advice to other groups. And so that is not a
17 yes/no attitude, but we could say that this is "I'm
18 not voting," and keep that in mind, but an option
19 that, "No, we feel there is evidence at this point
20 in time that it would probably benefit from a
21 review of the guidelines," or whatever, so it
22 doesn't have to be an addition to the RUSP or no

1 addition to the RUSP.

2 CHAIRMAN BOCCHINI: Stephen?

3 DR. MCDONOUGH: When we vote, should we
4 vote by category one, two, three or four?

5 CHAIRMAN BOCCHINI: I think we will need
6 a motion for a category recommendation and then we
7 can with the motion and a second, we can go forward
8 and vote on the category.

9 Is there additional -- let us complete
10 the question, so we can then go forward.

11 DR. HOMER: This is Charlie. Are you
12 able to hear me?

13 CHAIRMAN BOCCHINI: Does someone on the
14 phone have a question?

15 DR. HOMER: Yes. This is Charlie. Are
16 you able to hear me any better?

17 CHAIRMAN BOCCHINI: Yes.

18 DR. HOMER: Good.

19 So I just want to amplify or find out
20 more about that last set of questions, because it
21 does seem to me the question of, for example,
22 whether universal newborn screening performed in

1 the hospital is covered as routine preventive
2 service benefit is a different and very important
3 question as to whether universal newborn screening
4 for hyperbilirubinemia should be performed through
5 a public-health mechanism, because I, for example,
6 believe there is sufficient evidence to recommend
7 that as a routine clinical preventive services,
8 which should be covered through the level of care.

9 I don't think it should be like the
10 congenital heart disease or hearing screening, so
11 it would help me to know what the implications are
12 of our recommendations for those two points.

13 CHAIRMAN BOCCHINI: Okay, well, I think
14 that some of the public from the public-health
15 standpoint, if we were to go forward with this
16 recommendation, we would then want to do a public-
17 health impact review before making the final
18 decision. I think that is the way we would need to
19 go on this matter, if we decided to move ahead.

20 Denise?

21 DR. DOUGHERTY: Just to confuse things
22 more, I actually had to go look at the charter for

1 the committee to see what we are really supposed to
2 be about. This may have been superseded by the
3 ACA. I don't know.

4 But it says under the objective and scope
5 activities, the committee provides advice to the
6 Secretary about aspects of newborn and childhood
7 screening, and technical information for the
8 development of policies and priorities that will
9 enhance the ability of the state and local health
10 agencies to provide for newborn and child
11 screening, counseling and healthcare services for
12 newborns and children who are at risk for heritable
13 disorders.

14 DR. COPELAND: So we can provide advice
15 to the Secretary about what we think needs to be
16 done?

17 DR. DOUGHERTY: At the state and local
18 health agency.

19 DR. COPELAND: We can provide advice.

20 DR. DOUGHERTY: But not other advice
21 around clinical standards. This seems
22 contradictory to the ACA.

1 DR. COPELAND: I think if we're going to
2 get into that, we really need to think it through.
3 We need to frame our recommendations and we can
4 circulate that. I think that is the second vote,
5 and I think all of the optics would really like to
6 be vetted before we would vote on that.

7 DR. DOUGHERTY: Absolutely. I'm not
8 suggesting we change the charter.

9 DR. COPELAND: Not the charter. I'm
10 talking about even making recommendations at that
11 level.

12 CHAIRMAN BOCCHINI: I think that the
13 thing that would be before us is the determination,
14 whether to move ahead with this nominating
15 condition. And that would be the vote we would
16 take. If there are additional recommendations that
17 might come after that, then we will certainly look
18 at those, but there are additional questions.

19 Let's go -- I think Anne had her hand up
20 first.

21 DR. COMEAU: I'm just a little concerned
22 about precedent-setting with regard to vote number

1 four and with regard to the Jeff's question, given
2 that the decision matrix was thoughtfully put out
3 about what the committee would think, how they
4 would release the recommendations based on the
5 evidence. It was never my understanding that
6 number four mean never come back. And I would
7 really hope that, especially since this particular
8 evidence review really did not evaluate public-
9 health impact, but for any condition that if they
10 were to bring new evidence that that would be
11 considered.

12 CHAIRMAN BOCCHINI: Thank you.

13 Coleen?

14 DR. BOYLE: I guess I am usually -- about
15 the point that Michael brought up. I think that is
16 an important consideration, because this is not --
17 this test or screening is not something that is
18 endorsed by U.S. Preventive Services, so I guess
19 I'm just wondering about payment relative to
20 essential services benefits package, et cetera.

21 Not that I am advocating for this, but I
22 do think we need to think it through carefully. Do

1 we take this, the next step in terms of doing a
2 public health evaluation to get a better sense of
3 cost perspective on this?

4 DR. CHEN: As I said earlier, screening
5 for bilirubinemia remains a mainstay of clinical
6 practice. I have not heard any insurers not paying
7 for screening in clinical care right now because
8 the current clinical guidelines are that clinicians
9 should decide whether or not to screen a patient
10 based on clinical considerations for
11 hyperbilirubinemia or not. So that takes it
12 outside of universal screening and actually takes
13 it outside of preventive services covered by
14 insurers, because it is a clinical medical
15 decision.

16 DR. GUTTMACHER: I apologize for a point
17 that may be more telemedicine than public health, but
18 as I look at issues three and four, thinking more
19 about the points that Jeff and Anne appropriately
20 raised, I guess I've always thought that, too, that
21 it could come back at some point. In which case,
22 then you begin to really parse what is the

1 difference between three and four.

2 To me, it is in the third column, which
3 we haven't talked about so much, the magnitude of
4 net benefit. I guess I'm not ready to say that is
5 zero or net harm. To me, it is unknown. So for
6 me, it is a pretty close call between three and
7 four. But I guess I would lean a little bit more
8 toward three, because it could come back and one of
9 the other things that is unknown to me, the
10 magnitude of the net benefit is one of those.

11 MS. WICKLUND: I think that is really --
12 well, we felt we couldn't say it is zero. We could
13 say minimal, although it is hard. There is no
14 direct evidence of measuring this prevents cases of
15 CBE.

16 I think we struggled with that, too,
17 zero. When you say sufficient evidence for zero,
18 I'm not sure we get there.

19 CHAIRMAN BOCCHINI: Jeff?

20 DR. BOTKIN: Now the committee is in
21 transition with our methodology here, but if I have
22 the sense this was moving toward a positive

1 recommendation, then I think not having the public
2 health impact assessment would be a serious
3 problem. And I would see circumstances in which we
4 might see that screening is a good idea. But the
5 public-health impact is significant, to where I'm
6 certain that we would not want to move forward at
7 that point with a positive recommendation.

8 I think the other element that makes us
9 different from other groups out there is linking
10 this to state mandates. I think sometimes we lose
11 track of the fact that states are mandating this.
12 Parents don't have a choice, so that ought to raise
13 the level of significance to a higher level than
14 may be the case in other circumstances.

15 We ought to have pretty select data to
16 make that sort of positive recommendation. But at
17 the same time, I guess in this particular field, we
18 want to make sure we express our opinions in a way
19 that doesn't imply that physicians ought to change
20 current practices, and whatever they're doing seems
21 to be working pretty well, so I don't think we want
22 to say we have evidence, they ought to stop

1 whatever they are doing.

2 So a negative implication of a negative
3 vote here would be that folks give up on a lot of
4 bilirubin screening. And maybe that is good, but I
5 don't think we know that.

6 CHAIRMAN BOCCHINI: I think again,
7 specifically, this vote is to determine whether
8 this becomes part of universal screening program,
9 so that I think that we should be very careful to
10 indicate that we are not voting against the current
11 practice for management of hyperbilirubinemia, as
12 Fred said.

13 Everybody who does primary care is taking
14 care of children who have elevated bilirubins.
15 This is a part of normal practice, common practice,
16 and there are guidelines. And we certainly don't
17 want to interfere with that.

18 So our goal is to really determine
19 whether this nominated condition belongs in the
20 universal screening program.

21 So is there additional comment? If not,
22 would you like to make a motion or would someone to

1 else do that?

2 DR. THOMPSON: So based on the discussion
3 and also our interpretation of the evidence review,
4 our suggestion is in the decision matrix, is that
5 hyperbilirubinemia to prevent CBE most
6 appropriately should be a category three.

7 DR. DOUGHERTY: Second.

8 CHAIRMAN BOCCHINI: So first I begin with
9 asking if anybody will abstain from the vote?

10 [No response.]

11 CHAIRMAN BOCCHINI: If not, we decided we
12 are going to go in backward order, okay?

13 [Laughter.]

14 CHAIRMAN BOCCHINI: I saw Don sort of
15 walking out of the room, and we wanted to make sure
16 he stayed.

17 DR. COPELAND: So National Institute of
18 Health?

19 DR. GUTTMACHER: Yes.

20 DR. COPELAND: Health Resources and
21 Services Administration?

22 DR. LU: Yes.

1 DR. COPELAND: Food and Drug

2 Administration?

3 DR. KELM: Yes, I agree.

4 DR. COPELAND: Centers for Disease

5 Control?

6 DR. BOYLE: Yes.

7 DR. COPELAND: Agency for Health Research

8 and Quality?

9 DR. DOUGHERTY: Agree.

10 DR. COPELAND: Andrea Williams?

11 MS. WILLIAMS: Agree.

12 DR. COPELAND: Cathy Wicklund?

13 MS. WICKLUND: Agreed.

14 DR. COPELAND: Alexis Thompson?

15 DR. THOMPSON: Agreed.

16 DR. COPELAND: Dietrich Matern?

17 DR. MATERN: I agree with number three.

18 DR. COPELAND: Stephen McDonough?

19 DR. MCDONOUGH: Aye.

20 DR. COPELAND: Fred Lorey?

21 DR. LOREY: Yes.

22 DR. COPELAND: Charlie Homer?

1 DR. HOMER: Agreed.

2 DR. COPELAND: Jeff Botkin?

3 DR. BOTKIN: Agreed.

4 DR. COPELAND: Joe Bocchini?

5 CHAIRMAN BOCCHINI: Agreed.

6 DR. COPELAND: Don Bailey?

7 DR. BAILEY: Agreed.

8 DR. COPELAND: Thank you.

9 CHAIRMAN BOCCHINI: Thank you all.

10 Thank you for the careful and thorough
11 review. And thank you for the comments and
12 discussion. I think it was very helpful in framing
13 the decision that the committee just made.

14 It is 11 o'clock and our plan is let's
15 take a 15 minute break and come back at 11:15.
16 We're going to take a 15 minute break and come back
17 at 11:15. Thank you.

18 [Recess.]