

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SECRETARY'S ADVISORY COMMITTEE ON
HERITABLE DISORDERS IN NEWBORNS AND CHILDREN

Thursday, May 17, 2012

AFTERNOON SESSION

1:00 p.m. – 1:55 p.m.

Hilton Alexandria Old Town Hotel
1767 King Street
Alexandria, Virginia 22314

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

APPEARANCES

COMMITTEE MEMBERS:

- JOSEPH A. BOCCHINI, JR., M.D. - Chairman
- DON BAILEY, PH.D., M.Ed
- CHARLES HOMER, M.D., M.P.H.
- STEPHEN MCDONOUGH, M.D.
- DIETRICH MATERN, M.D.
- ALEXIS THOMPSON, M.D.
- ANDREA WILLIAMSON, B.A.

EX-OFFICIO MEMBERS:

- COLEEN BOYLE, PH.D., M.S.
- SARA COPELAND, M.D.
- DENISE DOUGHERTY, PH.D.
- KELLIE KELM, PH.D.
- MICHAEL LU, M.D., M.P.H
- MELISSA PARISI, M.D.

REPRESENTATIVES

- NATASHA BONHOMME, B.A.
- FREDERICK CHEN, M.D., M.P.H., FAAFP

1 REPRESENTATIVES (continued)

2 JANE GETCHELL, DR.PH., MT (ASCP)

3 CAROL GREENE, M.D.

4 CHRISTOPHER KUS, M.D., M.P.H.

5 NANCY ROSE, M.D.

6 BETH TARINI, M.D., M.S., FAAP

7 MICHAEL WATSON, PH.D., FACMG

8 EMIL WIGODE

9 MARY WILLIS, M.D., PH.D.

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

C O N T E N T S

AGENDA ITEM	PAGE
POMPE DISCUSSION	
Public Comment	154
Nomination and Prioritization Report	
Nancy Green, M.D.	168

1 P R O C E E D I N G S

2 CHAIRMAN BOCCHINI: Let's go ahead and get
3 the afternoon session started. Okay, thank you.
4 Welcome back.

5 Next on the agenda is the second condition
6 that was nominated for consideration for moving on
7 to the Evidence Review Committee, was Pompe's
8 disease. And so in this session we're going to have
9 public comment for 15 minutes, and then follow that
10 with presentation of the summary of data and
11 recommendations of the Nomination and Prioritization
12 Committee. And then we'll move towards a decision
13 about a vote as to whether to move it forward to
14 evidence review.

15 So let's start with public comment. On
16 the list, first we have Sean Clark from the Genetic
17 and Metabolic Disease Advisory Committee.

18 MR. CLARK: Good afternoon, ladies and
19 gentlemen of the committee, and thank you for the
20 opportunity to speak to you today. My name is Sean
21 Clark, and with me today are my wife, Mary, and my
22 son, Ryan. We traveled here from Chicago.

1 We wanted to let you know that our lives
2 were really profoundly altered back in October of
3 2004 when Ryan was diagnosed with Pompe disease. He
4 was nine months old at the time, and we were told by
5 the diagnosing doctor don't have any expectations
6 for your child. This was a devastating and
7 seemingly uncaring remark, but as my wife and I
8 began to research and understand Pompe more, we
9 understood the reality behind it.

10 Thankfully Ryan was able to begin myozyme
11 infusions at Duke under the care of Dr. Kishnani,
12 but it was not until about a year after the
13 diagnosis. And although the most dire prognosis for
14 Ryan has not played out fortunately, our lives
15 nonetheless have been profoundly altered by the
16 disease.

17 Of course most significant and immediate
18 was the impact on Ryan's health and the attendant
19 need to adjust family schedules and priorities.
20 Ryan cannot run, walk long distances, and has great
21 difficulty climbing stairs. Every two weeks he has
22 to go into the hospital for his infusions. These

1 take over eight hours, and Ryan is required to miss
2 a full day of school each time. Additionally, there
3 are frequent visits to specialists and trips back to
4 Duke to monitor Ryan's health.

5 He also wears a brace for about 12 hours
6 each day to combat the scoliosis that has twisted
7 his spine. We also need to think about Ryan needing
8 a scooter any time he might encounter a walk longer
9 than a couple of blocks, such as on school field
10 trips and cub scout outings.

11 Although he is a bright, young boy, Ryan
12 is unable to participate in PE classes or other
13 sports. As you can appreciate, such physical
14 activity provides a basis for much social
15 interaction and bonding among boys Ryan's age.
16 Although he's developed good friendships at school,
17 this is an area where he often feel excluded.

18 Perhaps most hurtful is when Ryan has to
19 confront questions such as, why do you walk funny,
20 or, why do you need a scooter? Such remarks can be
21 difficult to cope for a young boy.

22 And the question that always haunts my

1 wife and me is, what if Ryan had gotten the drug
2 sooner? How many of these difficulties might not we
3 have to deal with? Ryan was born with Pompe, but
4 did not begin the myozyme treatments until he was 20
5 months of age. We firmly believe that Ryan would
6 now be a much healthier boy had he been diagnosed
7 and begun treatments much earlier in life. Perhaps
8 he'd be able to run freely with his classmates and
9 enjoy life to the fullest.

10 Given the great potential benefit that
11 newborn screening for Pompe offers, the ability to
12 profoundly change young lives, we strongly urge you
13 to approve the evidence review for Pompe and hope
14 that you ultimately decide to add Pompe to the
15 National Screening Panel. Thank you.

16 CHAIRMAN BOCCHINI: Thank you for your
17 comments.

18 MR. CLARK: My son, Ryan, for just a
19 couple of seconds here.

20 MR. RYAN CLARK: Hi. I'm Ryan Clark. I
21 came from Chicago, and I think you should vote to do
22 this.

1 (Laughter.)

2 CHAIRMAN BOCCHINI: Thank you very much.

3 (Applause.)

4 CHAIRMAN BOCCHINI: Next we have Crystal
5 Hayes, a parent.

6 MS. HAYES: Hello. I'm Crystal Hayes.

7 This is my husband, David, and our daughter, Haley.

8 We also have another daughter, Brittany, who could
9 not be with us today.

10 When Haley was six months old, she was
11 admitted to the hospital for failure to thrive.
12 Within the first week, we were told that her heart
13 was severely enlarged, barely functioning, and that
14 she was in congestive heart failure. It took a few
15 weeks to get a diagnosis, and then we were told she
16 had Pompe disease.

17 Even being a nurse, I had never heard of
18 Pompe disease before, but we quickly learned all we
19 could about it. Initially we were devastated
20 because we were told that children didn't live to be
21 one, but we were given some hope when they told us
22 that a treatment was just approved by the FDA just

1 two months prior.

2 Soon after the diagnosis, Haley was
3 started on enzyme replacement therapy, or ERT.
4 These infusions of myozyme replaced the enzyme her
5 body was missing causing the glycogen buildup in her
6 muscles and heart. Because Haley was so sick and
7 weak at the time, we didn't notice immediate
8 improvement, but fortunately within the first year
9 or treatment, her heart function was improving. By
10 the age of three, Haley's heart was basically
11 normal. She also began to make other advancements,
12 like eating on her own and moving around by
13 scooting.

14 Now Haley is six years old. She attends
15 kindergarten, and loves doing homework. She enjoys
16 other activities, like playing on the computer,
17 Skyping, and doing things outdoors like swimming.
18 She continues to get ERT infusions of myozyme weekly
19 and uses equipment, like standers, walkers, and her
20 electric and manual wheelchairs, to get around since
21 her legs are weak. She also has had several
22 surgeries, one of them on her legs, to help loosen

1 the tight muscles with the thought that it will help
2 her one day if she gets strong enough to stand.
3 While Haley has done extremely well since starting
4 infusions, she is also very weak.

5 We know that the treatment she started at
6 six and a half months of age reversed her heart
7 damage and has basically kept her alive the last six
8 years. But we also know that if she was started on
9 ERT earlier in life, that her physical disabilities
10 would not be as severe as they are now.

11 For instance, Haley does infusions with a
12 six-year-old friend, also with Pompe disease, that
13 was diagnosed before birth due to an older brother
14 passing away from the disease. His treatment was
15 started within two weeks of birth, and if you were
16 to see him, physically you would know that he had
17 Pompe disease. This stresses the importance of
18 early testing such, such as newborn screening for
19 diseases such as these.

20 Also another mom that has recently reached
21 out to me lost her daughter at four months of age,
22 and wishes more than anything in life that screening

1 was done at birth so that her daughter had a chance
2 at life.

3 Speaking for myself and all families of
4 children with Pompe disease, these are a few of the
5 reasons that Pompe disease should be added to
6 newborn screening. If a child not being able to
7 walk because of late diagnosis or a family not able
8 to see their child grow up isn't reason enough, then
9 what is? Thank you.

10 MS. HALEY HAYES: Hello. I'm Haley. I'm
11 six. Please add newborn screening to Pompe disease.
12 And you have a nice day.

13 (Applause.)

14 CHAIRMAN BOCCHINI: Thank you very much.

15 Next we have Priya Kishnani from Duke
16 University.

17 UNIDENTIFIED SPEAKER: No, Marsha
18 Zimmerman.

19 CHAIRMAN BOCCHINI: Oh, I'm sorry, Marsha
20 Zimmerman. I apologize. Acid Maltase Deficiency
21 Association. Sorry.

22 MS. ZIMMERMAN: Hello. My name is Marsha

1 Zimmerman, and I'm the Patient Advocate for the
2 AMDA. The AMDA is the patient organization here in
3 the United States, and we service 450 patients, both
4 late onset and infantile.

5 I'm here to represent the late onset
6 patient. Tiffany House is the President of the
7 AMDA, and she is a severely affected Pompe patient.

8 She is wheelchair bound. She cannot raise her
9 hands above her shoulders. She needs total care
10 from another caregiver. However, she's an amazing
11 young woman.

12 She was diagnosed in 1995 after about 13,
13 12 years, somewhere around there, of looking for
14 answers. When she was diagnosed, she was started on
15 treatment four years later. By the time she started
16 on treatment, her lung function was 20 percent of
17 normal, and they were afraid she was going to die.

18 I met her in 2001 as her research nurse.
19 I didn't know much about Pompe. I didn't know it
20 was glycogen storage. I knew that myozyme was
21 supposed to clear the glycogen from the muscles. So
22 I expected Tiffany to walk again, even though I'm a

1 nurse and I should know better. But anyway, after
2 having her as my patient for about six months, I
3 talked to the medical monitor saying, when are we
4 going to see her moving her legs? When are we going
5 to expect her to walk? And I was told by the
6 medical monitor, Marsha, she is never going to walk
7 again. Her damage is still so severe. What we're
8 hoping for is to save her life.

9 And I can just remember that day. I just
10 sat and I just cried. I thought, oh, my god. I
11 thought the treatment was going to make her walk
12 again. She will never walk again. So it is so very
13 important to diagnose these people.

14 Late onset patients, even though there is
15 an effective treatment right now, still takes five
16 to 10 years to get diagnosed. And in those five to
17 10 years, the muscle damage is irreversible. And
18 it's just so sad to know that we could stop that.
19 We could start treatment early for these patients
20 and let them have a healthy, normal life.

21 So I ask, please, please, consider putting
22 this on the newborn screening. It is so, so

1 important. Thank you.

2 CHAIRMAN BOCCHINI: Thank you very much
3 for your comments.

4 Now Dr. Kishnani.

5 DR. KISHNANI: Good afternoon. I'm Priya
6 Kishnani. I'm a clinical and biochemical geneticist
7 at Duke University Medical Center. And I've been
8 involved in the care and management of children with
9 Pompe disease for the last 21 years, so I've seen
10 the difference from when there was no therapy to now
11 with the treatment that is clearly very life-saving.

12 Also I've had the privilege of following
13 many of these children and adults with Pompe
14 disease. And I think whilst we've made a difference
15 with the advent of the therapy, we've not done the
16 complete service in the sense that because of a
17 delay in diagnosis.

18 I'm following children who are unable to
19 walk. I'm following children who will never walk.
20 And I'm following children who are on a ventilator
21 because of a delay in their diagnosis, and, hence,
22 the treatment for Pompe disease.

1 We had submitted this in 2006 for
2 consideration for newborn screening for Pompe
3 disease, and I think we had some very useful
4 comments that was provided by the committee. I
5 think from 2006 to 2012, we've tried to make
6 progress, and I think we've achieved a lot of
7 progress and tried to answer the unanswered
8 questions that had been raised at the time.

9 So one of them I think at the time was the
10 evidence of data from a newborn screening program,
11 and at that time Taiwan was in its infancy stages in
12 the newborn screening program. We now have data of
13 over six years from Taiwan showing that the false
14 positive rate is very acceptable, and also that the
15 difference, most importantly, is that these children
16 who were picked up clinically in the island versus
17 those who were treated through newborn screening,
18 there's a significant difference in the outcome with
19 those picked up by newborn screening who are now
20 walking, not on a ventilator, not in a wheelchair as
21 compared to those who were picked up clinically.

22 I think the second question that was

1 raised was about CRU-negative and what do we do with
2 them. And I took that as a very personal situation
3 that I had to try and fix having lost so many babies
4 to Pompe disease because of the rising antibody
5 titles.

6 We've made a lot of strides there both in
7 terms of making a diagnosis of CRU-negative in a
8 very timely fashion after a diagnosis of Pompe is
9 made. And most importantly now, we can abrogate the
10 immune response with simple immunomodulation. And
11 those children, our oldest cohort now is over five
12 years of age, and those children are doing very
13 well.

14 So I think we've tried to bring that to
15 the attention of the committee. Also we have the
16 package that was submitted.

17 I think the third point I want to make is
18 about late-onset Pompe disease. And whilst they
19 don't die within the first year of life, there is
20 very significant morbidity and very early mortality,
21 even for those individuals. And there's supporting
22 data for it. And as was brought about earlier,

1 Tiffany House is an example of such a situation.

2 And so I think identifying those patients,
3 once again evidence from the Taiwan group is earlier
4 treatment for those individuals has been helpful and
5 has prevented the diagnostic odyssey of over 10 plus
6 years for those who do not have a diagnosis and are
7 trying to search for one at this current time.

8 I want to close with one statement about
9 early -- the need for early intervention as a
10 treating clinician. I think the difference is not
11 just life and death. I think it's the quality of
12 life that we can afford to these children and to
13 these adults. Having a child being able to walk
14 freely, and run, and do the things that a typical
15 child does versus being in a wheelchair or on a
16 ventilator. And similarly for the adults with Pompe
17 disease, having an adequate quality of life versus
18 not being able to fly. This is an example of why
19 Tiffany House is not able to come here today is
20 because of her ventilator needs.

21 And so I do hope that we've tried to
22 address everything, and I do hope that the committee

1 finds this information useful. Thank you.

2 CHAIRMAN BOCCHINI: Thank you. And thank
3 all of you who made public comments, adults and
4 children.

5 We're now going to go to presentation of
6 the deliberations, sort of an overview, and then
7 deliberations and conclusions of the Nomination and
8 Prioritization Committee. And again, Nancy Green is
9 going to make the presentation. Nancy?

10 DR. GREEN: Thank you. Thank you very
11 much, and again thanks to the leadership of the
12 committee, and to the Nomination and Prioritization
13 Group, and for the public comments to frame this
14 presentation.

15 So I'm tempted to kind of say ditto, but I
16 won't. I think we have to consider each disorder
17 separately and the strengths and weaknesses, if any,
18 of the nomination. So that's what I'm going to
19 present. And, again, I certainly invite the other
20 members of the Nomination and Prioritization Group
21 to correct me if I've made a mistake or supplement
22 the presentation.

1 So as Priya Kishnani mentioned, this is a
2 re-review. I guess this our first -- right -- that
3 had been previously nominated, deemed not ready for
4 addition to the panel. And now this is the re-
5 review. And the understanding was that we would
6 focus on what's new for this nomination.

7 But I would like to just, if I could,
8 describe the disorder just for those of you who are
9 not -- had not dug into the nomination the first
10 time around.

11 So like MPS I, this is another lysosomal
12 storage disorder. It's a different enzyme involved
13 and different manifestations, some of which we heard
14 eloquently just now by the public comments. This is
15 alpha -- GAA, acid alpha-glucoSIDase, which
16 hydrolyzes lysosomal glycogen. And so with the
17 deficiency of that enzyme, there's accumulation in
18 muscle.

19 As you heard from some of the families,
20 there are progressive muscle disease, skeletal, and
21 in some forms cardiac. About a third of the
22 diagnosed cases have the infant form, which, like

1 MPS I, means early symptoms, aggressive symptoms,
2 cardiac involvement as well, with symptoms
3 presenting on average clinically at age two months,
4 but as you heard, with considerable and variable
5 delays in diagnosis. And there's 100 percent
6 mortality in the first year of life. The estimated
7 incidents of this disorder is 1 in 40,000, and that
8 includes the whole spectrum that we understand for
9 clinical presentation of the disorder.

10 So I'm not going to use the word
11 "attenuated" anymore. I'm going to use "early
12 onset" and "late onset" because I think that's very
13 important.

14 Okay. So, again, the infantile versus the
15 later onset. Again, the timing of onset is more
16 variable, and its impact on health and treatment
17 issues. I would just say that given the spectrum of
18 the disorder, I don't know since I'm not a clinician
19 who takes care of these patients. And we didn't
20 discuss this in the Nomination Group. I don't know
21 if four people were with the later onset how early
22 they could be detected if they had newborn screening

1 and early diagnosis. So what I'm going to talk
2 about is really clinical presentation.

3 So distinguishing the infantile from the
4 later onset is challenging. There's also a pseudo
5 deficiency, which, again, I don't have the expertise
6 to address, and maybe, Dieter, you want to comment
7 on. It's prevalent among Asian populations, and
8 that would need to be discerned from those with real
9 disease.

10 Okay. Here, too, the screening algorithm
11 has been defined by this ASMG Workgroup on LSD
12 diagnostic confirmation, and the reference is there
13 from 2011. But like the MPS I that we heard about
14 earlier today, the pre-lunch presentation, this is
15 also an enzyme-based screening and can be done by
16 fluorometry or by tandem mass spectrometry. And
17 apparently the two versions, when done in anonymous
18 pilot testing at the State level, have performed
19 similarly.

20 Also like the MPS I, the enzyme levels
21 differ by the tissue tested. So, again, whether you
22 do a muscle biopsy or lymphocytes -- perfo

1 lymphocytes. So there, too, like the MPS I, there's
2 then a need for DNA evaluation, and I'm sure Dr.
3 Greene -- the other Dr. Greene will tell you about
4 the clinical part of diagnosis, which I'm sure is
5 important, too.

6 But for the algorithm that was established
7 for Pompe is, again, first tier is the enzyme level
8 screening, and then there's a -- from what I
9 understand is a second tier, which is leukocyte
10 activity. So that would be not from the dried blood
11 spot. That would have to be a clinical testing, and
12 then followed again by DNA sequencing of the GAA
13 gene.

14 Like we heard before, there are mutations
15 that are recognized as abrogating enzyme activity,
16 and there will inevitably be, and have been reported
17 as enzymes with uncertain impact. Here, too, there
18 are going to be issues that need to be addressed
19 around the ability of States -- State labs to handle
20 the technical aspects of this. But, again, New York
21 has, I think, set a very fine example, and New York
22 is here, for Krabbe in terms of molecular diagnosis.

1 And then there's the issue of the CRIM
2 status, and I can't remember right now what CRIM
3 stands. Somebody can help me. I'm sorry?

4 UNIDENTIFIED SPEAKER: Cross reactive
5 material.

6 DR. GREEN: Cross reactive material, thank
7 you. So that's done by western blotting. And if
8 your CRIM negative, it means you have no activity.
9 And so that is a poor prognostic future for new
10 diagnosis and also, as Dr. Kishnani mentioned, for
11 people who have enzyme replacement, that they are
12 either at increased risk for developing antibodies
13 to the enzyme replacement or, in fact, have
14 developed the antibodies. So being CRIM negative is
15 another way to discern -- another level of
16 prognostic significantly for therapy.

17 So the analytic validity experience for
18 Pompe is the following: again, there's different
19 methods that have been tested, that appear to be
20 comparable in terms of detecting lower absent
21 activity of the enzyme. And hereto this has been
22 multiplexed with other lysosomal disorders.

1 So, again, looking at the data from
2 Washington State where there's piloted -- again, I
3 believe that was anonymous, yes, and with a false
4 positive rate that was .01 percent reported, so not
5 able to be clinically validated.

6 And from Illinois, there actually was a
7 letter submitted with the nomination from Barbara
8 Burton, who used to serve on this committee, in
9 February where they had screened 8,000 infants with
10 two false positives. And not surprisingly, given
11 the incident of the disorder, no true positive has
12 been found yet.

13 We have also experienced from Taiwan,
14 which is pilot data beyond the anonymous testing.
15 So this is really a live program in Taiwan now where
16 about 130,000 infants have been screened, and four
17 have been diagnosed with Pompe. And the metrics as
18 far as repeat blood testing and clinical recall rate
19 are as listed.

20 And then in Austria also, 35,000 babies
21 have been screened with a false positive rate.
22 That's actually quite a bit lower than what the

1 others have reported. So certainly with respect to
2 the maturity of pilot screening, that's certainly
3 the Taiwan data for Pompe as much because it's a
4 real program has gone beyond really what the data
5 were for MPS I.

6 Okay. So, as I said, with the clinical
7 utility, so the Taiwanese experience was that there
8 were four children who were diagnosed by newborn
9 screening within the first month of life. And then
10 I don't know if this is -- I couldn't tell from the
11 publication if this is a separate group or a
12 concomitant cohort, so I don't know. But three were
13 diagnosed clinically of that same group between
14 three and six months. So certainly the diagnosis
15 was later for those who were presented and diagnosed
16 clinically versus by newborn screening. And I can't
17 comment on the difference between four and three.

18 But, again, the impact of diagnosis on
19 therapy I think depends on the form of Pompe. And
20 the slide here says that a third of those identified
21 would benefit, but I'm not sure that that's true
22 because my guess is that the older -- those who

1 present at an older age would also benefit from the
2 enzyme replacement. And so I think the one-third
3 refers to focusing on the newborn screening aspect
4 of diagnosis and therapy.

5 And, in fact, the clinical utility of
6 children who have been diagnosed by newborn
7 screening who have the later onset, that has not
8 been -- that was not addressed by the nominator.
9 And so we did not review that literature, so I'm not
10 aware of it.

11 You know, in terms of sort of the charge
12 of the committee that we spoke about earlier today
13 and going beyond the newborn period, just something
14 to think about for the committee that since the
15 charge does go beyond newborn screening, that those
16 disorders, like Pompe or MPS I that have an
17 infantile form and a later form, this might be a
18 window to look at the impact of newborn screening on
19 later onset disorders. So just something to think
20 about. It does not bear directly on what we're
21 talking about, I think, right now, which is the
22 nomination for newborn screening.

1 So the treatments are defined protocols.
2 As you heard using enzyme replacement therapy with
3 -- and certainly with earlier diagnosis and
4 treatment that have been shown to improve clinical
5 outcomes. And there was a European consensus
6 document from 2011 that supported the benefit of
7 early diagnosis and therapy.

8 So there are some open issues around this
9 cross-reactive immunologic material or CRIM. Again,
10 those who are CRIM negative, I guess they're about
11 20 or 30 percent of those who are -- the infantile
12 form, and those have a more complex response to
13 therapy. There's data on immunologic modification,
14 et cetera, but that has to be kept in mind as far as
15 response to therapy.

16 And also there was a report of African-
17 Americans who are particularly susceptible to CRIM
18 negative, and then, as I mentioned, the
19 sensitization. So those who are absent enzyme and
20 then get replaced can develop the antibodies to --
21 sort of anti-CRIM antibodies analogous to other
22 disorders where there's absent protein and the

1 development of inhibitors in the hemophilia world.

2 So the open issues, I think, for Pompe are
3 what to do with the identification of later onset
4 cases. And, again, that's about two-thirds of those
5 anticipated to be detected by newborn screening.
6 The challenges inherent in DNA sequencing about the
7 clinical predictive value of that sequencing and the
8 technical challenges, and the potential for needing
9 to sequence family members to understand the impact
10 of particular variants on enzyme function. And,
11 again, the enzyme replacement sensitization issue.

12 So the workgroup recommendation is here.
13 This one, I think, was clearer for the workgroup.
14 So the recommendation to the committee is to move
15 forward for evidence review for Pompe, and in
16 particular consider the list of issues here which
17 have been improved since the previous nomination --
18 improved screening tests, specificity for infantile
19 form, standardized method of diagnosing, pre-
20 symptomatic infants.

21 So that gets to the issue raised in the
22 MPS I discussion about the need to have clinical

1 input for diagnosis, and certainly probably a
2 clinical algorithm for diagnosis, in addition to the
3 enzyme assays and the DNA sequencing.

4 The benefit and harm of diagnosing late
5 onset Pompe during infancy and then issues around
6 cost or cost effectiveness, public health impact,
7 impact on public health departments and newborn
8 screening programs.

9 So I open this up. Thank you. Thank you
10 very much.

11 CHAIRMAN BOCCHINI: Nancy, thank you for
12 another good presentation.

13 So this now is open for discussion by the
14 committee. Are there any questions or comments?

15 (No response.)

16 CHAIRMAN BOCCHINI: If none from the
17 committee, let's go to Carol.

18 DR. GREENE: So, great presentation, thank
19 you. And you asked if I had anything to add
20 clinically.

21 I would say that there are definitely
22 clinical criteria that we use to determine when

1 somebody should be treated. And I think it was very
2 clear that there are some ongoing research and
3 questions about DNA genotype/phenotype correlation.

4 But, again, this is a condition in which physical
5 examination, looking at cardiac echo, looking at the
6 heart -- we don't actually need a clear, clear
7 answer in the DNA to help us determine whether and
8 when to treat a child. So there's certainly
9 research still ongoing, but there's clear -- I think
10 you said it, and I just want to emphasize there's
11 clear clinical criteria.

12 And very similar to the whole cog story
13 and cancer story, people trying to make the
14 treatments better, I actually pay a little more
15 attention to this than some things because mine is
16 one of the CRIM negative -- presumably CRIM negative
17 patients who died in infancy, and we also treat some
18 adults. So it's really an amazing therapy, and
19 they're working on desensitization.

20 So I think a small majority of the infants
21 respond beautifully to treatment, and then the CRIM
22 negative ones get worse, but there are already

1 protocols to try to prevent that that are showing a
2 lot of promise. So I think clinically this has come
3 a long way.

4 CHAIRMAN BOCCHINI: Thank you. Questions?
5 Comments? All right. Oh, I'm sorry. Coleen and
6 then Dieter.

7 DR. BOYLE: So maybe just thinking about
8 the two conditions that we discussed, and maybe
9 where were previously with Pompe. The committee
10 clearly asked for more evidence, particularly around
11 the integration of the screening within the context
12 of newborn screening program, similar to what was
13 done in Taiwan.

14 And then getting back to my question that
15 I left with the last condition. You know, I guess
16 this demonstrates that, you know, now there is data
17 in place. I'm not sure it's clinical utility per
18 se, but clearly short-term follow-up for these
19 children, unless there's more in the paper that
20 wasn't provided here.

21 But I guess I think as a committee we
22 still need to wrestle with that issue, what needs to

1 be in place before we move a condition on, because I
2 feel like we are treating conditions a little bit
3 differently.

4 CHAIRMAN BOCCHINI: I think that's an
5 important question, and I think that, you know,
6 clearly we want each condition to meet whatever the
7 minimum requirements that the committee has set.
8 Obviously there's going to be some differences in
9 the condition and in all of the parameters that
10 might balance that out. But I think you're right.
11 That certainly deserves a specific discussion in
12 terms of what would be the minimum standard that
13 must be met.

14 So I think that's a good point, and we
15 need to discuss that further. I think we can do
16 that in the context of additional -- you know,
17 outside of these specific nominations. But I think
18 that's important. We need to do it.

19 Dieter?

20 DR. MATERN: Yeah. I had a question
21 actually. I don't know if someone if someone from
22 Washington State is here or whether Priya can answer

1 it.

2 In Dr. Scott's support letter, he
3 indicates the issue of pseudo deficiency in their
4 population in Washington, which appears to be an
5 Asian mutation. Priya or someone else, do you think
6 we need a second tier molecular test to do newborn
7 screening for Pompe disease?

8 DR. KISHNANI: Dieter, to your point, I
9 think as part of screening, if there is a deficiency
10 that's identified, I think as Carol Greene pointed
11 out, separating a true infantile from some other
12 pseudo deficiency is very easy. For infantile
13 Pompe, even an EKG shows it is an echocardiogram
14 where it confirms it.

15 So I think in the scheme of this disease,
16 the classic infantile form of the disease, it
17 actually presents right at birth. I mean, we have
18 data to show that. And so the presence of the
19 deficiency can easily be validated by looking at the
20 patient, but if one needs to do a second layer or a
21 second tier, one can go ahead and look for the
22 pseudo deficiency.

1 The second part that I want to clarify is
2 that the mutations are very clear for those with
3 infantile. They're deleterious or, you know,
4 they're nonsense or they're pretty well
5 characterized. And you can separate late onset from
6 infantile, classic infantile, even by notation
7 analysis and where this one followed the pseudo
8 deficiency.

9 DR. MATERN: But in newborn screening you
10 would have an abnormal enzyme activity, which is
11 low. And then we don't know, is it just looking at
12 that result. We don't know whether it's infantile,
13 late onset, or pseudo deficiency. And my concern is
14 always that I would hate to inform a family about a
15 possibility of Pompe disease. Yes, we can do an EKG
16 and we can tell them, okay, you don't have the
17 infantile form, but it will still take a week or
18 more to verify whether you have the pseudo
19 deficiency or whether you're fine or not.

20 DR. KISHNANI: So your point is well
21 taken. I think one can go ahead and then look for
22 the pseudo deficiency as a second tier if there's no

1 enzyme activity.

2 DR. MATERN: I know one can, but should
3 one?

4 DR. KISHNANI: I think, yes.

5 DR. MATERN: Because that comes back to
6 the issue of the impact on public health and the
7 cost of the screening.

8 DR. KISHNANI: Yes. And, in fact, that's
9 what's going on in Taiwan. And I wanted to add one
10 more comment for the later onset forms of the
11 disease. There is data from Taiwan where early
12 treatment has been initiated that were picked by
13 newborn screening. There are publications for that.

14 CHAIRMAN BOCCHINI: Other comments? All
15 right. If not, I would entertain a motion from the
16 committee to either accept the Nomination and
17 Prioritization Committee recommendations in
18 preparation for a vote or not.

19 DR. HOMER: So moved.

20 CHAIRMAN BOCCHINI: All right. Moved by
21 Dr. Homer. Is there a second?

22 DR. MCDONOUGH: Second.

1 CHAIRMAN BOCCHINI: Dr. McDonough. So
2 it's been moved and seconded to accept the
3 recommendations of the Nomination and Prioritization
4 Committee to move this to evidence review. And so
5 now, is there any further discussion?

6 (No response.)

7 CHAIRMAN BOCCHINI: If not, then we will
8 now move to a vote. So this time we'll start on the
9 opposite side of the alphabet and give Dr. Bailey a
10 break.

11 So first we need to know if there's
12 anybody who will abstain with this vote.

13 DR. MATERN: I'm not sure, but I think I
14 will abstain given that we do the study that
15 includes Pompe screening.

16 CHAIRMAN BOCCHINI: Okay. All right. All
17 right. So we have one abstain. Any others?

18 (No response.)

19 CHAIRMAN BOCCHINI: Okay. Andrea
20 Williams?

21 MS. WILLIAMS: Yes.

22 CHAIRMAN BOCCHINI: Alexis Thompson?

1 DR. THOMPSON: Yes.

2 CHAIRMAN BOCCHINI: Melissa Parisi?

3 DR. PARISI: Yes.

4 CHAIRMAN BOCCHINI: Steven McDonough?

5 DR. MCDONOUGH: Aye.

6 CHAIRMAN BOCCHINI: Kellie Kelm?

7 DR. KELM: Yes.

8 CHAIRMAN BOCCHINI: Oh, Michael Lu. He's
9 in dark here. I always assume that that means he
10 doesn't vote. I'm sorry. Michael Lu?

11 DR. LU: Yes.

12 CHAIRMAN BOCCHINI: Thank you. Charles
13 Homer?

14 DR. HOMER: Yes.

15 CHAIRMAN BOCCHINI: Denise Dougherty?

16 DR. DOUGHERTY: Yes.

17 CHAIRMAN BOCCHINI: Coleen Boyle?

18 DR. BOYLE: Yep.

19 CHAIRMAN BOCCHINI: I will vote yes. Don
20 Bailey?

21 DR. BAILEY: Yes.

22 CHAIRMAN BOCCHINI: All right. Thank you

1 all very much.

2 Now the committee now has an additional
3 task. Thank you, Nancy.

4 DR. GREEN: Thank you.

5 CHAIRMAN BOCCHINI: Since we have approved
6 the report to add -- to send to -- nominated
7 conditions to the evidence review committee, we now
8 have to decide which one they should look at first.
9 So I'll entertain discussion concerning which of
10 these two conditions should we consider first. And
11 I would assume that we could consider which we feel
12 based on the evidence review or the nomination
13 presentations as perhaps the most data at the
14 present time. That would lend itself to evidence
15 review and a conclusion, so that the second
16 condition additional data may evolve while the first
17 one is being studied. But with that, I'll open this
18 to discussion. Michael?

19 DR. LU: So Pompe seems to have the best
20 pilot studies available at the current time, both
21 for follow-up -- length of follow-up after treatment
22 and for population-based studies.

1 CHAIRMAN BOCCHINI: That's a good point,
2 and plus this was one that this Advisory Committee
3 had gone back and asked for additional data, which
4 we now felt has been provided so that we can enable
5 it to be moved to evidence review. So that's a good
6 point. Additional comments?

7 So would the general consensus be to move
8 Pompe first? Do we need to make that formal? No?
9 So by consensus, would there be agreement to move
10 Pompe first? Okay. Then that is done. Okay, thank
11 you.

12 Well, that will conclude this session.
13 Now shall we just review -- oh, I'm sorry. Steve?

14 DR. MCDONOUGH: I just have an
15 observation. One of the discussions that we had
16 today was a study in another country that was very
17 helpful information for us. And I would like some,
18 I guess, maybe discussion about how this committee
19 can accelerate the process, help prioritize the
20 process, get feedback on how pilot studies are done
21 in this country, what is the mechanism.

22 If we are going to not approve anything

1 until we have prospective studies done and there's
2 no funding for studies or studies aren't going to be
3 done in this country, then we'll be relying on other
4 countries to do them, or we won't have a lot to do,
5 and we'll get involved in issues outside them. I'm
6 not sure what the -- I need to be educated because
7 I'm new to the committee, about it.

8 But it seems to me that it's something I'd
9 like to have some dialogue on. I mean, how does
10 that work? Is it happenstance? Is it who you know
11 and which State -- yeah. How does that work?

12 CHAIRMAN BOCCHINI: Well, I think that
13 dovetails very nicely into Coleen's question earlier
14 about how much of that -- whether we should have
15 very specific guides in terms of how much pilot data
16 or what kind of pilot data needs to be available.
17 So I think that fits very well in terms of would we
18 accept on a regular basis or how data from other
19 countries and how to look at that. So I think
20 that's a good set of discussion.

21 We'll determine whether that becomes
22 something that would be of value in a subcommittee

1 first, or to develop an ad hoc subcommittee to look
2 at that, or whether that would just come forward as
3 a discussion of the entire committee. So I think
4 that's a good -- we need to go forward with that.

5 But it fits very well with what Coleen had
6 suggested earlier. So we'll definitely look at how
7 the best way to do that would be.

8 Any other comments? Carol?

9 DR. GREENE: This is possibly out of
10 order, but what the committee might want to --
11 before we all go into the subcommittee meetings,
12 there was some discussion this morning in each of
13 the presentations about -- a lot of looking like
14 nods of heads do we want to stay restricted to
15 newborn screening, or do we want the subcommittees
16 to think about looking at things beyond newborn
17 screening. And it would be helpful to have some
18 guidance before we head into our afternoon meetings
19 and spend a lot of time fine-tuning priorities where
20 there was a lot of -- anyway. Can we discuss it, or
21 is that out of order?

22 CHAIRMAN BOCCHINI: It's certainly not out

1 of order. We can discuss that.

2 I think it would be good for us to take
3 that more in a formal way and sort of think about
4 that, and bring it forward with some background
5 materials and other things, and potential impact of
6 moving ahead. And so maybe it would be better to
7 sort of schedule that in a way that we could have a
8 more complete discussion.

9 I certainly have no problem with, as the
10 subcommittees meet to sort of add that to their
11 agendas in terms of what that mean, and sort of get
12 that started. But then I think coming back with
13 that, we can then go forward to sort of look at a
14 more definitive plan. Sound reasonable? Okay, all
15 right.

16 Other comments?

17 (No response.)

18 CHAIRMAN BOCCHINI: Okay. So just to
19 remind everybody, the subcommittees will meet
20 beginning at 2:00. Laboratory Standards and
21 Procedures will meet in the Madison Room on the
22 second floor. Follow-up and Treatment meets here in

1 the main ballroom. And the Education and Training
2 Committee meets in the -- I guess they combined the
3 Washington and Jefferson Room up on the second
4 floor. And so they'll meet from 2:00 to 5:00.

5 And then those of you who have signed up
6 for dinner tonight, it's at 6:30 at the
7 Charterhouse. And it's about a mile walk if anybody
8 wants to walk. So I think for those who wish to
9 walk, maybe we could meet at about 5:45 or, I guess,
10 6:00 in the lobby? And then if not, those who don't
11 wish to walk, we could just meet the rest of the
12 group at the restaurant.

13 And then two more announcements?

14 DR. COPELAND: So, first off, the
15 subcommittee meetings are open to the public. So if
16 there's one that strikes your fancy, feel free to
17 attend.

18 And the second thing is, for the chairs
19 and the HRSA staff, your charge is to come up with a
20 slide for Joe tomorrow that has your top three
21 priorities and possible concrete projects that your
22 subcommittee would like to work on over the course

1 of this next year. So you've got homework.

2 CHAIRMAN BOCCHINI: Okay. And that'll

3 conclude the session. Thank you all very much.

4 (Whereupon, at 1:55 p.m., the meeting was

5 adjourned.)

6

7

8