

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

SECRETARY'S ADVISORY COMMITTEE ON
HERITABLE DISORDERS IN NEWBORNS AND CHILDREN

Friday, May 18, 2012

AFTERNOON SESSION

1:00 p.m. - 2:50 p.m.

Hilton Alexandria Old Town Hotel

1767 King Street

Alexandria, Virginia 22314

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

APPEARANCES

COMMITTEE MEMBERS:

- JOSEPH A. BOCCHINI, JR., M.D. - Chairman
- DON BAILEY, PH.D., M.Ed
- CHARLES HOMER, M.D., M.P.H.
- STEPHEN MCDONOUGH, M.D.
- DIETRICH MATERN, M.D.
- ALEXIS THOMPSON, M.D.
- ANDREA WILLIAMSON, B.A.

EX-OFFICIO MEMBERS:

- COLEEN BOYLE, PH.D., M.S.
- SARA COPELAND, M.D.
- DENISE DOUGHERTY, PH.D.
- KELLIE KELM, PH.D.
- MICHAEL LU, M.D., M.P.H
- MELISSA PARISI, M.D.

REPRESENTATIVES

- NATASHA BONHOMME, B.A.
- FREDERICK CHEN, M.D., M.PH., FAAFP

1 REPRESENTATIVES (continued)

2 JANE GETCHELL, DR.PH., MT (ASCP)

3 CAROL GREENE, M.D.

4 BENNETT LAVENSTEIN, M.D.

5 NANCY ROSE, M.D.

6 BETH TARINI, M.D., M.S., FAAP

7 EMIL WIGODE

8 MARY WILLIS, M.D., PH.D.

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21

C O N T E N T S

AGENDA ITEM	PAGE
NBS Awareness Campaign Strategy Summit Summary Don Bailey, Ph.D., M.Ed.	174
Condition Review Process Report Update Alex Kemper, M.D., M.P.H., M.S.	208
PERTINENT MEETING UPDATES	
NIH Initiatives Kathryn Camp, M.S., R.D. CSP Melissa A. Parisi, M.D., Ph.D.	239 253
ADJOURNMENT	270

1 P R O C E E D I N G S

2 CHAIRMAN BOCCHINI: All right. If
3 everyone will take their seats, we'll go ahead and
4 get the afternoon session started.

5 All right. We're ready to start the
6 afternoon session. And first we're going to bring
7 Don Bailey back up, and he'll give us further
8 details about the newborn screening awareness
9 strategy summit and what's come from that. So, Don?

10 DR. BAILEY: Okay. Well, I'll try to make
11 this relatively brief. But I'd like to do two
12 things, is summarize what happened in our meeting at
13 the strategy summit two or three weeks ago, and then
14 give more details about what CDC and APHL are
15 planning, and how those two things fit together, and
16 where in some cases they're different, and talk
17 about how going forward the committee can be
18 involved in certain things.

19 So just to give a little bit of background
20 to everybody in this room, we know this, that
21 newborn screening is rapidly changing. If you look
22 at the number of conditions screened by States in

1 2001 and 2012, then enormous changes. And all of us
2 have been a part of those changes, and we know all
3 the details behind why this is happening, and why it
4 hasn't happened, and the challenges and so forth.

5 So, like I said, we all know this, and for
6 us this is our world. But for the rest of the
7 world, they have no clue to a large extent about all
8 this. And so we've got a big disconnect between
9 what we know and how much we're engaged and what the
10 public knows, and et cetera.

11 So if we think about public awareness and
12 you think about all the different professional
13 groups, every one of them have statements, or
14 practice recommendations, or suggestions for people
15 in their disciplines, so ACOG, the pediatrics,
16 Institute of Medicine, American College of Medical
17 Genetics, APHL, Maternal Child Health Bureau, CDC,
18 and we could go on and on. Just about everybody
19 says educating expectant parents about newborn
20 screening is an important endeavor. But just like
21 when we had the discussion earlier about everybody
22 says family-centered care is good, but doing family-

1 centered care is a very different thing. It's the
2 same thing here. Implementing these recommendations
3 is highly variable.

4 This is not news to people in this room.
5 Research consistently shows that many parents, if
6 not most parents, are generally unaware of newborn
7 screening. And there have been a couple of studies
8 showing this is especially true for minority and
9 low-income families. And those that are aware,
10 usually they call it the heel stick or the PKU test,
11 something like that. They have no clue about the
12 enormity of the range of conditions, the reasons why
13 different conditions are chosen and so forth. And,
14 of course, I don't think it would be a reasonable
15 expectation for us to assure that every parent
16 understood all 50-something conditions. We're not
17 looking at something like that.

18 Now clearly there is lots of information
19 on the web. If you Google newborn screening, you
20 can get all kinds of sources of information, so it's
21 not that information is not out there, but you have
22 to go to those sites. You have to want to get that

1 information to understand and to find out about it.

2 And so most parents wouldn't think to, well, hmm,
3 I'm pregnant, I'm going to go have a baby. Maybe I
4 should Google newborn screening. People just don't
5 do that. So we need to be more proactive.

6 Almost every State has some kind of
7 brochure describing the newborn screening program.
8 This is the brochure from North Carolina. But I
9 think most research shows that they're generally not
10 read, that some studies have looked at readability
11 of these brochures, and I would say a lot of them
12 are suboptimal in terms of readability. And often
13 the brochure gets lost in the packet. It comes with
14 a lot of other materials that parents get either in
15 the hospital or in the OB clinic. And so wading
16 through everything and finding the things that are
17 most important, you're going to focus on things like
18 breast feeding, or, you know, what are the things
19 I'm going to have to deal with, or getting my baby
20 to sleep.

21 And the newborn screening program, I mean,
22 this does say a test to save your baby's life. So

1 for some people, they'll pay attention to that, but
2 to read it and really understand it, it's not
3 happening, and we know that.

4 So in terms of public acceptance, I think
5 clearly despite this lack of unawareness, the
6 screening is mandatory in most States. Very few
7 parents object to screening. We had a little report
8 yesterday showing that probably 99 percent of
9 families across the Nation participate in screening.

10 And so the lack of awareness -- despite lack of
11 awareness, studies show high levels of public
12 acceptance.

13 Now as we move forward in the future, for
14 example, in our newborn screening study, when
15 there's not a clear treatment and you're disclosing
16 carrier status and so forth, as information becomes
17 more uncertain with less clear benefit, I think
18 we'll see more variation in public acceptance of
19 screening, and that's in one way why we keep
20 screening the way it is. We have pretty
21 conservative criteria about what we include in the
22 screening programs because we want to make sure that

1 in the vast majority of cases those are conditions
2 that most people would say, well, yeah, as a public.
3 This is, of course, important.

4 So do we have a problem? Well, in some
5 ways you could say we don't. Everybody's getting
6 screened, we don't have a problem. But the studies
7 do show that most parents say when you ask them,
8 they don't know about newborn screening, but they
9 would like to know more about it, and they would
10 like to know about it sooner.

11 Clearly the distribution of materials
12 depends on the provider and on the settings, and so
13 the role of the providers is going to be critical in
14 all of this. So, like I said, things are going
15 pretty well. But controversies over dried blood
16 spot storage after newborn screening and other kinds
17 of potential controversies in the future actually
18 have the potential to spill over and affect the
19 newborn screening program.

20 And so I think one of the goals in an
21 awareness campaign would be to not only increase
22 awareness, but to increase acceptance. We can't get

1 much higher than 99 percent, but to make sure that
2 the acceptance rate doesn't go down as a part of --
3 as a result of perhaps some of these controversies.

4 So HRSA contracted with Porter Novelli to
5 do a media scan, and this was done and reported
6 earlier looking at kind of what's out there in terms
7 of the kinds of information that parents could get.

8 And then Porter Novelli helped organize
9 this newborn screening awareness campaign strategy
10 summit. And the goals here were to consider
11 strategies for improving parent awareness and
12 continued support for newborn screening, to consider
13 strategies for expanding active provider involvement
14 in newborn screening. And in all these cases think
15 about, as I mentioned this morning, the focus, the
16 audience, and the messages. To provide input for
17 CDC and APHL to continue planning their 2013 50th
18 anniversary campaign. And then for us primarily as
19 the Education and Training Committee to think beyond
20 the campaign to other kinds of awareness goals and
21 strategies as they might pertain to our mission.

22 So partners both within and outside of

1 newborn screening were convened to plan this
2 campaign. So this was, like we said, people who are
3 kind of in the newborn screening community and
4 representatives of some advocacy groups, but also
5 people who had done other -- maybe were in health
6 communications or had done other kinds of public
7 awareness campaigns not directly related to newborn
8 screening, but we wanted to draw on their
9 experiences for what an effective campaign might be.

10 So we talked a lot about, well, what would
11 be the goal in this campaign, and so this is an
12 example of a goal that we came up with. The
13 ultimate goals are to inform and educate the public
14 about newborn screening in order to maintain and/or
15 increase understanding, acceptance, and follow-up of
16 newborn screening among parents. And the follow-up
17 piece is important in this, too, if we're having
18 families not coming back in for follow-up, increased
19 awareness of newborn screening could help maybe
20 promote that.

21 So, of course, there are a variety of
22 potential audiences. We've got expectant parents.

1 We've got healthcare professionals. We've got
2 policymakers and the general public. And so can one
3 campaign or even one set of activities address all
4 these audiences, and clearly not. So these are
5 probably going to require some targeted messaging
6 and targeted strategies in a variety of different
7 ways.

8 And one of the things that Porter Novelli
9 helped us think through was, okay, what are we
10 asking each of those groups to do? At the end of
11 the awareness campaign, what would happen? What
12 would we want parents to do? So, for example, we
13 might want expectant parents to ask about screening
14 and the results of it. We might want healthcare
15 professionals to talk more with parents and to
16 promote the benefits of newborn screening. We might
17 want -- I mean, we would all want these things. So
18 policymakers, we would hope that that they would
19 support appropriation screening decisions in their
20 State. We would want the general public, even
21 people who are not parents, expectant parents, to
22 still be aware of newborn screening and support it

1 as a public endeavor.

2 So Porter Novelli also thought about kind
3 of thinking about parents, or professionals, or
4 really any of these audiences, a continuum of what
5 you might think about would be an outcome. And I
6 think one of the points they made from this is you
7 can't go from -- expect a simple -- one campaign to
8 jump people from the beginning point in the
9 continuum. For example, you probably can't read
10 this in the back, but this says, a parent who says
11 I've never heard of newborn screening, and I don't
12 really know what it's about. And at the other end
13 of the continuum is I know now that it's important
14 to ask my baby's pediatrician to review the results
15 with me, and if the test reveals anything, I need to
16 follow-up on it. I will get a referral for further
17 testing.

18 These are just examples of kind of the
19 steps that people might move towards. And so it
20 wouldn't be realistic necessarily to expect people
21 to jump from one end to the other and sort of think
22 about where's the public in general? Where are

1 practitioners in general? What would be the next
2 step that we could most logically expect?

3 So for parents, of course, and I'll go
4 through these fairly quickly, we would like for them
5 to know the value of the program in general, that it
6 saves lives by detecting problems earlier and
7 getting babies to appropriate care, and then value
8 to them specifically. Your child is going to get a
9 health checkup at birth. Make sure you ask the
10 doctor for the results. Or you know about your
11 baby's height and weight. Do you know about your
12 baby's newborn screening results?

13 These were not goals that we set, but
14 these were more examples of goals that we could set
15 as a part of a campaign, and all the kinds of things
16 that you would need to think about. So if you
17 really wanted parents to ask Steve or Beth or their
18 pediatrician about their newborn screening results,
19 then you would target a campaign specifically to
20 that as opposed to if you just wanted parents to
21 know that screening existed.

22 Also parents could learn about early

1 detection and early treatment. I think in the
2 interest of time, we'll make these slides available
3 to everyone, but I won't read through everything.

4 The same thing with help professionals.
5 We, of course, would like for them to all know the
6 value of the program, know the value of talking to
7 patients about it.

8 And so we spent quite a bit of time in the
9 strategy session talking about those potential
10 messages and potential outcomes for any kind of a
11 campaign, whether it be, you know, directed towards
12 the media, or whether it be directed towards
13 families in an OB office.

14 So Porter Novelli drew heavily on their
15 experience in messaging and health campaigns in
16 general, as well as the expertise of people in the
17 group to suggest that, you know, for a truly
18 effective campaign, what you need to do is develop a
19 logic model with a strategic visual that talks about
20 the resources, the activities, the outcomes, and the
21 goals, that you really need to do audience testing
22 of finding out whether it's, again, pediatricians or

1 parents or the general public, how do they think
2 about these things, what kinds of information would
3 they like. And then, of course, baseline data.
4 What is it we're trying to change, and how can we
5 measure that would be an important thing to do think
6 about, so it's identified baseline data to collect
7 for evaluation.

8 Then the next step would be to define a
9 specific call to action for each prioritized
10 audience, refine that definition for audiences for
11 greater specificity of messages, and as you can see
12 in different kind of audience segments.

13 Same thing with messaging then. So think
14 about the overall -- of course the big picture
15 messages. This is a great program. It's done great
16 things for children. But then there are subtexts
17 and sub-messages that need to be targeted for
18 different audiences and the roles that we would want
19 to expect from them. And in the ideal world, you
20 would want to do formal testing of these messages to
21 see if whatever strategies you're using gets those
22 messages across.

1 So that really helped us, I think, think
2 about, in some ways, the enormity of the task and
3 what we're trying to accomplish here. But also the
4 importance of trying to get a real focus, and not
5 try to change the world necessarily, but to try to
6 say, okay, what are the immediate and the long-term
7 things that could be done? And I'll come back to
8 some summary statements around that in a minute.

9 So then following yesterday the
10 presentation from Porter Novelli about the summary
11 of this strategy session. And then Carla Cuthbert
12 from the CDC talked about, and we had a little
13 preview of this this morning, next year's 50-year
14 newborn screening campaign, which is a joint
15 activity of the CDC and APHL. But we've had a
16 number of conversations since yesterday about
17 coordinating and collaborating with the committee
18 and certainly with the education and training
19 subcommittee.

20 So the audience for this campaign that
21 Carla, and Jelili, and their group are planning on
22 is broad. The potential audiences are the ones we

1 mentioned already: expectant parents and families,
2 healthcare providers, policymakers, State and
3 national media. And clearly they are still in the
4 process of finalizing this and narrowing it down and
5 seeking different funding sources.

6 The final audience choices and activities
7 will be heavily depending -- I'm sorry for the typo
8 there -- heavily dependent on available funding. I
9 think you'll see from the next few slides that the
10 policymakers at State and national media are going
11 to be key recipients of this. Healthcare providers
12 will be key recipients. Whether and how expectant
13 parents and families are will be determined as they
14 go forward in this planning, but clearly we think
15 that from our perspective, the Education and
16 Training Subcommittee, that's going to be a primary
17 target audience for us.

18 So one of the big activities next year
19 will be combined -- APHL every, I think, 18 months
20 has a newborn screening and genetic testing
21 symposium. And so this year it's going to be held
22 jointly with the International Society for Neonatal

1 Screening. And it's planned for -- the dates have
2 now been set. It's May the 5th through the 10th,
3 2013, and it'll be hosted in Atlanta. So this will
4 be a great opportunity, and so we want to encourage
5 all the -- I think when we were sending out dates
6 for the Advisory Board meetings for next year, this
7 was one of the windows of time we said, no, let's
8 make sure we're available for this. Now maybe that
9 was a strategy mistake. Maybe we should've had it
10 during this time. But I actually think if we can
11 have our -- I think separating the two, by us coming
12 to this meeting, being able to focus on the meeting
13 and not being focused on these decisions would be a
14 good thing.

15 The focus will capture the 50th
16 anniversary and, of course, include site visits to
17 the Georgia State and Public Health Lab and the CDC.
18 And the planning for that has already started, but
19 it will begin in earnest in June once APHL finishes
20 their current annual meeting.

21 In addition, there will be an exhibit that
22 the CDC is planning, which includes various newborn

1 screening artifacts and historical memorabilia.

2 Carla said they're exciting to her.

3 (Laughter.)

4 UNIDENTIFIED SPEAKER: Maybe we could have
5 the 50-year old blood spot.

6 (Laughter.)

7 DR. BAILEY: Yeah, maybe we could have the
8 50-year old blood spot. That's a great idea.

9 But actually I think this could be done in
10 kind of an interesting way. The goal here would be
11 to have something that could be customized and made
12 transportable to a variety of different relevant
13 meetings. And so that's something they're planning
14 on doing.

15 There'll also be a celebration book with
16 some interactive media, and this could highlight the
17 story of newborn screening. It would heavily be
18 focused on patient and patient perspectives. This
19 one would be targeted to the general public and
20 legislative decision makers. You know, how fancy
21 this can be and whether it's in an app or color and
22 so forth is going to heavily depend again on funding

1 sources and needs, and also depend on the final
2 campaign themes and messaging.

3 Then as Steve was mentioning earlier this
4 morning, and Jelili mentioned it in his comments,
5 there's going to be a commemorative event planned
6 here in the D.C. area probably -- certainly in the
7 fall, probably in October or November. And Jelili
8 indicated it could be -- they're a little flexible
9 on the timing right now. There'll be a lot of
10 things that we'll have to think about in all that.

11 But this would be more targeted towards
12 legislative decision makers, but the scope and the
13 themes of the event are being defined, you know,
14 who's coming, what would this event look like, et
15 cetera. I think some of the parents in the group
16 said this will be a great day for different advocacy
17 groups to bring their children and to make visits to
18 their congressmen and women. It would be an
19 opportunity to invite some high profile speakers.

20 These kinds of things take enormous
21 planning, and they all have to kind of fit together,
22 and you have to figure out how would you get the

1 media. What would it take to get the media to come
2 to an event like this?

3 So we've got a great story to tell for
4 sure, and the question is how can we tell this story
5 in a way that captures big media attention at this
6 time in an appropriate way? And what's the role of
7 our committee in that?

8 And so, again, we've had some discussions
9 back and forth, and Carla, and Jelili, and the whole
10 team have agreed that I will be involved in monthly
11 calls and discussions with them to talk about both
12 this event and the other activities so that we're
13 not trying to direct it or control it, but just to
14 keep having input so that we'll know, and we can
15 provide input on things.

16 They're also planning a variety of
17 different public service announcements, and the
18 boundaries of this are unlimited. And, again,
19 depending on what messages, and strategies, and
20 funds. You know, the public service TV
21 announcements cost a lot of money, and so is this
22 where we want to invest funds? But there could be a

1 variety of things around raising awareness, calls to
2 action, et cetera.

3 So Carla concluded her presentation with a
4 list of the some of the ideas and themes that are
5 driving their thinking about this right now, and
6 some kind of themes whatever they do, whether it's a
7 television spot or a commemorative event, that these
8 would be kind of messages that we want to get
9 across.

10 The newborn screening is a system, and it
11 does all these things. It detects, prevents, it
12 protects. It's not just getting the blood spot.
13 That it really should be considered a standard of
14 care. It's one of the many tests and evaluations
15 performed on newborns. It's a part of the variety
16 of things that are done. But it's what done. It's
17 like when I go in for an annual checkup, I get a
18 cholesterol test. It's just what you do. And
19 newborn screening hopefully can be viewed in that
20 something. It's just something we do as a standard
21 of care. And that it has an impact. It's not
22 something we do that doesn't have any consequences.

1 It has very real consequences for newborns and for
2 families and communities.

3 Hopefully the campaign would help equip
4 parents with questions that they should ask their
5 provider, and correspondingly equip physicians with,
6 I don't know, message cards, but certainly key
7 messages that we can all send about newborn
8 screening programs, regardless of the context in
9 which we are interacting with children and with
10 families.

11 Good data is always good, and so the more
12 we can have some numbers that are not, you know,
13 complex statistics, but some high-level numbers that
14 can show benefit and impact in a positive way, those
15 data will be very, very important.

16 So some examples of messages in newborn
17 screening is your Baby's First Test. Twelve
18 thousand newborns identified in 2009. Newborn
19 screening is part of routine care for your baby.
20 Expect it and become informed.

21 So just to summarize all of this then.
22 So, you know, I think we all can agree that

1 awareness about newborn screening is low among
2 virtually any audience you can think of. Parents,
3 when asked, generally say that they would like more
4 information about newborn screening, but I think
5 they are not going to take an active role in seeking
6 that out. So we need to be figuring that out and
7 ways that we can do it.

8 I must say that there are a few people,
9 and this is not a common theme, but there are some
10 people that have raised concern about an awareness
11 campaign. They say, well, you know, if we start
12 raising awareness about newborn screening too much,
13 maybe people would start knowing what we're doing,
14 and maybe they wouldn't want it. Well, that's
15 certainly a possibility. And I think to our
16 committee and everybody who's involved with this at
17 the planning level, the benefits of increased
18 awareness, and hopefully the real benefit would be
19 an informed acceptance of newborn screening rather
20 than passive or unknown acceptance. That's really
21 what we'd like to have. Probably outweigh the
22 potential costs of increased awareness.

1 So how we go about these campaigns will be
2 really important. We've got lots of ideas and big
3 plans for 2013. Hopefully we can build in some
4 evaluation of these efforts to determine whether and
5 how they've been effective. But clearly the
6 campaign is just at the beginning, and so we see
7 awareness as a long-term goal for our subcommittee
8 and I think for this committee as a whole for the
9 foreseeable future.

10 So hopefully that wasn't too long, but
11 that's a quick summary of several -- many days of
12 work.

13 CHAIRMAN BOCCHINI: That was a really
14 great summary, Don. Thank you very much. I think
15 it's very clear that the efforts that the committee
16 started to assess awareness are sort of coming to
17 the point of understanding where the gaps are at the
18 same time that we have an opportunity to celebrate
19 50 years of newborn screening. So I think the
20 timing brings these two together very nicely.

21 Questions or comments from the committee?

22 (No response.)

1 CHAIRMAN BOCCHINI: Okay. None from the
2 committee or liaisons? Go ahead. We'll take a
3 couple from the microphone.

4 MS. HARRISON: I'm Katharine Harrison, the
5 project director for the New York Mid-Atlantic
6 Consortium. And obviously I'm so pro-newborn
7 screening, it's amazing. And we do identify 12,000
8 infant newborns a year with one of these diseases.

9 But as Sue Berry pointed out so eloquently
10 earlier, that's not the end of the odyssey for them.

11 And when we say we identify them, we say -- and I
12 don't want to, you know, put any damper on this
13 celebration, which is amazing. We have to make sure
14 everybody's aware that these kids now need constant
15 care and treatment that's got to be paid for.

16 DR. BAILEY: I think you're right. We
17 don't want to -- I mean, so there'll be thinking
18 about this throughout the campaign. But we want to
19 send a message this is a great program. It's been
20 highly successful. But we also want people to know
21 that it needs more support to really to see that the
22 desired benefits actually come to fruition for

1 everyone. So thank you.

2 CHAIRMAN BOCCHINI: Nancy?

3 DR. GREEN: Nancy Green. So, Don, that
4 was really fantastic. And having been associated
5 with this committee since the beginning, we've been
6 talking about a campaign since I think 2005. And so
7 it's really just very gratifying to see how far this
8 has gone and how well organized, and, you know,
9 working with Porter Novelli is quite fantastic. So
10 really, congratulations. Long time coming.

11 So I'm going to just suggest something
12 that will probably make you roll your eyes. But I
13 think that newborn screening, that terminology --
14 I've been doing a lot of work in March of Dimes days
15 and now in my current work about what catches on
16 with the public. And I think newborn screening
17 doesn't do it for the public, I'm sorry.

18 And so because screening is a dead word.
19 I don't mean that in terms of life or death. But,
20 you know, it's where society uses baseball
21 metaphors, more metaphors, et cetera. And I just
22 think that, you know, there was a reason that even

1 the nurses in the nurseries say that PKU test --
2 "PKU" doesn't roll off the tongue, but they can deal
3 with that test.

4 And so I'm just going to suggest that you
5 think about the public name. And I also learned
6 working with Porter Novelli when I was at March of
7 Dimes, is that you can call it one thing to one
8 audience. It doesn't necessarily in your lingo have
9 to be the same, which, to me, that was an epiphany.
10 I thought it all had to be the same.

11 So I'm not smart enough or creative enough
12 to think about what that would be for parents. And
13 if they're still in the focus group phase, it may be
14 worth capturing a better name. Whether it even
15 becomes "newborn test," I don't know. And
16 certainly, you know, as we think forward about point
17 of care screening, we want all of that to be part of
18 it. So the blood spot test is the other thing that
19 people call it. So just sort of think about that.

20 And then the other side of -- the other
21 half of my sentence is when you say 12,000 babies
22 are identified a year, I'm sorry. The March of

1 Dimes says 12,000 babies are saved each year, and
2 that's a much more powerful, for everybody, public
3 message.

4 And so, you know, again, Porter Novelli is
5 very sophisticated and understands about immediacy
6 in a crowded media market, but I just would like to
7 offer those comments. Thank you.

8 DR. BAILEY: So I did not roll my eyes.

9 (Laughter.)

10 DR. BAILEY: Those are excellent points,
11 Nancy. And so I think the key question is, will we
12 have the resources to do the messaging, and the
13 strategizing, and the focusing, and the message
14 testing. We're finished with our contract with
15 Porter Novelli, and so that'll be a challenge for
16 us. But nonetheless, well taken.

17 DR. GREEN: So the Genetic Alliance may be
18 able to help you with this because of their previous
19 and current work with connecting with the public.

20 DR. BAILEY: I'm sorry, who could?

21 DR. GREEN: The Genetic Alliance.

22 DR. BAILEY: Oh, yes. Oh, yes. Of

1 course.

2 MS. WEES: In an effort to save you some
3 money, what about for the parents My Baby's First
4 Test as the tagline or what to call it, because that
5 rings true that you're not talking about baby blood
6 spots, but you've had the general heart and hearing
7 as well. It's a catchy title, and when people hear,
8 well, what is My Baby's First Test, it makes parents
9 stop and think?

10 DR. BAILEY: I think Baby's First Test is
11 a great, simple description. And whether that's
12 going to be the ultimate theme or not, I don't know.
13 And I think that'll in part depend on how CDC and
14 APHL want to frame that particular part of the
15 campaign.

16 There's a part of me that doesn't want to
17 lose the newborn screening word because I want to
18 make sure people still understand it because that
19 phrase will be used in every other kind of context.

20 But it could be that they're together, they're
21 paired together quite a bit. Carla?

22 DR. CUTHBERT: We won't take anything off

1 the table. I'm Carla Cuthbert from CDC. And to
2 respond to Carol, we actually -- Nancy, I'm sorry.
3 We did look at a number of different ways of
4 phrasing it. We looked at "saved" and "benefit" and
5 so on, but you have to be able to prove that every
6 single child identified is saved or benefits.

7 And if you can do that, then that's great.

8 That's why we actually chose "identified" because
9 we have to be able to support with the outcome --
10 some sort of outcomes analysis for that.

11 But those words sound better if you're
12 trying to sell and if you're trying to actually get
13 people excited about this. And I do acknowledge
14 what you're saying, but we do have to be careful.

15 MS. MERRITT: Jill Merritt with APHL. And
16 this is exactly one of the issues. Working with
17 scientists is one thing, and working with the
18 general public is another. So we'll have to tread
19 carefully, but reasonably.

20 The public is not going to understand that
21 this is a system that we are promoting awareness
22 about. That's a dead thing. But we can, in fact,

1 say this is the first -- this screen is the first,
2 you know, part in a link of help. We'll think of --
3 we'll do some clever messaging. But that's going to
4 be the real tuck in terms of partnering with CDC and
5 being a scientific organization.

6 CHAIRMAN BOCCHINI: Thank you.

7 MS. MERRITT: I also just wanted to add
8 one thing, that we really are hoping to make this a
9 local celebration as well. We're going to create a
10 set of exhibits that go out to at least 10 States,
11 and have lab days where the public can come in and
12 learn about newborn screening, how it happens, the
13 whole process. And hopefully invite the local
14 politicians to that so we can get an understanding on
15 their part of who's interested in this.

16 DR. BAILEY: So I think that's a really
17 good point and a great activity because newborn
18 screening is under the purview of State health
19 departments, and States legislators make many more
20 decisions about newborn screening, or the health
21 departments do, than the Federal government and
22 Federal legislators. We have important advisory

1 roles, but what will happen in next fall, it will be
2 ideal if that were replicated 50-something times.

3 CHAIRMAN BOCCHINI: So one last comment
4 from the microphone.

5 MS. MCCORMICK: Christine McCormick. I'm
6 a parent advocate. I just want to reiterate a point
7 that was brought in the Education and Training
8 session yesterday, that the biggest force you're
9 going to have to spread awareness is the families
10 that have been there like me, talking mother to
11 mother. And I think it would be smart to identify
12 families who are willing to do that.

13 For instance, my daughter died of CCHD,
14 and I went on a mission to spread awareness of pulse
15 ox. And through that mission, thousands of moms
16 know the word "pulse ox" now and got their children
17 screened. So working mother to mother is a smart
18 move for all of us. Thank you.

19 CHAIRMAN BOCCHINI: Thank you. Coleen?

20 DR. BOYLE: So two ideas. One that Carla
21 and I talked about, and it's the world I have some
22 control over for complementing the 50th anniversary

1 and not as to maybe do a special supplement of the
2 MMWR with a series of articles, you know,
3 highlighting different aspects of newborn screening.
4 So maybe just following up on that idea.

5 And then the other one was just thinking
6 about what was done at the Smithsonian for smallpox.

7 So there was a special exhibit on smallpox in the
8 Smithsonian. So, you know, thinking kind of really
9 big here. Is it possible to get private donors to
10 think about doing something comparable? I mean,
11 talk about bringing it to the public in terms of the
12 achievements for newborn screening.

13 It may not be right for the 50th because
14 it's obviously next year, but thinking longer term
15 of reaching out to some private donors.

16 DR. BAILEY: Did you just volunteer for
17 that?

18 DR. BOYLE: Sure.

19 (Laughter.)

20 DR. BAILEY: Great. Those are both
21 exciting ideas. And MMWR, even though it doesn't go
22 to the public, the media do pay attention to that

1 publication. And I think it would be one in
2 addition to press releases and so forth that would
3 be a good vehicle.

4 CHAIRMAN BOCCHINI: Melissa?

5 DR. PARISI: I was wondering if one of the
6 ideas or themes that could be promulgated would be
7 the value or the benefit of research. I know
8 sometimes research is a bad word among the public,
9 and it's not always perceived in a positive light.
10 But this is a real wonderful opportunity to

11 highlight some of what research has done to really
12 benefit babies and newborns. So I'd encourage that.

13 CHAIRMAN BOCCHINI: Oh, Natasha?

14 MS. BONHOMME: Hi. Sorry. Just whenever
15 I am volunteered for things, I like to be able to at
16 least say yes or no. And I think --

17 (Laughter.)

18 MS. BONHOMME: Obviously that is a yes,
19 and I do think that there are a number -- you know,
20 the resources keep coming up. And that, of course,
21 is a very real issue. But I think that there are a
22 number of things that are already in place that may

1 be able to contribute those types of resources.

2 And I think particularly if you ask the
3 people who really fought very hard for there to be a
4 clearinghouse, it was thought to be more than just a
5 mechanism to put resources in, but to be very
6 active. And I definitely think Baby's First Test
7 and the clearinghouse tried to do that, and we are
8 more than happy to brainstorm and to put out that
9 type of information.

10 And I think even beyond the 2013, I think
11 it would be great to see, you know, 2013 be really
12 oriented towards public awareness, and then maybe
13 2014 to be, like, well, taking it a step further and
14 say, well, what's behind this system? And then you
15 go into these issues of research and things. I
16 think this could be really, not just in terms of a
17 campaign, but really thinking about overall how do
18 we message around newborn screening.

19 CHAIRMAN BOCCHINI: Well, it's clear that,
20 Carol, we're going to give you the last comment.

21 DR. GREENE: And professional
22 organizations will be definitely interested in

1 helping, and I am the SIMD for sure.

2 And a tiny, teeny thing, but I love the
3 tee shirt suggestion yesterday. And another thing
4 that's easily done, and I notice bumper stickers. I
5 mean, I pay attention to them on cars, and that
6 would be a great way to message.

7 CHAIRMAN BOCCHINI: Well, I think it's
8 very clear that there are lots of good ideas, and
9 that interacting with other groups brings up even
10 more subjects that could be part of this. And so I
11 think we'll keep this conversation going.

12 I think as a result of having Don sitting
13 in conversations and then having feedback back and
14 forth, not only between us and CDC and the public
15 laboratories, but the other players, is going to be
16 very helpful. So thank you.

17 DR. BAILEY: And there will be so many
18 things going on, already are so many things going
19 on, as Natasha and others have indicated, and will
20 be that our committee doesn't have any desire or
21 ability to try to control or coordinate all of them.
22 But I think agreeing on some core messages and

1 making sure that we're all singing the same song to
2 a certain extent would be great. Thank you very
3 much.

4 CHAIRMAN BOCCHINI: All right. Next on
5 the agenda, we'll bring Dr. Kemper back to update us
6 on the condition review process.

7 DR. KEMPER: We're talking about
8 evidence's first test.

9 (Laughter.)

10 DR. KEMPER: And I'd like to add that in
11 2009, Nancy Green and I read 12,000 manuscripts in
12 the process of evidence review. So I'm going to be
13 giving an update on the condition review process.

14 By way of background, at the end of April
15 we had a two-day meeting focused on issues of
16 evidence review that really came up for a few
17 different reasons. One is that now that we've done
18 a number of these evidence reviews, we wanted to
19 take a look and see what kind of things that we
20 should do differently in the future to make them
21 even better. The second was there's the issues of
22 the public health impact evaluation, which we really

1 needed to get a better sense of what that is going
2 forward. And then the third thing is that we really
3 wanted to bring our process into alignment with what
4 other major evidence review groups do.

5 So we were very fortunate to be able to
6 bring Dr. Virginia Moyer. Dr. Jenny Moyer is the
7 current chair of the U.S. Preventive Services
8 Taskforce. And we also had Dr. Ned Calonge, who
9 many of you from working on this committee, who was
10 the former chair of the U.S. Preventive Service
11 Taskforce, and is now heavily involved with the
12 community guide.

13 But we really had this huge representation
14 both from the Federal government, including HRSA,
15 ARC, the NIH, and the CDC. We had representation
16 from this committee. We had Dr. Kus representing
17 AMCHIP -- ASTA, that's right, but APHL, other
18 experts.

19 I had to say I was a little nervous going
20 into this meeting because I was, like, you know, are
21 we really going to be able to engage people around
22 these complex issues of evidence review over two

1 days? And I have to say that it was one of the most
2 really engaging, thoughtful meetings that I've ever
3 been to. So for those of you who were at the
4 meeting who are in this meeting, I have just a
5 tremendous debt of gratitude for your involvement.

6 What I'd like to do is I'm going to really
7 talk about two things. One is I'm going to talk
8 about process for evidence review. But what I
9 really want to highlight as well is issues around
10 how the Advisory Committee can weigh the evidence to
11 make recommendations, because both those things have
12 to be brought in line or brought together for us to
13 really meet the standards that are set by other
14 recommending groups, like the U.S. Preventive
15 Service Taskforce. So I'm going to kind of jump
16 back and forth.

17 And I want everyone to remember, too, that
18 this is a work in progress, and it's going to be
19 further refined. And I hope to get some advice from
20 you in the limited time that we have here, but am
21 happy to talk to anybody at any time about this
22 going forward.

1 So just in terms of high-level principles
2 for making recommendations, ultimately we want to
3 make recommendations that are evidenced based, that
4 the outcomes that matter most are health benefits to
5 the individual being screened, although there are
6 important health outcomes -- or not necessarily
7 health outcomes, but outcomes in general that need
8 to be considered that affect, for example, the
9 family.

10 The recommendations take into account the
11 readiness and feasibility of screening within States
12 public health systems, so I'm going to be talking
13 about that in a little bit. But the recommendations
14 aren't modified to accommodate concerns about
15 insurance coverage, medical legal liability, or
16 legislation, that we're really thinking about
17 evidence here about what's the best thing to do for
18 individuals and their families.

19 So moving forward, the way that I can see
20 the evidence reports is that they really have three
21 separate components. The first is the systematic
22 evidence review, and that's similar to what we've

1 been providing in the past where we, you know, kind
2 of shake the trees, and pick up rocks, and look for
3 evidence wherever we can find it, and synthesize it
4 for the Advisory Committee.

5 The second thing, which we began doing for
6 the bilirubin report, but we're going to really make
7 more formal, is an estimation of the bounds of
8 benefit and harm. So if you were to adopt
9 screening, what's a reasonable range for what we
10 expect for the outcomes to be? And that is
11 primarily going to be based on modeling of evidence
12 that would be obtained from the systematic evidence
13 review.

14 And the third thing is an assessment of
15 the readiness and feasibility of implementing
16 comprehensive newborn screening, so I'm not just
17 talking about the test, but I'm talking about the
18 whole process from screening through follow-up, from
19 the State public health department perspective. And
20 sort of the ground rules that set forth what we're
21 supposed to do, there's supposed to be a public
22 health impact evaluation.

1 And what's clear when I talk about this is
2 that that really means two different things to
3 different people, depending on who you're talking
4 to. The first is if you were adopt screening,
5 what's the impact on the public health, on the
6 general people out there? And that's really what
7 I'm talking about when I talk about the estimation
8 of the bounds of benefit and harm.

9 However, individuals primarily, those
10 people that are in the State health departments who
11 are concerned about how they're going to do these
12 implementations when they hear about public health
13 implementation, evaluation, or the public health
14 impact, they're really thinking about the impact of
15 screening on the health system kind of at large and,
16 in particular, for them.

17 So I hope I described those two things.
18 We can talk about it more. And I think as I go
19 through these slides, you'll see what I mean better.

20 So as before for the systematic evidence
21 reviews, we have an analytic framework. We looked
22 at the analytic framework that's used by the U.S.

1 Preventative Services Taskforce and other groups,
2 and we really modified the framework that we have,
3 and I think did a better job of at least defining in
4 general what the key questions are.

5 Now as we go through each individual
6 condition, the questions are going to be modified to
7 be particular to whatever it is. But you can see
8 that in general, things fall into these eight key
9 questions ranging from what's the life course and
10 spectrum of disease related to the condition?
11 What's the direct evidence that screening for the
12 condition reduces morbidity or mortality? What's
13 the analytic validity and clinical validity of the
14 screening test or algorithm. And actually I
15 should've added in there as well of the diagnostic
16 strategy.

17 Key question 4 is are treatments available
18 that make a difference in the intermediate outcomes
19 when the condition is caught earlier, detected by
20 screening? Are treatments available that make a
21 difference in health outcomes when the condition is
22 caught earlier, detected by screening? So sometimes

1 you'll only have the intermediate outcomes, and
2 sometimes you'll know long-term health outcomes, so
3 teasing that apart.

4 When you don't know the impact on the
5 long-term health outcomes, it's important to ask how
6 strong is the association between the intermediate
7 outcomes and whatever important health outcomes
8 you're looking for. What are the harms of the
9 screening test, and what are the harms of treatment?

10 So, again, this is a very high-level
11 analytic framework, and it'll be specified for each
12 individual condition.

13 Once the key questions are formalized and
14 the evidence is gained, it's important to assess the
15 evidence at the key question level and using systems
16 that have already been developed for evidence
17 review. We'll be labeling each of those as either
18 convincing adequate or inadequate. And we describe
19 these in our manual of procedures.

20 But then after evaluating each question,
21 you have to think about the whole framework. So
22 what do we think would happen after we've looked at

1 all these key questions in terms of screening on the
2 population? And it all really boils down to the
3 magnitude of net benefit. So you can imagine the
4 negative magnitude of net benefit where it looks
5 like harms outweigh the benefits. It could be zero
6 or small where there's a close balance of harms and
7 benefits, or it could be significant where the
8 benefits outweigh the harms. Now when we do this,
9 we're not considering cost. We're just thinking
10 about the benefits on the population to be screened
11 across that analytic framework.

12 But then you have to think about how
13 certain are you about that net benefit. And these
14 raise questions like, are there critical evidence
15 gaps in any of the key questions? To what extent
16 are the results of the studies generalizable to
17 newborns in the United States? Do the studies have
18 the appropriate research design to answer the key
19 questions?

20 And, again, unlike bodies like the U.S.
21 Preventative Services Taskforce, most of the data
22 are going to be from small case series and that kind

1 of thing. I shouldn't say most of the data, but
2 there will be data from small case series, and we
3 will continue to include them as we always have.

4 To what extent are the studies of adequate
5 quality for each of the key questions? What's the
6 precision of the evidence for each of the questions?

7 And how coherent are the studies for each
8 questions? That is, do the different studies tell
9 you the same thing, or are they kind of all over the
10 map in terms of what the results are?

11 And from that you can group certainty into
12 low where there's insufficient evidence to have
13 confidence in the assignment of net benefit,
14 moderate where further research could change the
15 magnitude or direction of findings within any of the
16 key questions such that your overall assessment
17 might change, or high where your assignment to the
18 net benefit is unlikely to be strongly affected by
19 results of future studies.

20 And, again, in the manual of procedures,
21 we have this further defined. And we stole
22 liberally from the U.S. Preventative Services

1 Taskforce manual and other things that are out
2 there. I should've mentioned as well the manual for
3 the Advisory Committee on Immunization Practices.

4 So as I mentioned before, we'll have this
5 estimation of the bounds of benefit and harm based
6 on decision analytic modeling. We did that before,
7 the bilirubin report. And the key things that are
8 going to go in there are the prevalences. Ned
9 Colonge is fond of saying basically everything
10 hinges to prevalence, and it's important to consider
11 that. But also test accuracy, treatment
12 effectiveness, and estimation of harm.

13 Again, we've talked about in this group
14 how it's sometimes hard to find harm, that it's not
15 reported, and we're going to have to come up with
16 clever ways of dealing with that. We can talk about
17 that later if you'd like.

18 So before if you remember, the decision
19 making process was really a one-step affair. I'm
20 going to be talking about a two-step dance to come
21 to a recommendation.

22 This is the first matrix, and it's similar

1 to what was done before where you have to consider
2 the magnitude of net benefit, which, again, can be
3 significant, small to zero or negative, and the
4 certainty of net benefit -- high, moderate, and low.

5 And you kind of pick where you are and you end up
6 with a letter.

7 And I think that it's important that we
8 really, you know, are clear about what letter we're
9 talking about, kind of which box that we end up with
10 because this is really where the recommendations
11 flow through. And you can see that in the process
12 I'm going to lay out, there's going to be the
13 ability to make a much more nuanced recommendation
14 based on this process. So this is net benefit.

15 The other that's going to have to be
16 thought about, as I mentioned before, was readiness
17 and feasibility. And in terms of this process, you
18 know, originally we were talking at the meeting that
19 this process of assessing readiness and feasibility
20 might only have to be done for those things with the
21 A code. I think that in reality we're going to be
22 assessing readiness and feasibility in parallel with

1 the evidence process so that there's not this gap in
2 time before the two things can be assessed. But
3 it's probably only most important in terms of making
4 a decision for the things that are the A code. That
5 is where you have good certainty that there's a
6 significant net benefit.

7 But when we talk about readiness, by that
8 we mean -- and, again, this is one of the areas that
9 we're still in the process of defining, and I'm
10 working with actually many of the people in this
11 room to clarify this. But right now, how ready are
12 State health departments to begin to do the
13 screening? And this depends upon the availability
14 of a validated high throughput approach to
15 screening, systems for training and education, and
16 processes for quality assurance, information systems
17 that track people to short-term follow-up, and
18 ideally through term follow-up, the availability of
19 the diagnostic services, right? So if you have
20 somebody who's positive screened, you want to make
21 sure that there's ability to make the diagnosis.
22 And then, of course, treatment and systems for

1 follow-up.

2 And for readiness, in the scheme that we
3 have now, it's classified into ready, developmental
4 where some further work is needed, and unprepared
5 where there's significant challenges in immediately
6 adopting comprehensive screening.

7 Now feasibility considers the availability
8 of all the things that we talked about and the
9 resources that it would take to be able to get
10 things going. And that can be classified into high,
11 so the resources for screening are available and the
12 cost of screening is balanced against the other
13 public health obligations; moderate where the
14 resources aren't readily available, but
15 implementation is possible; and low where the
16 resources for screening aren't available, and the
17 cost of screening is prohibitive.

18 And I don't want to talk very much right
19 now about the process of assessment of readiness and
20 feasibility. I entered into some conversations with
21 APHL and Jelili to consider how this is going to be
22 done. And this is something that we'd like to come

1 back and get.

2 And, you know, I appreciate the tension
3 that we're going to be talking about a little bit
4 about in terms of the decision making process, which
5 is at what point do you recommend that something be
6 screened, so how much of the advisory committee is a
7 push versus a push to the health system, versus
8 waiting until things are ready, and then cutting to
9 go. But I do think that it's important for the
10 Advisory Committee to make explicit decisions about
11 these things.

12 So this is the second matrix where we have
13 feasibility like I talked about before and
14 readiness. And you can see that things are coded.
15 I'm going to show you in a second what I call the
16 mother of all matrices that combines these things,
17 okay? And I figured that at some point people
18 needed a laugh because it's hard to talk about
19 evidence that much, unless you're me, and I love it.
20 It's probably a disease.

21 And so this -- and I apologize. I'm
22 looking at this little monitor, and I can't see it

1 as well I can on the computer. And now I'm looking
2 up there, and I can't see it as well either, which
3 is probably actually good for me because then it'll
4 let me get out of being in trouble.

5 (Laughter.)

6 DR. KEMPER: The no-brainer is the A-1, so
7 that's the upper left-hand corner where you can see
8 the red scribbling, which that's things are ready,
9 things are feasible, and that you're confident that
10 there's a net benefit, right? Those things would be
11 recommended, okay?

12 The things that become more difficult is
13 if you moved down, for example, the A-2s and the A-
14 3s, where there may be some issue related to
15 readiness or feasibility, but you're fairly
16 confident that it's the right to do. And I think
17 that here, the Advisory Committee is going to have
18 to come up with some reasonable way to make a
19 recommendation based on this, or maybe the
20 recommendation that comes out of this is, for
21 example, if you look at CCHD where the grants came
22 out after the recommendation to do pilot

1 implementation, maybe there's some way to kind of
2 reverse that to have more grants. Now that I'm
3 telling you how to spend your money, but more
4 funding opportunities to help States get things
5 moving.

6 And, again, I think that we're not going
7 to obviously in the next few minutes come to
8 resolution on this whole thing. What I think the
9 key thing, though, is that there needs to be a
10 consistent way to evaluate all the stuff that we're
11 going to bringing in and coding it, and then using
12 those codes to make clear, transparent, unambiguous
13 recommendations. Because the thing that I hear from
14 a lot of people, both from the health department
15 side and from people who have nominated conditions
16 or advocated things to get screening, is that once
17 the Advisory Committee goes through with the old
18 kind of one-step process, they don't really
19 understand why it didn't make it. What are the
20 gaps? And I think that going into this process does
21 a few things. One is it creates a more transparent
22 way to understand those things that aren't

1 recommended what needs to happen. And the second
2 thing is it allows you the opportunity to make more
3 clear recommendations around these kind of health
4 system issues, and really evaluate these health
5 system issues which weren't done before.

6 I suspect that when I open this up again,
7 too, there are going to be some people that are
8 going to feel uncomfortable about these issues of
9 readiness, and feasibility, and cost, which we
10 haven't been explicit about talking about before.
11 But I think that from the feedback that I've gotten
12 from those people involved in the -- who were busy
13 running public health departments in this era of
14 very constrained resources that it's something that
15 they need help with.

16 And the other things is, this is not
17 really that different from what other advisory
18 committees are doing, for example, the Advisory
19 Committee on Immunization Practice.

20 I recognize that we need more clarity
21 around these terms of readiness and feasibility, and
22 that's forthcoming. But what I'd like to do is in a

1 second kind of open this up to people's thoughts
2 about this approach at the 30,000 foot level. And
3 given the time constraints, I'd be happy to talk to
4 anybody about other aspects of clarifying this.

5 Eventually the matrix is going to have to
6 be voted on and approved by the Advisory Committee,
7 but that's not so, if I understand correctly, from
8 the evidence review process. But of course the
9 evidence review process is hopefully less -- you
10 know, engenders less hand wringing than this does.

11 So, like I just said, the Advisory
12 Committee is going to have to approve the decision
13 making process. The document right now is in final
14 revision with meeting attendees. I say "final"
15 because it makes me feel better that we're getting
16 near the end. But I suspect that there's going to
17 have to be a number of revisions before it's happy
18 with the meeting attendees. And then we will start
19 disseminating it in broader circles. But I would
20 hope that we'd be able to vote - when I say "we," I
21 don't vote at all. But I hope that you all are able
22 to vote at the next Advisory Committee about it.

1 And the methods for evidence review is similar to
2 what's been done in the past by these other groups,
3 and it doesn't require a vote. And we can start
4 moving ahead on the new topics that were assigned
5 yesterday under that schema so that things aren't
6 slowed down in the process.

7 So with that, I'd like to open it up to
8 questions.

9 CHAIRMAN BOCCHINI: Thank you very much,
10 Alex. That was a nice presentation, and I think
11 it's very clear that you've moved us along quite
12 well. So thank you.

13 And I think that the plan that he's put
14 forward is the one that we've talked about about
15 getting a few more iterations until we're all
16 satisfied that we have that decision making
17 algorithm settled, and then bring it to the full
18 committee for additional valuation, and then a
19 decision about whether that's how we go forward or
20 whether we modify that. But you're right, that
21 doesn't involve the evidence review. It involves
22 the decision matrix that occurs after the evidence

1 review is completed.

2 Questions, comments? Don and then Coleen.

3 DR BAILEY: So thanks for taking the lead
4 on this. I think this is a really important
5 activity, and something that we should be continuing
6 to do. But I think this is a good time to do this.

7 There are other pieces of it, like family
8 benefit and the whole definition of benefit that
9 I'll want to keep revisiting, but I won't bring that
10 up at this particular point.

11 I think you've answered my question. So I
12 was wondering whether you were saying that this was
13 something that the Evidence Review Committee would
14 actually do, which is making an assignment to in
15 that grid, or whether you're suggesting that that
16 would be something that the Advisory Committee would
17 use based on the evidence review.

18 DR. KEMPER: Yeah. So two things. One
19 is, first of all, one of the things I'm really happy
20 about the revision is that we're going to be able to
21 include the family perspective in a way that we
22 haven't done. So I think that that's one of the

1 things I personally am most happy about.

2 The second thing is we do not assign these
3 letters or numbers, and I'm sorry if I wasn't clear
4 about that. Our job is to pick up the rock and let
5 the worms crawl out and let you all see the
6 evidence. I just want to make sure that there's a
7 common approach that can consistently be used in the
8 grading.

9 DR. BAILEY: I would agree with that, and
10 I think it would be helpful also to the nominators
11 and other people in terms of the things that we were
12 talking about in terms of giving people feedback.
13 To some extent that matrix will help with that.

14 DR. BOYLE: Your answer changed my
15 question.

16 (Laughter.)

17 DR. KEMPER: Right direction or the wrong
18 direction?

19 DR. BOYLE: I know. I know. I guess I
20 have to give this some thought. What I was going to
21 ask was, because I actually was thinking of the
22 evidence review providing the fodder for us to be

1 able to put these --

2 DR. KEMPER: So let me be clear. We are
3 going to assign all the other things at each key
4 question level and those words that we used in terms
5 of strength of evidence. And that's no different
6 than what, for example, the art funded, evidence-
7 based practice centers do.

8 So we're not just going to give you this
9 500-page document and expect it to be transparent.
10 We will be assigning that verbiage that I talked
11 about before in terms of the strength of evidence at
12 each step.

13 What we won't be doing is saying that on
14 matrix 1 this is an A, and on matrix 2 this is a
15 four or whatever. That's a policy question that you
16 all would vote on.

17 DR. BOYLE: I guess I have to read it. My
18 question was going to be how sort of repeatable is
19 this process.

20 DR. KEMPER: So replicability is the --
21 that's the sine qua non of what we want to do, and
22 if it's not replicable, then we've failed.

1 DR. BOYLE: We had 10 different people
2 sitting around this table, and we took the same
3 information. Is it all not in the eye of the
4 beholder in some way, our experiences and things
5 that come behind us. I guess that's my concern.

6 DR. KEMPER: And with the replicability
7 things, there's two things. One is the evidence
8 review as to how we're going to grade this, the
9 linkages and so forth replicable. And that part I
10 feel fairly confident about because it's no
11 different than what we've been doing for a long time
12 now anyway in our other evaluations.

13 The question is, will you get to the same
14 place on the matrix over time? And I think that the
15 only way to have that happen is for us to be
16 painfully clear about our definitions. And it's a
17 no-trivial task. And I think that with the matrix 1
18 part, I think that we're there with fair confidence,
19 if I can say that from using my other slide.

20 But I think that in terms of the issues of
21 readiness and feasibility, we're not there, and
22 that's going to require a lot more conversation.

1 DR. BAILEY: So can I just follow up on
2 that then? So I guess one interpretation is that
3 you will assign the scores to the different pieces.

4 If what I'm hearing you saying then is that the
5 matrix is constructed from those scores, and, in
6 essence, you are putting -- if we just literally
7 followed your scoring system, then it goes into a
8 matrix. Is that correct?

9 DR. KEMPER: Right. So it's not our goal
10 to lead you to something, but if we do this right,
11 then the answer should be clear.

12 So we will -- right, because, believe me,
13 I don't want to be the one giving things A-1s or
14 not. But we will be able to -- getting back to this
15 issue of assessing the evidence at the key question
16 level, convincing, adequate, inadequate. Those
17 kinds of things we will do. We'll be able to
18 provide you with the numbers on the bounds of
19 benefit and harm.

20 One of the art to making these decisions
21 is thinking about what do these numbers means and
22 kind of the is the juice worth the squeeze question

1 that comes up. But I think that's bringing back my
2 North Carolina roots talking to you.

3 I think that that's going to be one of
4 those things that we're going to have to work to
5 make sure that that that information is consistently
6 used to assign a grade.

7 Dr. Bocchini, not to put you on the spot,
8 but if you could talk about your experience with
9 ACIP that does this.

10 CHAIRMAN BOCCHINI: Yeah, and I think if
11 you remember the template that we used to make the
12 final decision once we saw the evidence from the
13 Evidence Review Committee or panel, what we're doing
14 here is adding the feasibility and public health
15 impact to it, which has created a more complex
16 template, but it's really the same thing.

17 And this is the responsibility of the
18 committee is to evaluate what they bring forward,
19 and then to assign a decision matrix -- using the
20 decision matrix. Hopefully it makes it more
21 transparent and more attuned to the evidence so that
22 we can -- but it will be the collective wisdom of

1 the committee that's going to make that final
2 decision.

3 Now part of what we're doing also is two
4 members of the committee are going to be part of the
5 working group that will be looking at the data and
6 being involved as this process evolves. And they
7 will have the first look at making decisions as to
8 where we're going to fit these into the matrix, and
9 that will come forward to the committee at the time
10 of the decision. But the committee will be informed
11 along the way as to what evidence is evolving, where
12 we are, and all that.

13 So hopefully about the time the decision
14 needs to be made, the committee will be well aware
15 of the issues and any issues in terms of the data
16 that might be missing or incomplete.

17 DR. BOYLE: Just one quick follow-up
18 question -- not question, but comment. I did like
19 your idea. I know you just said it as an example of
20 using this to help guide pilot projects or studies
21 prior to implementation. So maybe that's a
22 committee decision about how to weave that piece in.

1 CHAIRMAN BOCCHINI: So Steve and then
2 we'll take Ann at the microphone.

3 DR. MCDONOUGH: Yeah. I had a question
4 specifically on the pilot studies, how they are
5 funded, how the process comes into place with these
6 pilot studies occur, what's the mechanism, and
7 what's the role of the committee in that process?

8 So you have a list of things to do to
9 discuss at upcoming meetings But, to me, from an
10 outsider's perspective, it seems like a very
11 haphazard, what happens here. There'll be a
12 political process. We'll get a study or thing at it
13 that ends up being a pilot study kind of. There'll
14 be a funding agency, and NIH has got to take an
15 interest. And they'll kick in some money, and then
16 something happens. There'll be an advocacy group
17 that creates something somewhere.

18 So the pilot studies I think will have a
19 lot of impact on what goes forward and what doesn't,
20 and that whole process of funding available for
21 pilot studies, how things go I think. I think it's
22 really important that we look at that and maybe

1 provide some advice on it.

2 So I would like that to be a topic for
3 discussion at an upcoming meeting, and having some
4 people at least to help educate me about how this
5 works and how it could be done better.

6 CHAIRMAN BOCCHINI: Yeah, that's a good
7 question, and I think that's something that we
8 certainly can have as an item in a subsequent
9 meeting. So I think that's a good point. Ann?

10 MS. COMEAU: Thank you. Ann Comeau. I
11 think Alex did a wonderful job of outlining how
12 evidence review can feed into this to help identify
13 gaps.

14 One thing that is coming to my mind that
15 I'm thinking maybe the Advisory Committee might
16 still have some work to do on, is in assessing net
17 benefit. And we discussed this a little bit at our
18 meeting.

19 I'm just thinking about consistency. So
20 if there's significant benefit to the individual,
21 and significant benefit to the family, and
22 significant benefit to the population, and great

1 data for all of that, that might be A-1. But within
2 A-1, is there going to be another rule for
3 significant benefit to the -- to everybody, but it's
4 not really proven yet for benefit to the individual,
5 and there is benefit to the family, and maybe
6 presumed benefit to the population?

7 And that's kind of a policy on decision
8 making that I see could be very critical to the
9 consistency in evaluating condition A versus
10 condition B. And I don't think that that was in our
11 purview to put that forward, Alex, or do you -- I'm
12 trying to -- the discussions were so intense.

13 DR. KEMPER: Right. So before I come up
14 with a complicated question to your answer, thank
15 you for your kind words. I just want to make sure
16 everyone heard them.

17 (Laughter.)

18 DR. KEMPER: And Ann was at the meeting as
19 well.

20 So what you're getting to, and it's
21 probably a more complicated discussion we can have
22 right here, is when we talk about net benefits, like

1 how big does the magnitude of net benefits have to
2 be before you call it significant? And, you know,
3 these are words that we've used before, and I think
4 this is a nuance that the Advisory Committee is
5 going to have to come to benefits with.

6 When you were talking about if you're not
7 sure, then that gets to the certainty side of
8 things. But in terms of magnitude of net benefits,
9 if you're talking about, for example, being able to
10 prevent some horrible outcome, but in a very, very,
11 very small number of children, and you have to do a
12 lot of screening to find those kids, how do you
13 weight that? And how do you compare that against
14 the harm?

15 So if the condition has a very, very low
16 prevalence, even with a very good test, you're going
17 to end up with a lot more false positives in general
18 than you will true positives.

19 One of the things that makes me glad that
20 I don't have to sit around this big table is
21 figuring out exactly what the right threshold is.
22 So I think that's an evolving conversation.

1 CHAIRMAN BOCCHINI: Other questions or
2 comments?

3 (No response.)

4 CHAIRMAN BOCCHINI: Okay. Thank you very
5 much, Alex. We appreciate it and look forward to
6 the final, final drafts.

7 All right. Next we have updates for NIH
8 initiatives and two presenters. First Kathryn Camp,
9 who is a registered dietician and a consultant to
10 the Office of Dietary Supplements at the NIH. And
11 in addition, Melissa Parisi will also discuss NIH
12 initiatives and updates. Dr. Parisi is chief of the
13 Intellectual and Developmental Disabilities Branch
14 of the Eunice Kennedy Shriver NICHD.

15 MS. CAMP: Thank you so much for the
16 opportunity to report to this committee today. I
17 think that Dr. Kemper had quite a nice segue into
18 another area that I'm going to be discussing. The
19 initiative that is underway that I will be
20 discussing is one that actually parallels in a way
21 what Melissa Parisi will be talking about. This is
22 the nutrition and dietary supplement interventions

1 for inborn errors of metabolism. And it is an
2 initiative to build an evidence-based research
3 framework.

4 And I think that everybody knows that in
5 this field of rare diseases, particularly in inborn
6 errors of metabolism, that we do have a bit of a
7 data problem in terms of the ability to obtain
8 robust evidence regarding the treatments that we
9 use. And this is principally because of the rarity
10 of these disorders in the vulnerable life stage in
11 which they are diagnosed. So it's difficult to
12 conduct randomized controlled trials that would
13 provide the evidence that would support review, for
14 example, that an insurance company would buy in
15 terms of providing coverage for a specific
16 treatment.

17 So, of course, we know that early
18 intervention and treatment saves lives and prevents
19 morbidity and mortality. Yet the evidence that some
20 of the treatments that we use is lacking.

21 So this recent Cochrane review, which
22 looked at the efficacy of tyrosine supplementation

1 and PKU is an example of the difficulties that we
2 encounter when working with small populations. You
3 can see that there were only three trials that met
4 inclusion criteria with results on 56 subjects. And
5 they were not able to make any recommendations
6 regarding the use of tyrosine in routine clinical
7 practice in PKU.

8 Secondly, a review, which looks at the
9 efficacy of tyrosine supplementation for PKU is an
10 example of the difficulties -- excuse me. The
11 second one, the Agency for Healthcare Research and
12 Quality Comparative Effectiveness Review looked at a
13 number of key questions relative to the treatment of
14 PKU using KUVAN, or sapropterin, or large neutral
15 amino acids compared to diet. The key question 1,
16 the relationship between phenylalanine levels and
17 IQ, they were only able to ascertain 16 studies that
18 met review criteria. And you can see that of those
19 16, only one study was considered to be of good
20 quality.

21 And the criteria that they used to make
22 their recommendations concerns such issues as

1 research methodology, incomplete reporting of data
2 results, and potentially confounding factors.

3 So I'd like to quote a very famous
4 philosopher from my youth, Bob Dylan, who said "The
5 times, they are a-changing." So now in addition to
6 articulating the problems, people are working hard.

7 And I can say this from the standpoint of my busy
8 day and Dr. Parisi's busy day, to articulate the
9 problems, we're working very hard to recognize and
10 utilize existing opportunities that are available to
11 advance a research agenda.

12 First of all, and these slides, I'd like
13 to thank Dr. Steven Groft for coming up with some of
14 these important ideas as he struggles with how to
15 advance a research agenda in rare diseases.

16 Traditional research and development is changing, so
17 we must utilize available resources from multiple
18 public and private sector partners with shrinking
19 budgets. The Federal government cannot do
20 everything.

21 Again, with our population sample size,
22 recruitment, geographical distribution, and ethical

1 issues surrounding doing research, really will cause
2 us to accept that many rare diseases and inborn
3 errors of metabolism in particular will not go to
4 phase three trials. So we're going to need to
5 consider how we can use small pilot data or phase
6 two studies to gather our robust data.

7 Utilizing novel clinical trial designs is
8 going to be important, but we have to recognize that
9 working with the Food and Drug Administration with
10 their review divisions for proposed study designs
11 and assessments of results is going to be important
12 at the initiation of these projects, not after
13 they've been undertaken.

14 Bridging the gap between scientific
15 discovery and clinical application is going to be
16 something that several new -- one particularly new
17 division within NIH, the National Center for the
18 Advancement of Translational Sciences, and several
19 other NIH translational research programs are going
20 to be in a position to undertake.

21 So just briefly I'm going to talk to you
22 about the initiative. And I know this acronym is

1 kind of clumsy -- nutrition and dietary supplement
2 interventions for inborn errors of metabolism -- but
3 really if anyone has anything else better, I'd be
4 very happy to change the name.

5 But it started in 2008. Dr. Paul Coates,
6 the director of the Office of Dietary Supplements,
7 and Dr. Steve Groft, the director of the Office of
8 Rare Diseases Research, sort of sat down and
9 scratched their heads over this whole problem of the
10 evidence that simply isn't there for many of the
11 dietary treatments, and came up with this concept to
12 look at how to build a research infrastructure.

13 In 2010, I came on board. My background
14 is in clinical dietetics, and I spent 20 years on
15 the ground with patients in patient care. And while
16 I understand the issues of providing patient care,
17 some of the research implications have required a
18 little bit of work on my part. But by January of
19 2011, we had brought together a meeting of Federal
20 partners, and they were very strong in their
21 recommendation that we move forward with our
22 initiative and bring in additional stakeholders

1 outside from the metabolic community. So that
2 meeting was held in December of 2011. And actually
3 there are several people in the room who were at
4 that meeting. And I'll tell you a little bit more
5 about that.

6 We have begun our work on very specific
7 activities. And just briefly, our mission is to
8 identify gaps in the understanding of the safety and
9 effectiveness of nutrition and dietary supplement
10 interventions. And this is through collaboration
11 and partnerships among a wide range.

12 We really recognize the need to not only
13 harmonize across private and public partnerships,
14 but also bring in people who could help inform us
15 with this effort.

16 So we gathered 85 people, and many of
17 these were very high-level individuals. We had NIH
18 Institute directors, presidents of professional
19 associations and advocacy groups, and a large body
20 of experienced clinicians and researchers in the
21 field of metabolism. And just to show you briefly,
22 we had every newborn screening collaborative

1 represented, and this is a map of the newborn screen
2 collaboratives. I put white dots where there were
3 other individuals involved. And we also had
4 representation from four different countries. That
5 would be Australia, France, Switzerland, and the
6 United Kingdom.

7 So all of this was in an effort to set a
8 transformative research agenda. We had an action
9 plan. We wanted to define short- and long-term
10 priorities. We wanted to define a preferred
11 sequence of events, so, in other words, what do we
12 need to take on first before we can go to step two
13 and step three? And what were the mechanisms that
14 we would need?

15 And out of this came 21 hours of recorded
16 talks and discussions, and I have not listened to
17 all of them, but we do have an 80-page summary
18 document that looks like this. And after spending
19 quite a number of hours trying to get my arms around
20 it, we ended up with a very old-fashioned technique
21 of stickies on the office wall. And this actually
22 is our prioritization, and you can see the top row.

1 You can't read them, but those are the categorizing
2 them by topic and by their complexity. And the
3 little pink one all the way up at the top says I
4 hope we can get this done.

5 (Laughter.)

6 MS. CAMP: And we'll see in the long run
7 whether we do.

8 So the activities that we have ongoing, we
9 did convene a core planning group. This consisted
10 of about 12 people. We've had two meetings. We are
11 involved in now reviewing and actually identifying
12 the short-term and the long-term activities.
13 Agreeing in prioritizing on the steps that we need
14 to take has been an important process.

15 We are now updating our website and making
16 some enhancements to it so that we can extend the
17 website to be on the participants in the workshop to
18 open into the public. I don't know how many of you
19 are aware that whenever you put anything on a
20 government website, it has to be 508 compliant,
21 which requires not only a considerable amount of
22 money, but a lot of time. And we believe that

1 that's an important attribute that we can include in
2 our efforts. But it does slow things down a bit.

3 We are preparing the workshop proceedings
4 for publication. We have a writing group that has
5 been formed. They've begun their work. And
6 questions about whether this should be published as
7 a supplement to a journal, or whether it should be
8 just the workshop findings is something that they're
9 working with right now.

10 We are developing a list of all of the
11 screen disorders and the treatments that are used.
12 And we are going to put them out in a survey form to
13 metabolic specialists to determine which are on the
14 highest on the hit parade to target for building
15 research infrastructure. And in collaboration with
16 that survey, the Society for Inherited Metabolic
17 Disorders and Genetic Metabolic Dieticians
18 International are working closely with us.

19 One of the items that really percolated
20 quite high on the top of the list of things to do is
21 to create a web portal to organize all of the
22 available resources not only for researchers and

1 clinicians, but for patients and families regarding
2 treatments -- dietary supplements, medical foods, et
3 cetera.

4 We have a number of future activities.
5 And if I'm not retired by the time we get the bottom
6 of that, I would be surprise, but you can see that
7 many of them are going to entail quite a lot of
8 work.

9 And finally, our website is under
10 development, and I would encourage all of you --
11 you're welcome to take a look at it. It has a very
12 easy login and password, IEMIEM. There will be
13 public access pages that will be put onto the Office
14 of Dietary Supplements website, and we'll be -- I
15 can tell you about those as they move along. And
16 this is just a screenshot of our website that we'll
17 have all of those tabs on the far side populated
18 shortly.

19 The organizers for this initiative, Dr.
20 Paul Coates, who is the Director of the Office of
21 Dietary Supplements, and actually a geneticist by
22 training, very interested in this issue, was really

1 the person behind moving this forward. Dr. Steven
2 Groft, I'm sure many of you know, Director of the
3 Office of Rare Diseases Research, and myself.

4 And by the way, of course, the Office of
5 Rare Diseases Research is now within the National
6 Center for the Advancement of Translational
7 Sciences. And Dr. Michelle Lloyd Puryear, who many
8 of you know is working on our project with us.

9 And I welcome any comments, questions.
10 And if any of you want to help, raise your hands.
11 I'll take your name and number. We'll put you on
12 one of our working groups. Thank you.

13 CHAIRMAN BOCCHINI: Thank you very much
14 for that presentation. Questions? Steve?

15 DR. MCDONOUGH: I commend you on your
16 leadership on this. It's a nice development.

17 MS. CAMP: Thank you.

18 DR. MCDONOUGH: What timeframe would you
19 see for budget funding grants? How many grants or
20 how many research? Would you work with universities
21 collaborating together so you have an adequate
22 sample size rather than just institutions? And kind

1 of what's the -- you can't answer all these
2 questions, of course. But the process of getting
3 funding for this, is it going to have to come out of
4 the existing NIH, or legislation to supplement the
5 NIH budget?

6 MS. CAMP: So thank you. Those are very
7 good questions, and if I can remember them, I'm
8 going to go from the back to the top.

9 Is there NIH funding specifically for this
10 project at this time? No. Are we working with
11 universities with other institutes? Yes. In fact,
12 we've got on our core planning group our funders
13 from each one of the major institutes that funds
14 these types of research. So we will be hopefully,
15 as we move forward, developing RFPs with our
16 institute partners.

17 And the whole issue of having adequate
18 sample size, the metabolic community of course is a
19 relatively small community in terms of numbers of
20 researchers. And we have probably the most senior
21 of those researchers involved in this project. So
22 as we move forward, our hope is that we will be able

1 to build the research infrastructure that will
2 include multi-center in order to be able to do these
3 trials.

4 The other important aspect, though, is to
5 come up with other ways to look at where the
6 evidence is for the treatments that we use because
7 we won't be able to do randomized control trials for
8 everything we want. And can we bypass some of that
9 in some other sort of unique ways?

10 And I am personally not a researcher, so
11 these are things that have to come from our
12 consultants and our partners.

13 And did I answer all your questions? Yes?
14 Thank you. Very good questions.

15 CHAIRMAN BOCCHINI: All right. Thank you
16 very much. Appreciate it. We look forward to the
17 progress.

18 DR. PARISI: Well, thank you for giving me
19 the opportunity to speak. I realize this is after
20 the intended close of the meeting, so it's an
21 unenviable task to try to get through this. But
22 what I'm going to do is I'm trying to summarize very

1 briefly for you a recent conference that was
2 sponsored by several groups within NIH, not only the
3 NICHD, which I'm a member, but also the Office of
4 Rare Diseases Research, which is now part of NCATS,
5 as Kathy just mentioned, the National Center for
6 Accelerating Translational Sciences, as well as the
7 Office of Dietary Supplements.

8 And by way of background, I mean, everyone
9 knows that PKU was one of the first conditions that
10 was screened for via newborn screening programs. So
11 we've been talking about this being known as the PKU
12 test. And, in fact, there's still a lot that we
13 don't know about PKU. I think that's probably the
14 take home message.

15 But in October 2000, NIH published
16 guidelines for screening and management as part of a
17 consensus development conference on PKU. We
18 recognized a number of years ago that there were new
19 emerging therapies, new data. And it was really
20 time to revisit those guidelines. So we embarked
21 upon a year-long -- I think it was actually more
22 than a year -- working group process and a

1 conference which was held in February of this year.

2 And many of you probably already know
3 this, but this new medication that's now available
4 for PKU, sapropterin dihydrochloride, also known as
5 KUVAN, is a synthetic form of BH₄, which is the co-
6 factor for the defective enzyme in PKU. And it
7 increases the activity of pH enzyme in those who
8 have residual enzyme function. So not all
9 individuals with PKU respond to this medication, and
10 that was one of the dilemmas facing the community
11 with regard to treating individuals with PKU.

12 So we convened a conference February 22nd
13 and 23rd, and there were several different
14 components to this conference. We included a
15 presentation of an ARC comparative effectiveness
16 review for adjuvant treatments of PKU. We also
17 summarized the work of the five NIH-convened working
18 groups that had been spending over a year discussing
19 aspects of PKU, which I'll describe in a moment. We
20 had invited speaker presentations and
21 representatives from industry, advocacy groups,
22 international partners and others who participated

1 in some of our panel discussions.

2 We had two major goals: to provide a form
3 for identifying future research needs because NIH,
4 after all, is very focused on research, and also to
5 provide data for the development of clinical
6 practice guidelines by professional organizations
7 since that's what they are charged to do.

8 And this is an example, I think, of the
9 Federal government working remarkably well together.

10 We had been informed that AHRQ received a public
11 request to conduct a comparative effectiveness
12 review of the treatments for PKU, including
13 sapropterin and large neutral amino acids. And AHRQ
14 formed an evidence-based practice committee, charged
15 them with doing this work. And they actually worked
16 very collaboratively with us at NIH in our process,
17 but yet they were very independent of our process as
18 well. They posted a draft report in September of
19 2011 and then released their report formally at our
20 conference.

21 And just to summarize, the most important
22 points I think from what emerged from that evidence

1 review, and you're welcome to access this online.
2 There had been a lot of support or a lot of interest
3 in the need for lifelong treatment for individuals
4 with PKU. And via a very complicated meta-analysis,
5 the AHRQ folks actually were able to determine that
6 in addition to the critical period -- zero to six
7 years -- for needing to restrict protein and have a
8 very formal diet for individuals with PKU, it turns
9 out that there are effects on IQ beyond that
10 critical period, and it continues throughout
11 adolescence and adulthood. So, in fact, this report
12 provides some fairly strong evidence for the need
13 for lifelong treatment of PKU.

14 They also noted that many individuals may
15 benefit from adjuvant therapy, and in two randomized
16 controlled trials and three open label trials, there
17 was shown to be a reduction in certain treated
18 groups in those individuals who respond to
19 sapropterin. But unfortunately long-term data to
20 understand the effect on cognition and quality of
21 life measures were unavailable.

22 And I think the conclusion was that

1 there's really a need for large, rigorous,
2 randomized control trials, or at least very
3 carefully designed studies in this metabolic
4 community in order to have the adequate evidence to
5 really support conclusions for management and care.

6 So what we were engaged in is we created
7 five working groups, and each of those working
8 groups was composed of clinical care experts,
9 experts in the research community, patients and
10 patient advocates, and NIH and other Federal
11 partners, as well as co-chairs and coordinators.
12 And each of these groups had 10 to 14 members. They
13 met virtually via webinar for at least eight
14 sessions over a year's time to discuss the questions
15 related to their particular topic.

16 Many of these working groups also had
17 international members, and you can imagine that
18 coordinating conference calls overseas was a bit of
19 a challenge. And they developed presentations based
20 on their discussions and conclusions.

21 And the five groups -- each of the five
22 groups had a particular topic and a question to

1 address. The long-term outcomes in management
2 across the lifespan group was concerned with what
3 evidence and practices should inform management of
4 individuals with PKU over their lifespan.

5 The PKU in pregnancy focused on management
6 for women of reproduction age, including not just
7 preconception care, but conception planning,
8 pregnancy, and the post-partum period, which has
9 been largely ignored in the medical community.

10 The diet control and management looked at
11 the dietary recommendations from the 2000 consensus
12 statement and explored what knowledge should inform
13 the development of new recommendations.

14 The pharmacologic interventions group
15 really addressed the role of sapropterin
16 dihydrochloride in individuals with PKU, and how to
17 assess responsiveness to this medication.

18 And finally, the molecular testing new
19 technologies and epidemiologic considerations
20 addressed some of the issues that are near and dear
21 to this group's heart, which is, should there be
22 changes to the consensus statement regarding newborn

1 screening and molecular testing for PKU.

2 So to summarize a whole lot of work into
3 one slide, here are some of the salient points that
4 emerged from the work of the working groups. And by
5 no means is this 100 percent consensus, but I think
6 many of these recommendations, or at least many of
7 these conclusions, can inform the development of
8 revised practice guidelines. The lifelong treatment
9 for PKU as being an essential element of care was
10 very much reinforced.

11 And one of the workgroups really tried to
12 identify the critical elements for medical
13 nutritional, cognitive, emotional, behavioral, and
14 social management of PKU at different phases
15 throughout the lifespan.

16 There was consensus about the need for
17 optimal management to prevent maternal PKU syndrome,
18 and also the need for more research in the peri-
19 partum and post-partum period.

20 Double blind placebo controlled studies
21 have the greatest rigor for determining
22 responsiveness to sapropterin. However, they may

1 not always be practical in the settings in which
2 individuals are being tested for responsiveness to
3 this medication.

4 The final workgroup concluded that
5 genotyping is actually valuable for categorization
6 of severity of PKU and also for prediction of
7 responsiveness to sapropterin. And I think that the
8 emerging data really support the value of genotyping
9 for many of these determinations, or at least giving
10 you strong hints about responsiveness.

11 Insurance issues and psychosocial factors
12 influence access to and compliance with nutritional
13 and other therapies, and this message was brought
14 home very loud and clear by many of the individuals
15 and advocates who attended the meeting who said over
16 and over again the same message we've heard today
17 about the difficulties in accessing these life-
18 saving therapies for many of these disorders.

19 There's a critical need for more treatment
20 options for individuals with no or minimal pH enzyme
21 activity, and clearly revised practice guidelines
22 need to be developed. And, in fact, the work to

1 start revising those guidelines has already begun.

2 As just one example of the work that one
3 of the workgroups did -- I'm not going to go into
4 any detail, but they developed a grid, and if you
5 look across the top, they divided the lifespan into
6 these categories and tried to identify tools that
7 could be used to screen and measure outcomes in the
8 medical, nutritional, metabolic, neurologic,
9 cognitive, behavioral, emotional, and social
10 domains. I know that if you get 10 psychologists in
11 the room together, you won't always get consensus.
12 There were challenging in reaching this as well, but
13 I think this is a good start for considering what
14 tools might be of use for this population, and not
15 just the PKU population, but others.

16 One of the focuses or the areas of focus
17 for the conference was also to look at new therapies
18 and emerging therapies for PKU. And Cary Harding
19 from Oregon gave a very compelling talk about some
20 of the newer treatments that may be on the horizon,
21 including gene therapy and the use of PEG-PAL or
22 PEGylated phenylalanine ammonia lyase. And gene

1 therapy, I think, is not yet ready for prime time.
2 It may not be ready for a while, but certainly
3 people are actively engaged in studies to try to
4 determine whether this might be a viable approach in
5 the future.

6 PEG-PAL, though, is an enzyme that does
7 not require a co-factor, unlike the defective enzyme
8 in PKU, which is PAH. It's a relatively stable
9 compound. It basically converts phenylalanine into
10 a non-toxic metabolite tranexamic acid. And it
11 doesn't completely eliminate the immunogenicity, but
12 PEGylation actually helps sort of mask it from the
13 immune system. So this is a very promising therapy,
14 and currently there are phase two trials in humans
15 which show quite a bit of promise. So stay tuned
16 for that.

17 So just to conclude, I wanted to review
18 briefly the future research needs that were
19 identified during the conference. And these are
20 framed in the form of a question by certain
21 categories of topics.

22 The theme of outcomes and measures was a

1 recurring theme, and this is an issue that doesn't
2 just impact PKU, but other inborn errors of
3 metabolism. Really what measures can be used as
4 screening tools and assessment tools in all domains
5 of function across the lifespan for those with PKU?

6 What are the appropriate and sensitive short-term
7 and long-term outcome measures for identifying the
8 effects of interventions for individuals with PKU?
9 If we're going to developing effective treatments
10 for these disorders, we need to be able to measure
11 the effect that they have and do it reliably and
12 consistently.

13 With regard to basic science and the
14 neurologic effects of phenylalanine and PKU, one
15 very critical question that needs to be answered is
16 what is the mechanism is neurotoxicity of elevated
17 phenylalanine levels? That still is an open
18 question that has not been answered. Are there any
19 promising biomarkers on the horizon that might be
20 valuable for monitoring PKU, its neurologic effects,
21 and the response to treatment?

22 With regard to access and social supports,

1 what are the social support systems that facilitate
2 the best clinical outcomes for individuals with PKU?

3 I think this also touches on the aspects of
4 assessing the public healthcare impact of newborn
5 screening and the treatments that we develop for
6 inborn errors of metabolism.

7 What strategies can be used to overcome
8 barriers and improve adherence to treatments in all
9 phases of life? It's known that many adults with
10 PKU go off treatment, and what can be done to help
11 support them in continuing treatment?

12 What types of implementation research
13 could demonstrate the value of treatments? And one
14 possibility that was suggested was to look at
15 comparative studies between different countries that
16 have different practices and policies. And, hence,
17 the benefit of having international collaborations.

18 With regard to clinical trial design,
19 which individuals should be eligible for new
20 treatments for PKU, and what are the best methods to
21 study their responsiveness? And what should be the
22 guiding principles when designing clinical trials

1 for pharmacologic agents or combinations of
2 therapies to be used in PKU looking at diet as well
3 as other interventions? As Kathy Camp just pointed
4 out, when the evidence review was done, the number
5 of studies that actually had a degree of rigor that
6 was considered good was relatively small. And this
7 is a real urgent need in clinical trial design.

8 With regard to genotyping, can genotyping
9 be used to determine responsiveness to therapies?
10 And should clinical trials for efficacy always
11 incorporate genotype information? I think this is
12 also a broader question than just related to PKU.
13 And given that PKU exhibits phenotypic variability,
14 what is the role of modifier genes in the disorder?

15 And then finally, with regard to resources
16 and technology, is there a role for a national PKU
17 registry of individuals to inform future clinical
18 trials and natural history studies? Can resources
19 that have been developed for other rare diseases be
20 used by the PKU community? And we were thinking
21 here about the Newborn Screening Translational
22 Research Network, certainly the Rare Disease

1 Clinical Research Network, the common data elements,
2 the CTSAs. These are all NIH and other resources.
3 And, again, if we can utilize these to the benefit
4 of PKU and other inborn errors, that would be of
5 great benefit.

6 And finally, can the technology for home
7 phenylalanine monitoring be developed to facilitate
8 disease management? The development of home
9 monitoring has not been terribly successful for PKU
10 given the sensitivity of the machines. But there's
11 hope that there might be a future in which this
12 could help facilitate monitoring, much like home
13 monitoring for diabetes.

14 So what's next? The conference was a
15 really productive endeavor, and I want to thank many
16 of you here in the audience who actually
17 participated not only in the working group process,
18 but also in attending the conference. We're
19 developing a white paper to summarize these
20 findings.

21 The conference webcast, if anyone is
22 interested, is available on the NIH video cast site

1 for free. If you would like some summary documents,
2 I'd be happy to send you my slides, and some of the
3 summary documents are also posted at this website.
4 And please feel free to contact me if you have any
5 further questions. Thank you for your attention.

6 (Applause.)

7 CHAIRMAN BOCCHINI: Melissa, thank you
8 very much. I think this is a fitting presentation
9 to end our meeting today because here we're talking
10 about the first test, the first inborn error to be
11 screened for. And 50 years later we're talking
12 about all of the things that we're talking about
13 about considering a new condition for it to be
14 nominated and adding to the RUSP.

15 So I think this is very telling about how
16 much needs to be done, and how much work is ahead,
17 and how new technologies and new potential
18 treatments change what we're doing.

19 So additional questions or comments.
20 Carol?

21 DR. GREENE: Thank you very much. That
22 was an amazing summary. I was at the meeting and to

1 get it into that short -- that was amazing.

2 I want to add to what Dr. Bocchini just
3 said and take it a step farther and say, just
4 because there's lots of unanswered questions -- this
5 is a double negative -- doesn't meant that it's not
6 ready for prime time. There are people out there
7 who have normal intelligence and have had healthy
8 babies who, if we hadn't been screening for 50 years
9 would have an IQ of 20 and seizures.

10 So to see 50 years later we still have
11 lots of questions doesn't -- it reinforces that you
12 can't let the perfect be the enemy of the good.

13 But the thing that I really wanted to say
14 is I don't think you mentioned too much about the
15 cross-cutting workgroup that worked on definitions.

16 And it illustrates, I think, the needs sometimes
17 for definitions and perhaps to connect up with some
18 of the -- because there were some problems with what
19 we're even talking about. And I wonder if you want
20 to just mention something about that.

21 DR. PARISI: Right. So from the five
22 working groups, we called one or two members from

1 each of those groups to try to work on definitions
2 because we realized that we don't always know what
3 we're talking about when we talk about PKU
4 specifically with regard to the different
5 subcategories -- classic, severe, moderate, mild.
6 What does mild hyperphenylalaninemia really mean?
7 And it was very informative to try to reach some
8 consensus with regard to that effort.

9 And I think we were able to reach
10 something that was workable for the purposes of this
11 effort. But I know the definitions and making sure
12 that we're all on the same page with regard to
13 language is extremely important.

14 CHAIRMAN BOCCHINI: Questions, comments?

15 (No response.)

16 CHAIRMAN BOCCHINI: If not, thank you very
17 much. We appreciate the presentation.

18 Is there any additional business? If not,
19 I certainly want to thank everybody. I think we've
20 had a lot of input from everybody and attendance,
21 and I think it has been a very productive and
22 worthwhile meeting. And I want to thank you all for

1 all your contributions.

2 So with that, we'll adjourn the meeting.

3 Thank you all.

4 (Whereupon, at 2:50 p.m., the meeting was

5 adjourned.)

6

7

8