































































































































































1 of age, you ended up having superior cognitive  
2 development post-transplantation. And that's  
3 what these darker lines up here are showing.

4 Now, the final study that I'd like to  
5 point out again was another study that recently  
6 came out by Poe and colleagues. And this looked  
7 at severe MPS I patients who had transplantation  
8 between 1997 and 2013, again, a wide age range. I  
9 didn't talk about -- the previous study included  
10 patients from the 1980s as a matter of fact who got  
11 transplantation.

12 And from this, they looked at a sample  
13 of 31 individuals who had a median transplantation  
14 age of about 14 months and were followed for a  
15 little over seven years. And they had a  
16 standardized battery that was done at baseline and  
17 every six to 12 months post-transplantation.

18 And -- oh, I'm like all excited because  
19 my little legend managed to survive this one. So  
20 if you -- and if you -- the dark line here where  
21 patients who were treated at a median age of four  
22 months, the yellow nine to 17 -- ranged in age from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nine to 17 months with a median age of 12 months,  
2 and then the red line were babies that were treated  
3 at a median age of 26 months, again, getting to Dr.  
4 Green's comment before, I want to make sure that  
5 you pay attention to the small numbers. So six,  
6 17, and eight. Okay?

7 And this is broken down into four panels  
8 here. I'm showing cognitive skills, adapted  
9 behavior, receptive language, and expressive  
10 language. And this little blue spongy line here,  
11 or filled in area, shows range of normal.

12 So you can see that the babies who were  
13 given treatment at the youngest age in terms of  
14 their cognitive skills were on track, as one would  
15 expect, with normally developing infants. The  
16 babies who were treated at an older age were still  
17 within this range but not doing as well. And those  
18 infants who got treated later had -- were doing the  
19 worst in terms of cognitive skills. Okay?

20 Now, adaptive behavior -- again, these  
21 are non-standardized scores, and, fortunately,  
22 K.K. is a psychologist, so she can help us like work

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 through the specific instruments if you want to go  
2 that way. I'll tell you, it's always good to work  
3 with someone who is a psychologist as well when  
4 you're reading this many papers.

5 So the -- but look what happens here  
6 with adaptive behavior over time, but certainly the  
7 babies that are getting the earlier treatments are  
8 doing better. Okay? Receptive language and  
9 expressive language.

10 So the key things that I want you to take  
11 from this study are it does look like earlier  
12 treatment leads to better outcomes across these  
13 different scores. The numbers are really small,  
14 right, so they could be swayed. And that sort of  
15 gets to the comment that Dr. Tarini mentioned.

16 And as a matter of fact, you can see here  
17 the track that individuals took within the  
18 cognitive development. Actually, I think this  
19 slide to me was one of the most helpful in terms  
20 of even though there is this wide spread, you can  
21 see that the few cases of earlier treated, you know,  
22 were doing better than the yellows who are the later

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 treated, and then the reds who are the treated  
2 latest.

3 Now, again, I'm going to put up the  
4 caution flags because these are all small numbers,  
5 and I spoke about all of the confounders earlier.  
6 I think that a reasonable argument could be made,  
7 though, that earlier treatment leads to better  
8 neurocognitive outcome, but there are all these  
9 issues about who is included and what kind of  
10 therapy did they get, and so forth.

11 CHAIR BOCCHINI: Alex?

12 DR. KEMPER: Yes.

13 MEMBER BOTKIN: Yes. Jeff Botkin. I  
14 wonder if you could clarify the confounders a  
15 little bit more. So is it the case that kids would  
16 not be transplanted prior to the development of  
17 symptoms, so that you would want to know that --  
18 sort of which general category of MPS I they had?  
19 And might it be likely that the kids transplanted  
20 at the youngest age would be biased towards the more  
21 severely affected kids, so that in fact better  
22 outcomes here might be that much more impressive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because of the negative bias, if you will, about  
2 early onset.

3 DR. KEMPER: So what you're getting --  
4 there's a sort of spectrum bias where the babies  
5 that are being detected clinically, right, are the  
6 ones that are more likely to have more severe  
7 presentation. So there's this issue with the kind  
8 of transplant they got as well that I talked about  
9 before that could affect mortality. You know, who  
10 knows? It could affect this -- you know, these  
11 issues of cognitive development and the status that  
12 the babies were in at the time that they went to  
13 treatment.

14 And so, you know, these are all things  
15 that one would like to -- and, you know, some of  
16 these studies did try to, you know, use modeling  
17 to get to these points. But what I'm telling you  
18 is that like, you know, I think the arguments that  
19 early intervention affect cognitive development  
20 really come from two streams.

21 One is the -- you know, if you look at  
22 the natural history, there's significant and rapid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 involvement of the CNS with severely affected  
2 cases. And so these are children that are on the,  
3 you know, downward curve in terms of what you would  
4 expect with their ultimate cognitive outcome. And  
5 if you can get them earlier, then you can preserve  
6 more of their cognitive outcome or put them on a  
7 different trajectory, so that, you know, they don't  
8 have that decline.

9 So they may not end up, you know, on the  
10 trajectory going back to normal, but you could  
11 preserve some of that neurocognitive, you know,  
12 status of where they would end up being.

13 Again -- I'll get to you in a second,  
14 Dr. Parisi, but it's just a matter of, you know,  
15 looking at what we'd expect in the natural history  
16 as well as these, you know, admittedly small  
17 studies.

18 Dr. Parisi?

19 MEMBER PARISI: Yes. Melissa Parisi.  
20 So this study and the prior study did not have a  
21 combination of enzyme replacement with  
22 transplantation, or is that not clear from --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KEMPER: This is -- okay. I want  
2 to make sure that I don't misstate this, because  
3 --

4 DR. LAM: Can I interrupt just briefly?  
5 So this study was a little bit unique. I don't know  
6 offhand about the enzyme replacement, but --

7 DR. KEMPER: Yes. I don't think they  
8 mentioned it in their --

9 DR. LAM: Yes. What they talked about  
10 having -- right, because it was a relatively more  
11 recent study, and they were building on previous  
12 findings of one of the confounds about -- and they  
13 used -- so all of these patients had umbilical --

14 DR. KEMPER: Right.

15 DR. LAM: -- cord blood transplants,  
16 and they had the conditioning, like transplant  
17 conditioning regimen that had been also found to,  
18 you know, at least in some studies have a positive  
19 effect, and also these transplant prophylactic  
20 medications. So that was what -- it was trying to  
21 build on that. So within that group.

22 DR. KEMPER: And I will point out, so

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this -- so what K.K. said is entirely correct in  
2 terms of, you know, trying to restrict to patients  
3 who, you know, got these specific therapies. But,  
4 you know, we all know that between '97 and 2013,  
5 you know, even within their treatment, you know,  
6 has gotten significantly better.

7 So, I mean -- yes, Dr. Greene?

8 DR. GREENE: So small numbers and also  
9 not terribly long follow up. And I know some of  
10 the follow up is nine years, which is great. I  
11 mean, the follow up is as long as it can be. And  
12 I want to preface this by saying we all know that  
13 there are advances coming, including things like  
14 gene therapy that is being worked on.

15 So slowing the progress of the disease,  
16 if you can keep somebody's function within the  
17 range of something that a child and a family will  
18 enjoy, and then hoping for something better, is  
19 what we end up talking about a lot in our clinics.  
20 But in addition to small numbers, what I think we  
21 don't know -- and we've seen this in other disorders  
22 -- and the second slide got a little bit more to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this, but the slide that just showed the -- a couple  
2 of slides back. So your develop -- your outcome  
3 is better two or three years later, but what's the  
4 trajectory? What is happening in the brain is  
5 something that I think we will need another 15 or  
6 20 years to know.

7 That's what we found with cystinosis  
8 where when we did renal transplants and saved  
9 everybody's lives, and we know beyond a shadow of  
10 a certainty of doubt, because I was taught it as  
11 a fellow, this was the one -- one of the few  
12 lysosomal storage disorders that did not affect the  
13 brain. But once they survive their kidney  
14 transplant in their twenties and thirties, they  
15 actually have a progressive brain disease.

16 So what we don't know is whether we  
17 functionally converted MPS I severe form to  
18 basically Sanfilippo with a slow version. And I'm  
19 not saying that to be negative in the sense that  
20 that means we shouldn't go forward, because if we  
21 convert it to something slower and then we come up  
22 with better therapies, that still gives people a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chance. But I just want to say it's not just small  
2 numbers, it's in the context of how this disease  
3 -- these diseases work. It's a very short time.

4 DR. KEMPER: And, unfortunately, when  
5 we did our evidence review, we can't predict what  
6 the -- you know, what is coming out in the future,  
7 although maybe we'll budget for a crystal ball.

8 But I do think that the issue that you  
9 bring up -- and I think tangentially, again, I just  
10 want to raise this again is that, you know, these  
11 infants did develop other, you know, systemic  
12 problems associated with MPS I , so it didn't --  
13 the transplant didn't completely resolve, you  
14 know, the other organ involvement associated with  
15 MPS I .

16 Now, I think Dr. Grosse probably has  
17 some comments on the neurocognitive outcomes. He  
18 has done a lot of work on that.

19 DR. GROSSE: Just to clarify the ERT.  
20 I talked -- asked Dr. Escolar, the senior author  
21 of the Poe, et al. study, and ERT was not part of  
22 their protocol. As far as I'm aware, the Eisengart

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 study is the only study that has looked at ERT.

2 DR. KEMPER: Yes. So the Eisengart  
3 was the one, like, natural history study where they  
4 did that. I didn't -- I mean, I can't -- I don't  
5 know beyond what you just said about the ERT and  
6 the Escolar study. So I guess, Dr. Boyle, and then  
7 back to --

8 MEMBER BOYLE: Just to follow up on Dr.  
9 Botkin's -- everybody is doctor around the table  
10 here -- and that is on the Poe study, and it says  
11 in the discussion that family history actually  
12 contributed to the identification of asymptomatic  
13 individuals who were treated. So some of those  
14 treated early were actually based on family  
15 history. So that would work in the opposite  
16 direction of what you were saying.

17 DR. KEMPER: Yes. It's just so hard,  
18 because they're inconsistent in how they report  
19 where cases came from.

20 Can I make another point? You  
21 reminded, Dr. Boyle, and I should have said this  
22 earlier, one of the challenges that I have in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 interpreting these data is because it's such a rare  
2 disorder and so much stuff comes from either the  
3 same treatment centers or the MPS I registry that  
4 we talked about before, I have no doubt that some  
5 of the same individuals are coming up over and over  
6 and over again in different studies. And we are  
7 just talking about them repeatedly.

8 It would be nice if we could disentangle  
9 that, but it's just -- just impossible. But you  
10 know based on the prevalence of the disorder it has  
11 to be that we're talking about the same babies  
12 multiple times.

13 Dr. Tarini?

14 DR. TARINI: That was my point.

15 DR. KEMPER: Which one, about the --  
16 oh, the family?

17 DR. TARINI: That the bias can be in the  
18 other direction.

19 DR. KEMPER: Yes, yes. I just don't --  
20 again, I want to be very cautious in how I present  
21 this. But I think from a biological standpoint you  
22 could make a good argument that early intervention

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 is going to help preserve neurocognitive outcome.  
2 And I think that with all the flaws in these studies  
3 I think that, you know, there is an argument that  
4 can be made. The challenge is going to be of course  
5 to the degree to which you feel certain about this.

6 Let's see. Oh, so I just want to just  
7 finish highlighting some of this, although these  
8 came out -- up in our Q&A session here, which is  
9 that recent advances do seem to improve survival.  
10 And certainly if you look at what has happened with  
11 the more recent transplants compared to the older  
12 transplants, it does look like they've gotten  
13 better. And, you know, for those of you who are  
14 clinicians and deal with this, I think you would  
15 agree with that.

16 But it does look like just relying on  
17 things like the registry that in these early years  
18 that we're looking, it's not the mortality effect,  
19 and who knows what is going to happen later. And  
20 sort of Dr. Greene was getting into this a little  
21 bit as well.

22 The other thing is we, you know, don't

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have any evidence regarding transplantation in  
2 completely asymptomatic infants, and those --  
3 because, you know, moving to transplantation is  
4 associated with finding any, you know, sign or  
5 symptom associated with MPS I . We're just a  
6 little bit limited in that, although I'm using air  
7 quotes here for the asymptomatic. Or actually I'm  
8 using physical quotes, because you can see them,  
9 around asymptomatic.

10 It does look like from the Eisengart  
11 work that I showed a little bit ago that ERT in  
12 transplantation, you know, potentially are better  
13 than transplantation alone, and that earlier age  
14 -- and I put nine months -- I mean, you could quibble  
15 about where to put this nine months -- does seem  
16 to lead to more normal developmental trajectories.

17 We didn't talk a lot about attenuated  
18 MPS I , and we're happy to do that. But because  
19 -- well, let me -- can I -- go ahead. Dr. Wicklund?

20 MEMBER WICKLUND: This is Cathy. Just  
21 before you go to that one, so I just want to ask  
22 and be very specific -- and you probably said this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- but, so is there any -- like is it very clear  
2 who needs to be transplanted? Like so if you  
3 diagnose or are they having difficulties with the  
4 newborn screen where they diagnose somebody, is it  
5 clear who needs to be transplanted and who does not?

6 DR. KEMPER: So if you talk with the  
7 experts, they feel very comfortable in moving  
8 babies to transplantation if they have, you know,  
9 confirmed low enzyme activity level, if they have  
10 elevated urine GAGs, you know, again, getting rid  
11 of the pseudodeficiency, if they have a mutation  
12 that is associated with what they think would be  
13 the late onset disease, and if they have any early  
14 sign or symptom of severe MPS I .

15 And, of course, this, you know, gets  
16 into the realm of clinical judgment, and I think  
17 that there may be, you know, some disagreement  
18 about the degree of involvement that you would need  
19 before you get to MPS I .

20 You know, in terms of this prospective  
21 screening activity in the United States, there has  
22 only been one baby that was identified. That baby

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 had severe MPS I . I don't believe that case report  
2 has been published, but I can tell you that this  
3 is a family that opted not to go to transplantation  
4 early for a variety of different social reasons,  
5 and the baby did die as a result of the  
6 transplantation due to CMV infection.

7 So, you know, I wouldn't want that one  
8 case, though, to drive everything again, because  
9 these are, you know, small numbers. But this is  
10 like a long way to answer your question, that from  
11 the experts that we have spoken to, they feel  
12 comfortable about when to move babies to  
13 transplantation.

14 The question will always come up, you  
15 know, is there a possibility that a baby might get  
16 transplanted who turned out not to have severe MPS  
17 I , right? This has come up with every condition  
18 that we have looked at where transplantation is the  
19 treatment, and that's -- I mean, I just can't answer  
20 that easily, and, you know, things change when they  
21 move into the clinical venue.

22 But the experts feel very strongly that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they'd be able to separate out those babies that  
2 ought to have transplantation versus those who  
3 don't. And for those of you -- actually, Dr.  
4 Greene is raising her hand, and she is -- you know,  
5 actively deals with transplantation, so I'd be  
6 interested in your comments.

7 DR. GREENE: Well, very little -- very  
8 little transplantation, but speaking as the  
9 liaison from the SIMD for the clinical community,  
10 and not as somebody who would identify myself as  
11 an expert -- there is a reason I wasn't on that  
12 expert panel -- I feel -- and also, if you go back  
13 one slide, or maybe more than one, the slide that  
14 said -- yes, no evidence regarding transplant in  
15 asymptomatic infants. That's because nobody  
16 would or should transplant an asymptomatic infant  
17 with what we know currently, and that's the concern  
18 that was just so eloquently described about, you  
19 know, is there a possibility.

20 Speaking as -- in this respect at least,  
21 an average ordinary metabolic doc, I feel  
22 comfortable that I could identify whether a child

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 has symptoms at birth on a good -- combination of  
2 good physical examination, ophthalmology  
3 examination, and an X-ray. And I'm not an expert  
4 in MPS I , and I feel comfortable that I could  
5 distinguish. And I think that -- and I've got a  
6 lot of experience, even though I'm not a very  
7 specific MPS I doc, and I think even a metabolic  
8 geneticist with less experience who might not be  
9 completely sure could talk with one of these  
10 experts.

11 So I think it's -- there's the small  
12 risk of somebody being transplanted who shouldn't,  
13 but, yes, I think it's possible to tell who is  
14 clinically affected by the severe form and needs  
15 to have a transplant. And if they don't look like  
16 that early on, you monitor. So I feel comfortable  
17 with that.

18 DR. KEMPER: And unlike, you know,  
19 Pompe disease, what we know about the epidemiology  
20 is that most cases that come to attention are going  
21 to be the severe form and not the attenuated form.

22 Let me just -- okay. So we didn't --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I didn't talk a lot about the attenuated form,  
2 because I just didn't think that it was going to  
3 be what would drive this conversation today. But,  
4 you know, there is a lot -- you know, many studies,  
5 including a trial, that shows that enzyme  
6 replacement therapy does lead to improved outcomes  
7 in symptomatic individuals with attenuated  
8 disease.

9 There are also two case reports of  
10 siblings that suggest that early use of enzyme  
11 replacement therapy in asymptomatic children can  
12 limit disease progression. But, you know, it's --  
13 you know, these are case reports. Enzyme  
14 replacement therapy, you know, of course is  
15 associated with the need for weekly infusions.  
16 There's, you know, the likely -- there's a chance  
17 of developing antibodies to enzyme replacement  
18 therapy.

19 I can't tell you how often that is or  
20 the degree to which that interferes with the  
21 treatment, just given the lack of studies that are  
22 out there.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR BOCCHINI: Dr. Greene?

2 DR. GREENE: The second bullet, again,  
3 speaking as the SIMD liaison, but in this case  
4 speaking for myself as the author of a paper with  
5 Mimi Blitzer many, many years ago. Two case  
6 reports of sibling sets, I have two case reports  
7 of sibling gets, Sanfilippo and Hurler.  
8 Spectacularly disparate clinical course in the two  
9 kids in each sibling set.

10 So just because the second child, the  
11 younger child who got ERT is doing well, that  
12 doesn't mean that the child wouldn't have been  
13 doing equally well without the ERT. The case  
14 report was long before we had ERT and wildly  
15 disparate presentation.

16 DR. KEMPER: I appreciate you saying  
17 that, and I, you know, agree with the caution  
18 whenever we present these little teeny case  
19 reports.

20 All right. So Dr. Prosser -- oh, I'm  
21 sorry --

22 CHAIR BOCCHINI: Since we're going

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into modeling, this is a good time to take a break  
2 and then get you back on the podium in 15 minutes.

3 DR. KEMPER: All right. I will -- and  
4 I can even stay around for questions.

5 CHAIR BOCCHINI: Oh, yes, you will.

6 DR. KEMPER: I mean, during the break.

7 CHAIR BOCCHINI: Okay. All right.  
8 Okay.

9 DR. KUS: FYI, Chris Kus joined, too.

10 CHAIR BOCCHINI: Thank you, Chris.  
11 Good to have you.

12 DR. KUS: Okay. I've been on for a  
13 while.

14 CHAIR BOCCHINI: All right. Great.

15 So we're going to take a 15-minute  
16 break, and then we're going to get back at 11:15.

17 Thank you.

18 (Whereupon, the above-entitled matter went off the  
19 record at 10:55 a.m. and resumed at 11:19 a.m.)

20 CHAIR BOCCHINI: All right. If  
21 everyone will take their seats?

22 DR. KEMPER: All right. So welcome

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

















































1 possibility, but that's where the consensus of the  
2 expert panel was, that this was really unknown at  
3 this point.

4 DR. GREENE: So if you could go back to  
5 that earlier slide, and also I think I have a  
6 further clarification to Dr. Botkin's question.

7 I think the answer is, no, we don't  
8 expect -- and, again, I'm not an MPS expert, I'm  
9 just a biochemical doc. Okay?

10 DR. KEMPER: Are you talking about this  
11 slide?

12 DR. GREENE: Yes. That slide.

13 DR. KEMPER: Okay.

14 DR. GREENE: But first, a little bit  
15 more. I think you can be more definite in response  
16 to Dr. Botkin's question.

17 If -- so there will be people identified  
18 with the attenuated form, but we're talking  
19 attenuated. So then the discussion should include  
20 that we might be finding people on newborn  
21 screening who would have adult onset disease, but  
22 we're eliminating the people who have no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 glycosaminoglycans excretion in the urine. So the  
2 pseudodeficiencies, the people who will never get  
3 the disease are, in my understanding --

4 DR. KEMPER: Right. No, that's 100  
5 percent correct.

6 DR. GREENE: -- as a clinical metabolic  
7 geneticist.

8 DR. KEMPER: Right. So --

9 DR. GREENE: There is not going to be  
10 anybody who never gets sick. They might get hit  
11 by a bus young enough that they never got the  
12 symptoms of Scheie, but --

13 DR. KEMPER: Right. Well, I'm going  
14 to be --

15 DR. GREENE: -- were taking out the  
16 non --

17 DR. KEMPER: I'm going to be a little  
18 bit more wimpy than you are, right? So the  
19 pseudodeficiency -- I'm not worried about that.  
20 They are taken out. But we know that when you start  
21 doing mass population screening you find things  
22 that you weren't expecting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So there is the possibility that you  
2           could find a class of children who have, you know,  
3           low levels of IDUA but probably enough functioning  
4           IDUA that they do okay and may develop, you know,  
5           problems, you know, many years down the road.

6           But who knows? I mean, I can't --  
7           that's not like a -- you know, there is no evidence  
8           to suggest that that's going to be a big problem,  
9           but it could happen. I mean, it certainly -- you  
10          know, drawing analogy from other conditions.

11          DR. GREENE: Right. And so I wanted to  
12          come back -- the reason I had my hand up originally  
13          is to come back to that. And so I completely agree  
14          with what Dr. Kemper just said, just speaking as  
15          a clinical metabolic geneticist.

16          DR. KEMPER: Let the record reflect.

17          DR. GREENE: Yes. Absolutely. So  
18          there are certainly going to be people who would  
19          live long enough that they never have any  
20          meaningful symptoms, that they have a little bit  
21          of a thickening of a valve that doesn't affect them  
22          or a little bit of stiffness of fingers, so that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 they might not have any meaningful clinical  
2 symptoms, but the people who truly would never  
3 develop anything would be, at least as far as we  
4 know, screened out, except that we don't know what  
5 we're going to find.

6 What I wanted to come back to this slide  
7 for is there seemed to be a little confusion that  
8 somebody said average age of diagnosis a year and  
9 a half, but it's average age of diagnosis a year  
10 and -- average age a year and a half for treatment  
11 initiation. It's average age of diagnosis, about  
12 seven or eight months for diagnosis in the severe  
13 form. And that's the -- and I really don't think  
14 it will take anybody six months to sort out, do you  
15 have the severe form or the mild form?

16 So I think what we're doing is probably  
17 comparing something like between one and two months  
18 age of diagnosis after a positive newborn screen,  
19 an average eight months -- average eight months-ish  
20 diagnosis clinically, with a huge spread.

21 And some of that spread, some of the  
22 zeroes are probably are siblings. And some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 zeroes reflect the fact that whatever else -- not  
2 to detract from the discussion of newborn  
3 screening, but when that eight-month-old or that  
4 23-1/2-month-old is diagnosed, I have never met --  
5 and I just at the break got to talk with a parent,  
6 and he has never met a parent who didn't complain  
7 of symptoms for some period of time before the  
8 pediatrician finally said, yes, there is something  
9 there.

10 So when that diagnosis is made at eight  
11 months, the family has often been saying since a  
12 month or two there is something weird about the  
13 back. So there's --

14 DR. KEMPER: So I would -- I think  
15 you're right, you know, anecdotally, but I just  
16 want to make sure that -- you know, so we don't have  
17 any evidence that says that, but drawing from  
18 analogy for other conditions, I'm sure that's true.

19 I mean, just finish one quick thought  
20 too, which is remember to, when you look at the  
21 registry that these are -- you know, this is a  
22 voluntary registry system. It is not the same as,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know, population level epidemiology. So I  
2 don't want to, you know, put too much weight on like  
3 particular numbers, but I think it gives a good  
4 flavor to how things are. Does that make sense?

5 So, Dr. Bocchini, I don't know if I'm  
6 allowed to call on someone from the audience or not.  
7 Somebody from the audience had a question. I'm not  
8 sure if I'm allowed to -- what the rules are.

9 MR. HOLLAND: Yes. I would just like  
10 to make one comment. And I'm not -- it sounds like  
11 this is the Missouri study and maybe not. So I'm  
12 speaking more broadly and based on my knowing these  
13 families and seeing them.

14 But the typical -- unless it's a sibling  
15 where they're able to identify the disease very  
16 early and transplant very early, and in such small  
17 populations maybe that's skewing this data.

18 The typical family does not know  
19 anywhere close to six months of age. They are not  
20 diagnosed that early. The typical scenario is  
21 that by the time they are finally diagnosed they  
22 are pushing 24 months, in which case the transplant

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 center may or may not even transplant their child  
2 because it has been diagnosed so late.

3 So that's just sort of the reality of  
4 the world. Don't know how it is impacting your  
5 numbers, but there is a strong, strong, pervasive  
6 -- you know, of what happens.

7 DR. KEMPER: So I think the point that  
8 you're making sort of underscores what I said  
9 before, which is, you know, the data from the MPS I  
10 registry are all, you know, voluntary,  
11 self-reported, may not reflect the, you know,  
12 experience of any particular families. And,  
13 again, sort of the pathway to the registry, you  
14 know, is not there for everyone.

15 Yes?

16 MEMBER WICKLUND: This is Cathy  
17 Wicklund. So if you take out the siblings of that  
18 calculation of age of diagnosis, what do you get?

19 DR. KEMPER: I can't do that from the  
20 registry data.

21 MEMBER WICKLUND: Oh, you can't do it  
22 from the registry data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KEMPER: I mean, I'm sure it could  
2 be done, but I can't.

3 All right. So I'm going to -- you are  
4 going to have a welcome transition, I'm sure, to  
5 my good friend and colleague. But I'll be back.

6 MR. OJODU: He will be back.

7 Good afternoon, everyone. Big shout  
8 out to a number of folks that made this happen.  
9 Elizabeth Jones, APHL staff, the CRW Work Group,  
10 and then most especially to the state newborn  
11 screening programs for providing the information  
12 that I'm going to present to you this morning --  
13 or afternoon.

14 So let's see, how do you -- so I'm going  
15 to give a brief overview of the public health  
16 system's background, how we got here, our role in  
17 completing and providing this information to you  
18 all, methods, how we collected the information,  
19 disseminated the information to state newborn  
20 screening programs, and then talk a little bit  
21 about the results and a summary of the data that  
22 we have here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           You probably do have in your packets the  
2           23-page report that includes the summary of the  
3           public health system impact, as well as the survey  
4           tool and the fact sheet that we developed to send  
5           out to state newborn screening programs to get a  
6           better sense -- or to have them get a better sense  
7           of MPS I.

8           So I don't think I need to spend too much  
9           time talking about this. We know that this is an  
10          additional important component of the evidence  
11          base, to add a new condition to the recommended  
12          screening panel.

13          And as noted a number of times, these  
14          recommendations are based on the certainty of net  
15          benefit and the -- in moving forward, obviously,  
16          the feasibility and readiness of implementing  
17          comprehensive screening. And I'm going to define  
18          both feasibility and readiness in the coming  
19          slides. But, you know, combine both of those, we  
20          would get a good sense of the public health -- at  
21          least try to get a good sense of the public health  
22          impact on newborn screening programs, to add a new

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 condition to RUSP.

2 So you've seen this a number of times,  
3 but it's important to highlight the part of the  
4 public health impact that I'm going to be -- that  
5 this survey focused on. It's the one with the red  
6 bar at the top there, feasibility and readiness.

7 So I'll leave it at that. Sorry about  
8 the formatting there. It looked better on my  
9 slide. I'll leave this up for another five  
10 seconds.

11 So our role. We were tasked by the CRW  
12 to work with DACHDNC -- that's how you pronounce  
13 it. SACHDNC and DACHDNC. With DACHDNC,  
14 condition review work group, to improve and  
15 streamline the process of the public health impact.

16 We have been working -- we had a meeting  
17 in the middle of last year, brought a number of  
18 experts together to help us redefine and better  
19 streamline the process of assessing public health  
20 impact. The result of all of that work led to what  
21 we put together over the last five months or so in  
22 conducting the public health system's impact and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 assessment, evaluating states' newborn screening  
2 programs' capability to implement MPS I.

3 I don't think I need to spend too much  
4 time talking about the importance of why we are  
5 doing this assessment, but certainly it's to inform  
6 you all as you make those final decisions, to add  
7 a new condition to the recommended uniform  
8 screening panel. But it's to also provide you with  
9 real newborn screening walled  
10 barrier/facilitators related to newborn screening  
11 -- call it issues, challenges, and also successes  
12 as well, because I think we have learned a great  
13 deal from, you know, the two states that are  
14 currently screening -- or the three states -- or  
15 two states that are screening and the other state  
16 that will be screening for MPS I in the future, and  
17 I'll talk a little bit about that later.

18 We wanted to get a sense of the  
19 opportunity costs, and ultimately share practices  
20 that can improve on implementation strategies. I  
21 think this is a key aspect of the survey that we  
22 sent out to the state newborn screening programs

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to get those practices, what they have in place for  
2 those who were screened and those that are not  
3 screening, what they need to put in place.

4 So I do have something to read here. So  
5 for the past couple of years, we have worked to  
6 improve and streamline on the processes related to  
7 the public health impact. And the survey that we  
8 sent out to the state newborn screening programs  
9 was mainly to one designated contact in every state  
10 that was responsible for spreading the gospel of  
11 this particular survey around to all of the newborn  
12 screening program system -- stakeholders in the  
13 newborn screening system. Whether it was lab  
14 follow up, you know, the specialist, the medical  
15 home, we wanted to get a good sense of what it will  
16 take from screening to long-term follow up.

17 So we surveyed 53 states -- no, 50  
18 states and three territories, plus the District of  
19 Columbia. And we also got detailed phone  
20 interviews in the form of a phone dialogue and  
21 question-and-answer kind of session between these  
22 three states that have either -- that has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population screening for Pompe, a pilot for Pompe,  
2 or had recommended -- I'm sorry, MPS I, for the MPS  
3 I activities. Sorry about that.

4 So I'll just go back and say that again  
5 for the record. We conducted interviews, phone  
6 interviews with three states and newborn screening  
7 programs directly related to how they are  
8 implementing or will be implementing newborn  
9 screening for MPS I, in the form of phone  
10 interviews.

11 We also developed a fact sheet, and I'll  
12 talk a little bit about that later. This is also  
13 part of your packet. This fact sheet was to give  
14 state newborn screening programs that were  
15 completing this survey, you know, a good sense of  
16 the background information related to MPS I:  
17 incidence, laboratory methodologies, treatment  
18 options, and you can find that in -- as part of your  
19 package as well.

20 And then we had outreach --- webinar  
21 outreach to a number of folks in the newborn  
22 screening community. We reached out to state

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 newborn screening programs directly. We reached  
2 out to regional collaboratives and collecting  
3 information and making sure that they know the  
4 importance of this particular public health  
5 systems impact survey for MPS I, and then provided  
6 a webinar for all of them to provide any questions  
7 that they may have in completing the survey.

8 So we defined feasibility with these  
9 four bullet points here, feasibility of adding a  
10 new condition to the recommended uniform screening  
11 panel. One, an established and available  
12 screening test, a clear approach to diagnostic  
13 confirmation, an acceptable treatment plan, and an  
14 established approach to long-term follow-up plans.  
15 That's how we defined public health impact for MPS  
16 I to state newborn screening programs.

17 Please.

18 MEMBER MATERN: Dieter Matern. How do  
19 you define established screening test?

20 MR. OJODU: So we went back and used --  
21 looked at what states that were currently screening  
22 for MPS I were -- the kinds of tests that they were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 using. Obviously, that's what we had to work with  
2 there.

3 For readiness, we had three categories  
4 State newborn screening programs were ready and  
5 could implement within a year, developmental  
6 readiness, which we focused on -- which focuses on  
7 state newborn screening programs could implement  
8 the addition of a new condition to the recommended  
9 uniform screening panel within one to three years,  
10 and then unprepared. As it notes there, most state  
11 newborn screening programs will take more than  
12 three years to implement the new condition.

13 All right. So let's talk about the  
14 interview results here. Remember, these are phone  
15 interviews that we conducted with the states that  
16 either have a legislative mandate, state pilot, or  
17 other pilot, for MPS I. And as you can see there,  
18 there were three of them that we reached out to.

19 Some of the results are as follows. In  
20 reference to interviews that we conducted, when  
21 asked of the considerations during implementation  
22 process, the states that are currently -- have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 currently implemented or plan to implement MPS I  
2 noted that they met with their state advisory  
3 committees or boards. They certainly had to  
4 consider obtaining the equipment that they are  
5 going to use for testing.

6 Choosing and validating the screening  
7 methodology, developing clinical protocols, which  
8 is no small task, resolving database and LIMS  
9 reporting out systems, collaborating with not only  
10 just the medical specialist but pretty much  
11 everyone in the newborn screening systems, and in  
12 some cases conducting pre-pilots.

13 And these are for the three states that  
14 are -- that we did the phone interviews with. The  
15 next several slides will focus on those results  
16 that we got from those phone interviews in-depth.

17 So barriers to implementation. Cost,  
18 and I'll talk a little bit about this later, but  
19 certainly the cost and time involved in obtaining  
20 new equipment. Whether it's new equipment that  
21 they don't currently have in their lab or they need  
22 to get new upgrades to the lab infrastructure,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hiring the competent staff for testing, dealing  
2 with a high number of false positives and  
3 pseudodeficiencies, and, as noted earlier, the low  
4 incidence of the disorder.

5 Continuing on with the barriers to  
6 implementation, their -- the states that we talked  
7 to noted the difficulty in creating algorithms in  
8 reference to treatment for MPS I, the uncertainty  
9 regarding age of onset and how to handle cases of  
10 unknown phenotypes. The burden -- and I will  
11 define this a little bit later in my slides -- on  
12 the complete medical system and medical -- the  
13 newborn screening system as a whole, and then  
14 method validation processes. Those were some  
15 other barriers to implementation in those states  
16 that either currently screen or plan to screen.

17 So these are factors that will aid. As  
18 I said, we weren't just focused on the challenges.  
19 We wanted to get a sense of, you know, what are the  
20 things that will aid in implementation for this new  
21 condition.

22 And as noted before, some -- the states

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 noted that multiplexing for MPS I with other LSDs  
2 is something that certainly will help. Conducting  
3 a pilot, we heard this from those states as well  
4 as having the infrastructure, lab and other kinds  
5 of infrastructure, related to adding a new  
6 condition in place.

7           Developing well-defined protocols  
8 through -- you know, whether it's lab protocols,  
9 the treatment protocols, all of those have to be  
10 in place prior to the implementation. And then  
11 pretty much having a really strong relationship  
12 with -- relationship and communication with pretty  
13 much everyone in the newborn screening system,  
14 from, you know, the medical professionals, the  
15 follow-up coordinators and staff, and the  
16 laboratorians as well.

17           Additional challenges are as follows  
18 from the states that are currently screening or  
19 plan to screen. Time required to validate the  
20 laboratory instrument, adjusting cutoffs to reduce  
21 the high false positives that I noted earlier, not  
22 having QA/QC materials from CDC, and proficiency

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 testing materials as well. And in some cases we  
2 did hear that not having an FDA approved kit for  
3 MPS I was also a challenge to implementing this  
4 method.

5 For the three state newborn screening  
6 programs and stakeholders that we interviewed, we  
7 got a sense -- and they noted to us that they  
8 believed that it would take approximately two to  
9 three years, or three -- or more than three years  
10 to complete the entire process, from obtaining  
11 equipment to implementing statewide newborn  
12 screening -- a statewide newborn screening  
13 population project for a new condition, in this  
14 case MPS I.

15 Yes?

16 MS. BONHOMME: This is Natasha  
17 Bonhomme. For the slides that you have just  
18 presented with the different lists, are those just  
19 a general listing, or are those listed in any type  
20 of rank order from the conversations you had with  
21 the states?

22 MR. OJODU: That's a good question. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 want to say that they are a general listing. I  
2 don't think that they -- we weighted them any which  
3 way.

4 Any other questions? Okay. So we did  
5 that. Let's see here. All right.

6 So as I noted, funding challenge is key.  
7 For the states that we interviewed, we wanted to  
8 get a sense of how they would bring on a new  
9 condition, in this case MPS I, with, you know, some  
10 of the barriers related to the authority to screen  
11 for a condition and also the costs related to adding  
12 or implementing a newborn screening condition, in  
13 this case MPS I.

14 And as noted here, these were -- and  
15 these are weighted, obviously, by the different  
16 challenge, whether it's major, minor, or not a  
17 challenge. Providing the screening tests, 81  
18 percent said that it was a major challenge.  
19 Long-term follow up for those late onset diseases  
20 or folks -- infants that are carriers, about 74  
21 percent or 26 states noted that it was a major  
22 challenge, and then the non-trivial activity of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 increasing state newborn screening program newborn  
2 screening fee.

3 In some states it's a -- they have to  
4 go through a legislative mandate to do that.  
5 Others have it a little bit easier, but certainly  
6 it was a major challenge for about 56 percent of  
7 the states that responded back to us.

8 So this is a little bit busy, and it  
9 probably is a little bit more clear on your computer  
10 screens. I wanted to highlight a couple of things  
11 on this slide -- factors for impeding or  
12 facilitating newborn screening.

13 I think approximately 54 percent of the  
14 states noted that it would take approximately a  
15 year or so to get a new tandem mass spec into their  
16 laboratory for screening purposes for MPS I.  
17 Thirty-nine percent of the states said that it  
18 would take approximately a year to do the same thing  
19 for the advanced liquid logic methodology that was  
20 noted earlier.

21 Making sure that there was enough  
22 technical staff within the lab to screen for MPS I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was also a number of other factors that were noted,  
2 including the capacity to report out on the LIMS  
3 system and making sure that they have the interface  
4 -- instrumentation interface to address that  
5 particular new condition to their newborn  
6 screening panels.

7 So this question dealt with other kinds  
8 of activities related to things that may hinder or  
9 will hinder implementation, may hinder, have no  
10 impact, aid, or will aid in implementation. As  
11 noted here, costs per specimen, which is calculated  
12 at least in this as the personal equipment and  
13 reagent, was something that states' newborn  
14 screening programs that completed the survey said  
15 that will hinder implementation in their programs.

16 Other ongoing activities related to  
17 continuous quality improvement, the extent to  
18 which the screening protocols for MPS I have  
19 demonstrated in other -- have been demonstrated in  
20 other newborn screening programs. As I noted  
21 earlier, you know, the two states that are  
22 currently screening has provided very valuable

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information for other newborn screening programs  
2 on how to bring on this particular condition into  
3 their state newborn screening programs.

4 And then the expected cost-benefit for  
5 screening in states, and I think Scott Grosse  
6 talked a good amount about that, so I'll leave that  
7 alone.

8 So these are the results from the state  
9 newborn screening programs, the approximately,  
10 let's see, about 39 state newborn screening  
11 programs that responded, excluding the three that  
12 are either screening, have a pilot to screen, or  
13 plan to screen in the future.

14 Fifty percent of those programs noted  
15 that funding costs is -- funding and costs is  
16 associated with the most significant barrier  
17 related to implementation. Other barriers  
18 including not having MPS I on the recommended  
19 uniform screening panel, the condition not meeting  
20 the criteria for addition to the -- for screening,  
21 limited ERT capabilities, the high number of false  
22 positives, and the uncertainty with mild cases of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the disorder.

2 Facilitators -- our greatest  
3 facilitators -- about a quarter of the states noted  
4 that having a treatment and, you know, good  
5 clinical outcome and, you know, having evidence  
6 showing the utility of screening is one of the  
7 greatest facilitators for, you know, adding a new  
8 condition, in this case MPS I.

9 About a fifth of them also noted  
10 funding. That's going to be a continuous theme in  
11 this presentation. And other factors, at least  
12 facilitators that were noted, including from some  
13 states having an FDA approved kit, and the addition  
14 to the recommended uniform screening panel.

15 So in reference to timing for  
16 implementation activities, states noted that they  
17 needed a good amount of time, in this case a year  
18 or -- a year to two years to develop and consult  
19 with their medical staff and specialists on  
20 developing protocols related to MPS I. It takes  
21 approximately that much time to do -- hire  
22 necessary laboratory staff and follow-up staff,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and about 50 percent of the states said that it  
2 takes about a year or less.

3 An additional 31 percent said it takes  
4 a year to two years to have a pilot for the screening  
5 process within the state to especially complete the  
6 validation and have that in place.

7 So the strength of the survey. I think  
8 the outreach that we did to state newborn screening  
9 programs, among other things, the importance of  
10 making sure that state newborn screening programs  
11 understand why we are doing the public health  
12 system impact for MPS I, you know, led to a very  
13 good, in my opinion, survey response rate.

14 This particular survey was filtered out  
15 I think in December -- actually, no, November 18th,  
16 and we closed it I think on January 7th. So  
17 approximately six weeks with the holiday there --  
18 holidays there.

19 It gave us enough time to really talk  
20 to the states, tell them the importance of  
21 completing this survey, and making sure that they  
22 understand the impact on how you will make that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 final decision on adding a new condition, in this  
2 case MPS I.

3 Providing that webinar and fact sheet  
4 for respondents was also key. Remember, most of  
5 these states don't screen for MPS I, and so it was  
6 very important to be able to develop that fact  
7 sheet, which is part of your packet there, for state  
8 newborn screening programs to get the -- I would  
9 say more than basic or baseline on MPS I activities.

10 So it's also -- it was also very good  
11 to assess perceptions about implementation based  
12 on experiences with other disorders. These  
13 individuals in state newborn screening programs  
14 have added to conditions, whether as a legislative  
15 mandate or other ways, and, you know, having a sense  
16 of how those things work and the implementation  
17 strategies certainly helped in completing this  
18 survey.

19 And then, finally, the assessing  
20 real-world experiences is something that we cannot  
21 take for granted. I think it was very good in  
22 getting a sense of, at least for the states that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 were screening and for the states that plan to  
2 screen, what it will take to screen for MPS I .

3 So there were the limitations. We  
4 didn't want to focus too much on the cost aspect  
5 of things, and so we assumed that a number of things  
6 were in place -- the authority to screen, and you've  
7 heard -- may have heard folks talk about that.  
8 That actually takes a while to get that legislative  
9 mandate or other ways in adding a new condition to  
10 a state newborn screening panel, and then having  
11 the funds allocated to actually do the screening.

12 The assumption was that both of these  
13 things were in place prior to, you know, completing  
14 the survey. And so obviously, you know, there were  
15 a number of hypotheticals which led to subjective  
16 responses. We were trying to get a sense of, you  
17 know, a good number of states that aren't screening  
18 for MPS I , what it would take for them, and using  
19 a survey tool that we continue to revise to get the  
20 best information related to the public health  
21 impact for MPS I .

22 And then, the limited data on screening

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for MPS I in states. I think Alex did a great job  
2 of presenting the evidence of what we know about  
3 MPS I and the states that are currently screening.  
4 I think one has been screening for 23 months, and  
5 the other has been screening for three months at  
6 the moment. You know, having -- providing a little  
7 bit more information about how screening is done  
8 in those states would have been a little bit more  
9 helpful.

10 So approximately four-fifths, 80  
11 percent of the states, believe that it would take  
12 approximately one to three years, given that they  
13 have the authority to screen and they have the funds  
14 allocated to do the screening, to implement  
15 screening for MPS I.

16 And from the decision matrix that I  
17 provided earlier, we would categorize the  
18 responses and slot the states' collective  
19 responses as development or ready. I can go back  
20 to that slide, but I think you all remember that.  
21 I just passed it.

22 Additional conclusions -- funding and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cost-related challenges. There were a number of  
2 states that stressed the uncertainty about the  
3 pseudodeficiency mutations and mutations of  
4 unknown significance, as well as the long-term  
5 follow up for infants with MPS I. And for the  
6 states -- we learned a good deal from the states  
7 that are currently screening for MPS I, and  
8 detecting a large number of false positives, you  
9 know, remain an important challenge for those  
10 states that are actually screening.

11 And so I'm going to pass this back to  
12 Alex.

13 DR. KEMPER: So I think everyone might  
14 be happy to know this is our last slide. And I  
15 appreciate you staying with us so far.

16 There is really a lot of nuance to all  
17 of this, and, you know, I just want to go through  
18 and like highlight some of the lessons that I have  
19 learned. And, you know, it's interesting that I  
20 got a note from Anne Comeau as I was sitting here  
21 as well is that, you know, she wanted me to  
22 emphasize that a lot of the data that we're talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about come from small numbers, and we're looking  
2 at, you know, disparate, you know, developmental  
3 outcomes, and only within, you know, a relatively  
4 limited period of time in terms of follow up.

5 And, again, the things -- you know, you  
6 discover things when you begin to screen in states  
7 like Missouri. So, you know, there are issues of  
8 uncertainty, and what we tried to do is do our best  
9 at pulling the threads together. But, again, a lot  
10 of this is based on small numbers.

11 And at the risk of sounding like a  
12 broken record, when we look at things like the  
13 registry, there is, you know, data, and it is  
14 incomplete, and it's hard to tell from the studies  
15 exactly how people came in. And, of course, you  
16 know, there are just changes going on all the time.

17 So to highlight some things that I take  
18 away from it is the birth prevalence is about one  
19 in 100,000. Best we can tell, most cases are  
20 severe. Dr. Matern pointed out, though, with mass  
21 screening that in fact you may begin to find other  
22 more mildly affected individuals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Screening can identify infants with MPS  
2 I, and it has been implemented in Missouri and  
3 Illinois. And, you know, the one case of severe  
4 MPS I has been detected, as I mentioned earlier.

5           It is still unclear which screening  
6 methods are best. So without getting into the  
7 nuance, there is competing tandem mass spec  
8 platforms, and there is the digital microfluidics.  
9 All require adoption of new methods for states that  
10 aren't screening yet for the lysosomal storage  
11 disorder. So this group has already recommended  
12 to the Secretary that Pompe disease be added.

13           So if you were screening for Pompe  
14 disease, which is lysosomal storage disorder, then  
15 there is this, you know, smaller incremental  
16 addition for adding MPS I , although the fact that  
17 it's an incremental addition alone shouldn't be the  
18 reason for adding a condition. But I do want to  
19 point out that for states that aren't screening for  
20 any lysosomal storage disorders, you know, there  
21 is a lot of work that needs to go -- be put in, as  
22 Jelili mentioned.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The expected number of false positives  
2 related to pseudodeficiency is greater than was  
3 initially anticipated. Early detection of MPS I  
4 compared to clinical detection may not improve  
5 survival, at least in those first early years of  
6 life.

7           Early treatment, and so moving the  
8 clock back to earlier than nine or 16 months,  
9 depending upon how you look at the studies, may lead  
10 to improved developmental trajectories for  
11 cognitive outcomes. But, again, the caution is  
12 that these are based on small numbers.

13           And I raised the issue about  
14 confounding before, or whether or not there are  
15 other predictors of better or worse developmental  
16 outcomes. And, again, the challenge is both in the  
17 ways that the studies have been reported but also  
18 the fact that case accrual is slow, because  
19 fortunately it is a rare disorder.

20           In terms of attenuated MPS I, the age  
21 at which symptoms develop cannot be predicted.  
22 There is no direct evidence -- and by that I mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 things like trials -- that pre-symptomatic  
2 treatment can lead to better outcome than once  
3 individuals becomes symptomatic. There were  
4 those case studies of sibling pairs, but, you know,  
5 Dr. Greene did a good job of explaining, you know,  
6 the problems with generalizing from it. But,  
7 again, with such a rare disorder, that may be the  
8 best that we can get.

9 So, you know, there is a lot of nuance,  
10 and hopefully I've -- we've done a good job of  
11 capturing those things.

12 I'm going to open things up for  
13 questions. I don't know if you want to do that now,  
14 Dr. Bocchini, or let people take a mental health  
15 break. Or a biological break.

16 CHAIR BOCCHINI: Let's take some  
17 questions. But, first, I want to thank you both,  
18 and really -- it's really nice to see the evolution  
19 of the public health impact work that you and your  
20 colleagues have done. So really appreciate that.

21 So let's go ahead and take questions  
22 from the Committee.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KEMPER: I saw Dr. Boyle go up  
2 first, and then --

3 CHAIR BOCCHINI: Okay.

4 MEMBER BOYLE: So could you go back to  
5 your previous slide, please? Thank you. This is  
6 Coleen Boyle. It's on your second-to-last bullet  
7 about the cognitive outcomes and the issues around  
8 unmeasured confounders, or they may be measured but  
9 not something that you have access to.

10 I guess I'm going to ask you to -- and  
11 your group to give some thought in the next minute  
12 about whether or not you can -- I mean, have you  
13 exhausted what you can look at with regard to that  
14 data? Or do you feel like you can go another level?

15 DR. KEMPER: You know, so part of me  
16 feels like, you know, you can always dig deeper.

17 MEMBER BOYLE: Right.

18 DR. KEMPER: But I'd be interested in  
19 hearing, you know, what other people say, because,  
20 you know, I may be lost in the forest right now.  
21 But when I put on my analysis hat, right, you need  
22 to have a certain number of outcomes for every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 confounder that you want to consider. And I think  
2 that teasing things apart could be done, but I think  
3 it would require prospective case ascertainment.

4 I think there are two issues. Let me  
5 back up, right? So there's issues about what is  
6 going to happen when -- if, you know, screening were  
7 to be broadly adopted, right? And so we can  
8 predict, based on the Missouri data, which used  
9 digital microfluidics, but there are competing  
10 methods and Dr. Matern brought up his, you know,  
11 emerging experience about the degree to which you  
12 are going to pick up attenuated cases versus, you  
13 know, the more severely affected ones.

14 And then, there is a whole host of  
15 questions that I would like to know about what  
16 predicts outcomes in transplantation beyond just  
17 the age at transplantation. So, you know, one  
18 would guess it would have to do with, you know, the  
19 genotype and how the -- you know, the health of the  
20 baby otherwise in terms of how severely the baby  
21 is affected by the time the baby went to transplant,  
22 there are probably factors related to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transplant itself, and I'm not an expert to comment  
2 on in terms of other involvement. You know, it  
3 would be interesting to know, you know, things  
4 like, you know, brain imaging, MRI, nerve  
5 conduction stuff, all that.

6 I don't think that with the -- I'm going  
7 to give you so much of a long answer here. But I  
8 don't -- I think that if you really, really want  
9 to be able to tease this out with precision you  
10 would need to do case ascertainment, which would  
11 be -- you know, I mean, the only way to really do  
12 that then would be under the context of larger pilot  
13 studies, given the rarity of the disorder.

14 So it all depends on -- and, again, this  
15 is a decision for you all, how certain you feel  
16 about the evidence that the benefit for early  
17 treatment exists.

18 So I'm sorry to be, like, so nuanced,  
19 but it's just I can't -- you know what I mean? I  
20 don't think that the existing data is going to tease  
21 all this out. So Scott is coming up, and I'd be  
22 interested to see if he agrees or disagrees. Oh,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know what? I'm getting -- is it okay if I jump  
2 to him? Is it okay if I go to Scott before I go  
3 to the other Committee members?

4 CHAIR BOCCHINI: Okay.

5 DR. KEMPER: I'm going to have to  
6 change my flight home. Just kidding.

7 DR. GROSSE: There is more data; the  
8 problem is trying to dig the data out of the  
9 investigators. So the Aldenhoven article, which  
10 was published online January 26th as a -- sort of  
11 a proof, it's not final form, in the text they state  
12 -- they did a regression analysis. They have  
13 modeled the results and said that if a child with  
14 severe MPS I is transplanted before 12 months when  
15 their MDI, roughly equivalent to development  
16 quotient, is over 70, there is only a 15 percent  
17 chance they will have an IQ of below 70 after  
18 several years.

19 If they are transplanted late, there  
20 was a roughly 70 percent chance they will have an  
21 IQ under 70 at the end. So there's a pretty  
22 dramatic difference, according to that text.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Unfortunately, they did not report the regression  
2 results that substantiate those calculations. I  
3 sent an email to them and have not gotten a reply.

4 DR. KEMPER: Yeah. And they use, you  
5 know, generalized linear modeling, too, so they're  
6 going to have all sorts of power calculations, even  
7 if you were to get to those data. So I think --  
8 you know, this is my statement, more data would  
9 always be better.

10 MEMBER WICKLUND: This is Cathy  
11 Wicklund. My question is a little -- not related  
12 to this topic we have right now. It's more about  
13 access. So it's about coverage for the genetic  
14 test and access to the treatment, and what  
15 conversations did you guys have about those issues  
16 for people, and would it increase disparities, or  
17 how would that play out?

18 DR. KEMPER: Yeah. So, you know,  
19 that's an interesting question that we talked a lot  
20 amongst our group. So if you're clinically  
21 detected versus detected through newborn  
22 screening, you're going to have to go and get --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you know, if you had the severe form, the treatment  
2 is going to be a transplant.

3 So, in a sense, it's not creating a  
4 service need that wasn't there already. The  
5 bigger issues are probably around the -- you know,  
6 if you have attenuated form, you know, who is going  
7 to get enzyme replacement therapy, who is not. But  
8 I would point out that, you know, I -- it's a rare  
9 number. It's a small number of babies that we're  
10 talking about.

11 So I think that that issue is probably,  
12 at least to me -- I mean, I hope I'm not interjecting  
13 myself in the conversation too much -- but a more  
14 addressable issue than this -- you know, than the  
15 outcomes issue.

16 MEMBER BOTKIN: A question for Jelili,  
17 and I guess I just want to be sure I understand what  
18 you're saying, your synthesis of the public health  
19 outreach here. And you had a slide fairly early  
20 in your slide deck where you went through our  
21 categories of ready, developmental readiness, and  
22 then unprepared, with timeframes being one year,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one to three years for developmental readiness, and  
2 then unprepared being it will take more than three  
3 years to implement. So you have scattering of  
4 data.

5 So your synthesis is that we are at --  
6 the feedback, the results show developmental  
7 readiness where programs could implement -- the  
8 majority of programs could implement within one to  
9 three years.

10 DR. KEMPER: Yes, sir.

11 MR. OJODU: Yes. With the nuance that  
12 once there's funding, authority to screen, and also  
13 the allocation of costs to actually implement the  
14 screening, but --

15 MEMBER BOTKIN: Okay. So it's one to  
16 three years after --

17 MR. OJODU: Yes.

18 MEMBER BOTKIN: -- had been -- okay.  
19 Thank you.

20 MR. OJODU: Thank you.

21 DR. KEMPER: Dr. Mabry?

22 MEMBER MABRY-HERNANDEZ: Just I guess

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a clarifying question. This is all new to me. I  
2 want to know, would you summarize I guess the  
3 evidence as poor quality, or is that how we -- the  
4 phase out? I don't know what --

5 DR. KEMPER: So the good news for me is  
6 that is a decision that I'm going to defer --

7 MEMBER MABRY-HERNANDEZ: Right.

8 DR. KEMPER: -- to you all. Yeah,  
9 yeah. I mean, part of it is just driven by the  
10 study design. So Dr. Mabry comes from the world  
11 of the task scores where, you know, you go off and  
12 have the luxury of having prospective large  
13 clinical trials.

14 Dr. Green has been like so intimately  
15 involved with the review of the evidence. I just  
16 want to make sure that I'm -- that I've hit the  
17 nuance correctly or if there is something that  
18 should be added to the mix.

19 DR. GREEN: Sure.

20 DR. KEMPER: You're okay? Okay. I  
21 just want to -- just want to be -- you know, again,  
22 it's complex.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Oh, you want to come? Oh, I thought  
2 that you were sure, like you were happy. But now  
3 I'm going down.

4           DR. GREEN:       I appreciate the  
5 invitation and how difficult this is. You know,  
6 thinking about sort of the formal assessment of  
7 harms that I think has been explicit in this -- in  
8 this evaluation, I am very concerned about the  
9 ascertainment biases that have been raised, and as  
10 you've, you know, reasonably pointed out, are  
11 probably not currently assessable.

12           So thank you.

13           DR. KEMPER: Great.

14           MEMBER BAILEY: So just two points.  
15 Most of it has been raised already, but I think a  
16 key one for me is really, what is the typical age  
17 of diagnosis, so we've seen -- of clinical  
18 diagnosis. So in the chart it looks like you're  
19 saying six months, but we hear from the audience  
20 it's 24 months. That's a huge difference. And if  
21 it's closer to six or eight months, then it lessens  
22 the compelling nature of newborn screening. If

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's closer to 18 to 24 months, then it enhances  
2 the compelling nature of this tremendously to me.

3 And so I don't know if we really have  
4 clear what is the truth there. It would be really  
5 important for me to know.

6 And then, well, did you want to answer  
7 that question, Carol?

8 DR. KEMPER: Well, can I just add  
9 something to the mix, too, that one of the things  
10 that makes this complicated is that the window for  
11 transplantation over time has gotten -- the  
12 recommendations for when to transplant has gotten  
13 shorter. You know what I mean? So there's just  
14 -- there are like just multiple moving pieces.

15 I guess Dr. Greene, and then you get --

16 DR. GREENE: So I think -- I don't want  
17 to spend a lot of time adding to what was already  
18 eloquently said, that we don't have the data to  
19 answer that question. With that said, my -- I can  
20 say that that six months number owes something to  
21 the fact that there are some zeroes in there, and  
22 some of those zeroes are siblings.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So my clinical experience is that it --  
2           there are some who are two years old, and that is  
3           a serious failure of a pediatrician, or somebody  
4           from another country who just wasn't looked at. So  
5           nobody should be two years old and undiagnosed, but  
6           it is really common to see somebody who is a year  
7           old.

8           So I give you, as a really, really wild  
9           clinical guess, that the real number is probably  
10          closer to nine months or a year on average with some  
11          scatter, and the scatter is probably just bad  
12          medicine. For a guess.

13          MEMBER BOYLE: Just going -- taking  
14          Don's scenario one step further, so -- and then  
15          thinking about the stem cell transplant, what's the  
16          preparation time again from diagnosis to -- you  
17          know, I know there's lots of things that need to  
18          happen. So what's -- what would we say, six months  
19          then?

20          DR. KEMPER: So the -- so there is two  
21          things, right. So one is the international  
22          guidelines, which say that by two years, assuming

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that your development -- you know, how this, okay,  
2 developmental path that you should be -- get  
3 transplanted there. What I can tell you from --  
4 and, again, this is based on expert opinion -- is  
5 that they would -- the experts felt strongly about  
6 queuing up the babies that had severely affected  
7 MPS I as soon as possible, so that if you could  
8 begin the process at two months of age, knowing that  
9 by the time you went through the matching, and so  
10 forth --

11 MEMBER BOYLE: That wasn't what I was  
12 asking. I was saying, you know, the way it happens  
13 now, if a baby is on average diagnosed by a year,  
14 can they get a transplant the next month? Or do  
15 they have -- is there some medical, you know,  
16 work-up that needs to be done that --

17 DR. KEMPER: Well, I mean, certainly,  
18 just a medical record that needs to be done as a  
19 matching. But -- and this is where, Nancy, I kind  
20 of like rely on you as well. Yeah. What --

21 DR. GREEN: So in a general way, about  
22 transplant and matching. So I myself am not a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 transplanter, but I'm in the Division of  
2 Transplants. I work closely with them,  
3 particularly on sickle cell but other things as  
4 well. And I would say that, you know, it takes less  
5 time if you have a matched sib, right?

6 So the answer is it depends. If you  
7 don't have a matched sib, and you have to go into  
8 the national and, you know, by routine,  
9 international registry, and those donors have to  
10 be contacted and retested, and sometimes they pull  
11 out and things like that, I would say two to three  
12 months.

13 Now, the fact that you can use -- that  
14 there are data on cord blood -- oh, so there's cord  
15 blood, which helps in terms of match, although I  
16 have not heard a discussion of whether those were  
17 sibling cord blood or not. But, okay, so let's say  
18 they're unrelated. So that makes the possibility  
19 of a match much more likely. It certainly is not  
20 100 percent.

21 And I'm sure we are all aware of  
22 patients, for a variety of indications, who just

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 simply do not have the option of transplant  
2 available to them. So I also wanted to raise that.

3 But to answer the questions, I would say  
4 two to three months depending on the source. And  
5 I'd be happy to hear numbers to the contrary.

6 MS. SCOTT: Well, no. I was just going  
7 to ask a question that I believe in the paper that  
8 just got published this last month, if I'm  
9 recalling correctly, you also want to eliminate --  
10 particularly if you're going for siblings, you  
11 don't want to transplant with carriers. So you  
12 need to do that testing, because you're aiming to  
13 get the enzyme as high as possible after the  
14 transplant.

15 DR. GREEN: That's a very good point.  
16 Thank you, Joan. And also or a sibling who has a  
17 later onset. So another -- of disease. So, yeah,  
18 thanks. Which would then limit the pool.

19 CHAIR BOCCHINI: Thank you. Just  
20 please identify yourself, and then --

21 DR. WIERENGA: Yes. Klaas Wierenga.  
22 I'm the Co-Director for the Heartland

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Collaborative. So I'm a clinical geneticist, and  
2 I have personally cared for five children in the  
3 last five years with a diagnosis of MPS. And I  
4 think I may be able to shed some light on what the  
5 confusion is about diagnosis, but -- is it six  
6 months or 12 months or 24 months.

7 So I think that what you have to  
8 understand is that these children develop problems  
9 at some time in their infant life. So when they  
10 are born, they are not symptomatic and they appear  
11 completely normal. And it takes some time for such  
12 a child to develop any problems, and typically they  
13 tend to be orthopedic or ophthalmologic in nature  
14 at first.

15 So the parent then goes to the  
16 pediatrician and says, "Well, my child developed  
17 a spine abnormality." The pediatrician cannot  
18 diagnose it as MPS I, and sends the child to an  
19 orthopedic surgeon, who then does some testing and  
20 may then or may not diagnose the child with MPS I  
21 .

22 So the child is symptomatic, at least

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for a spine abnormality, which is associated with  
2 Hurler syndrome, but the diagnosis of Hurler  
3 syndrome may not be made at that time. So it takes  
4 a significant amount of time, and often the  
5 referral process -- you know, it's -- which is --  
6 it's lifetime as well, because if you get a referral  
7 to genetics, in our situation if the referral is  
8 for microcephaly or a spine abnormality, nothing  
9 triggers that this is urgent, so then you may get  
10 a six-month delay in the appointment.

11 But at least the final diagnosis of  
12 Hurler syndrome is not made because the child  
13 wasn't symptomatic beforehand. It is just because  
14 the system is not very conducive to make such a  
15 diagnosis happen rather adequately and timely.

16 So I think you have to separate the  
17 issues where the child becomes symptomatic, which  
18 is usually around five, six, seven, eight months,  
19 at least to the conditions I -- but then the actual  
20 diagnosis of Hurler syndrome demonstrated by an  
21 IDUA activity that is zero, or a genetic test, that  
22 may take much, much longer.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KEMPER: That's really helpful.  
2 Thank you, Klaas.

3 CHAIR BOCCHINI: If the international  
4 guidelines are transplant by age two, if you find  
5 a child that's eight months of age or 10 months of  
6 age, are you trying to get the transplant prior to  
7 age two, or are you looking for neurocognitive  
8 developmental changes that would then lead you to  
9 earlier --

10 DR. WIERENGA: Well, that's a very --  
11 you know, so to my -- in my opinion, the clock starts  
12 ticking as soon as you make the diagnosis. So once  
13 you have diagnosed the kids, and you have certified  
14 the diagnosis by the appropriate test results, then  
15 the clock starts ticking, because then you need to  
16 get that child to transplant as quickly as  
17 possible, because hearing loss, valvular disease  
18 of the heart, spine abnormalities, they continue  
19 to affect the child. And the only rational therapy  
20 that we have currently is stem cell transplant.

21 So I think if you would make a case for  
22 newborn screening, you would gain two things.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 One, you would obviously make the diagnosis much  
2 earlier, or at least allow for confirmatory  
3 diagnosis much earlier. But also, you cut out the  
4 referral process, which typically causes a lot of  
5 delay, but not typically in the newborn screening  
6 world, because once a newborn screen is abnormal,  
7 the Department of Health typically calls the  
8 specialty that has contracts to deal with that  
9 disease, and they would have put that kid ahead of  
10 -- head of the line.

11 So you gain two things. You gain  
12 timeliness in terms of diagnosis, but also  
13 timeliness in terms of an intervention. Or it  
14 becomes a possibility.

15 CHAIR BOCCHINI: All right. Carol?

16 DR. GREENE: I think the process was  
17 extremely well-described, and I agree.

18 CHAIR BOCCHINI: Fred?

19 MEMBER LOREY: Yeah. I just wanted to  
20 make a comment, to thank both of you for excellent  
21 presentations, but particularly Jelili. That was  
22 a really good public health assessment, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 really appreciate it.

2 I think one of the big differences --  
3 improvements with this one is somehow you got these  
4 people to talk without fear of losing their job or  
5 whatever. And I think that's what made that so  
6 much better, and I think it shows everybody in the  
7 room and the listening audience -- I know you get  
8 tired of this expression of "newborn screening is  
9 a system," but it really is.

10 And so, you know, once the Committee  
11 recommends something and the Secretary approves  
12 it, then it's these folks that are in the trenches  
13 working in newborn screening that have to face  
14 these barriers, and sometimes they are not allowed  
15 to talk about them, and they have to get the  
16 funding, and it's a lot of work. And I think you  
17 showed that with this, so I appreciate that.

18 CHAIR BOCCHINI: We have Alexis  
19 Thompson on the phone, wants to make a comment.  
20 Alexis?

21 MEMBER THOMPSON: Oh. Yes. It was  
22 just very briefly. When Dr. Greene was discussing

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the logistics for stem cell transplant, something  
2 that I still do for other non-malignant disorders,  
3 just the timeline certainly has evolved quite a  
4 bit, such that most children will actually have an  
5 answer within 48 hours on whether or not they in  
6 fact have a donor.

7 So that is certainly worth noting, that  
8 it -- while there might be two to three months for  
9 the availability of a donor, if you know in 48 hours  
10 that you don't have one, obviously you are not  
11 waiting. And so certainly the ability to know  
12 whether one has a peripheral blood or marrow  
13 option, it actually is much quicker, and it is at  
14 no charge.

15 The other is is with umbilical cords,  
16 it is worth noting that in most situations there  
17 is an agreement that one need not expect or need  
18 the degree of matching that you would for  
19 peripheral blood or marrow. And so for many  
20 children -- more children there will be matches for  
21 umbilical cord, especially if they are relatively  
22 small.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the timeline for availability of  
2           that obviously is much shorter, so the notion about  
3           moving through the transplant process, I think that  
4           the -- that many things have been improved to  
5           actually facilitate that happening much faster.

6           CHAIR BOCCHINI: Let's see. Carla?

7           DR. CUTHBERT: Thank you. I just  
8           wanted to address a quick comment in the public  
9           health impact concerning the CDC quality assurance  
10          materials. We have had quality control materials  
11          for all of the LSDs for several years now, and this  
12          material is actually deficient in many of the  
13          lysosomal storage diseases.

14          In the past couple of months, we have  
15          been able to develop condition-specific MPS I  
16          materials. That's being -- that has been  
17          evaluated by our scientists, and we have tested it  
18          both on the microfluidics and the mass spec  
19          platform, and they perform well.

20          We have had informal evaluations by  
21          some of our laboratories, and we are going to  
22          actually have a round of formal evaluations of this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 material. That information is going to be made  
2 available and compiled at an April meeting that  
3 we're going to be having, and it's -- the materials  
4 are then going to be able to move to our quality  
5 assurance program by the end of the year. So  
6 materials are actually going to be made available  
7 for everyone.

8 CHAIR BOCCHINI: Thank you. That's an  
9 important comment. Thank you.

10 Other questions at this time? All  
11 right. If not, it's five minutes to 1:00. We need  
12 to -- the next segment after lunch, just to remind  
13 everybody, two Committee members are assigned to  
14 each evidence review, so that Committee members can  
15 participate in a discussion to help develop the  
16 evidence review, but because of their involvement  
17 be able to start off our conversation with their  
18 assessment of the evidence and where it brings us  
19 on our -- to start the discussion.

20 So I think to get us a little bit more  
21 back on track, I guess we need -- well, we'll take  
22 a half hour for lunch, be back at 25 minutes after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 1:00, so we can begin that part of the discussion,  
2 which will then lead to a vote.

3 All right. Thank you. 1:25.

4 (Whereupon, the above-entitled matter  
5 went off the record at 12:52 p.m.)  
6  
7  
8  
9

10 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

11 (1:28 p.m.)

12 CHAIR BOCCHINI: Okay. Now we can  
13 start.

14 We're really in a time crunch. We have  
15 a couple of people who will have to leave for  
16 planes, and so hopefully we can make sure there is  
17 adequate time for every member to be here to vote.

18 So this presentation is by Dr. Botkin  
19 and Dr. McDonough. They are the two Committee  
20 members who were assigned to this condition review  
21 for MPS I , and so I'm just going to turn it over  
22 to Dr. Botkin.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   MEMBER BOTKIN: Great. Thank you very  
2 much. It has been very interesting and  
3 educational beyond this group. So I'm going to  
4 provide a very quick presentation here of what our  
5 synthesis is of the information, and then of course  
6 open it up for discussion, understanding that a  
7 number of folks have to leave by about 2:00 or so.

8                   As we often are, we're struggling with  
9 what is clearly an inadequate database for making  
10 comfortable decisions on these issues. So it's  
11 going to be a challenge, and I think this disease  
12 is one in which -- it has a couple of dimensions  
13 of uncertainty that we've heard quite a bit about.  
14 It's a rare condition, so we don't have many data  
15 points. It's a condition that has a fair amount  
16 of variability. It has different treatment  
17 modalities that have evolved over time, and,  
18 significantly, the outcomes we are looking at are  
19 developmental outcomes that require periodic  
20 assessments over a period of time.

21                   So I would love to say let's allow pilot  
22 studies to run forward and collect data over the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 next year or two, but it's quite clear that Missouri  
2 could screen for quite a few years before we would  
3 have enough data points to solidify some of the  
4 issues here. And so I think we are, at this point,  
5 stuck with an uncomfortable level of uncertainty.

6 So this is the matrix that I'll be  
7 referring back to periodically, and I'm going to  
8 sort of walk through the components being  
9 assessment of benefits, readiness, and then  
10 feasibility.

11 So in terms of outcomes, mortality, the  
12 data did not demonstrate a reduction in mortality  
13 from early intervention from newborn screening  
14 compared to treatment following clinical  
15 detection. So, really, the key outcome measure on  
16 which we have data to consider is cognitive  
17 function.

18 So with respect to severe MPS I -- and  
19 I'm going to draw here -- our report here draws from  
20 the language from the report, so rather than trying  
21 to paraphrase it, I have pulled out -- we have  
22 pulled out quotes here that we hope sort of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 characterize the key findings.

2 So from the MPS I report, overall it's  
3 difficult to quantify the effects of early  
4 transplant on cognitive outcomes in severe MPS I.  
5 Although early treatment may improve developmental  
6 outcomes based on the results of one study by Poe,  
7 quantifying the magnitude of the benefits is  
8 difficult.

9 From the cognitive outcomes summary --  
10 that was a supplemental document -- two recent  
11 analyses report that transplantation at less than  
12 age 16 months is associated with significantly  
13 better cognitive outcomes and lower risk of  
14 cognitive impairments among affected children.  
15 So I think these data are, again, less than  
16 definitive.

17 I was at least impressed with the fair  
18 amount of consistency, that each of the reports is  
19 showing benefit in a similar direction. I'd be  
20 much more concerned if we had three studies that  
21 showed no benefit, two studies that showed benefit,  
22 that sort of outcome. And, of course, anecdotal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 data is not particularly reliable, but at least it  
2 provides us some additional data points here.

3 In terms of attenuated MPS I, it has  
4 been reported that mild cognitive impairment is  
5 common among children with attenuated MPS I , and,  
6 in particular, for a subset of the condition  
7 associated with the L23AQ missense mutation,  
8 cognitive outcomes and attenuated MPS I merit  
9 further attention by researchers.

10 So we didn't spend a lot of time with  
11 this with Alex's presentation, but our conclusion:  
12 there's no data available regarding whether early  
13 detection through newborn screening will improve  
14 cognitive outcomes for children with attenuated  
15 MPS I.

16 So net benefits, we want to think about  
17 risks, burdens, and harms. The low positive  
18 predicted value with current test technologies is  
19 a concern, and we have put sort of less than five  
20 percent here, although there is a scattering there.  
21 I think it seems like the general consensus is the  
22 positive predicted value is low, and, therefore,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there is a burden of managing a high number of false  
2 positive results. That's not unusual in newborn  
3 screening programs. It's not clear that that's  
4 different, particularly in this context and other  
5 newborn screening contexts. But we should be  
6 knowledgeable about it.

7 And I'd say -- a little bit of  
8 editorializing, we want to be cognizant of the  
9 harms and burdens, both to make this threshold  
10 decision about whether it's time to put it on the  
11 RUSP, but there is then -- the other set of  
12 considerations is, how do we understand what the  
13 harms are and burdens, so that we can reduce those  
14 as we implement programs, making the net benefit  
15 as great as we can as we move forward.

16 And that relates to this phenomenon of  
17 pseudodeficiency, which I think my understanding  
18 now is that that is something that can be readily  
19 determined by appropriate workup at the time. I  
20 will predict, however, that this terminology will  
21 be damaging to some kids and families. We ought  
22 to try to be creative and come up with a better term.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           This suggests that the kid has  
2 pseudodeficiency when in fact it's the test that  
3 is inadequate producing this result. So some work  
4 on this potentially destructive notion of  
5 pseudodeficiency might be worthy.

6           Stem cell transplant creates a risk of  
7 morbidity and mortality. Of course, kids who are  
8 detected clinically get transplants, so not clear  
9 that there is a marginal increased risk here, other  
10 than this last bullet that I think we should be wary  
11 of, uncertainty about whether there might be  
12 inappropriate transplants in children who don't  
13 require a transplant.

14           Sounds like there is not much concern  
15 about that at the present time with the level of  
16 expertise with the current centers. Potentially,  
17 as this moves out to a more population base, and  
18 other -- many other centers potentially being  
19 brought on board with these decisions, certainly  
20 some risk needs to be noted that kids may get  
21 transplants who don't need them.

22           Conclusions about net benefit --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 benefits of early detection via newborn screening  
2 for children with severe MPS I are not definitive  
3 due to the lack of data from newborn screening  
4 systems. However, in terms of cognitive outcomes,  
5 results of studies in other clinical contexts  
6 strongly suggest that significant benefits can be  
7 anticipated. Cognitive benefits of early  
8 interventions to children with attenuated MPS I  
9 remain to be determined.

10 So in our rubric here, our matrix, we  
11 are putting this level of certainty about cognitive  
12 benefits for children with severe MPS I as high.

13 Feasibility -- most appropriate test  
14 platform protocol for screening remains to be  
15 determined. It does seem clear that additional  
16 instrumentation will be necessary here, but that's  
17 a challenge for programs certainly, but it doesn't  
18 undermine feasibility.

19 Several options have been evaluated in  
20 the context of population screening, clear  
21 evidence that population screening is feasible,  
22 but additional work necessary to find the most

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 appropriate test platform and protocol, and of  
2 course always possible that different programs  
3 will adopt different approaches to screening.

4 So we consider the feasibility of  
5 newborn screening for MPS I to be high or moderate,  
6 which is the category here on our matrix.

7 And then, lastly, the issue of  
8 readiness, survey of public health impacts. Here  
9 is the quote. "Although most respondents reported  
10 that screening for MPS I could be implemented  
11 between one and three years after funding was made  
12 available, it is critical to recognize that  
13 obtaining funding for the screening test was seen  
14 as a major challenge by 81 percent."

15 So our synthesis there is that most  
16 public health departments are "unprepared" for  
17 screening, and that puts us in the A3 category here.  
18 And I think in contrast to Jelili's presentation  
19 where I think he qualified readiness as after  
20 funding was available, we are sort of considering  
21 this as now in that that whole funding cycle for  
22 many states which often takes at least a year would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 be just beginning.

2 Our recommendations -- the Advisory  
3 Committee recommends that newborn screening for  
4 MPS I be approved under matrix category A3.  
5 Substantial work will need to be done in most states  
6 to fund, develop, and implement screening for MPS  
7 I. Therefore, states should be encouraged to  
8 implement screening within three to five years of  
9 approval for inclusion on the RUSP.

10 Second bullet, early adopters of  
11 newborn screening for MPS I are encouraged to  
12 obtain data in a rigorous fashion to promote  
13 continuous improvement of the evidence base  
14 regarding the risks and benefits of screening.  
15 And, in essence, this is not really pilots on the  
16 fly, but collecting data on outcomes, say, for kids  
17 in ways that will help us reassess this -- this  
18 particular program moving forward.

19 CHAIR BOCCHINI: Jeff, thank you very  
20 much.

21 MEMBER BOTKIN: I believe Dr.  
22 McDonough had, then, comments that he wanted to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pick up on.

2 CHAIR BOCCHINI: Steve?

3 MEMBER McDONOUGH: Thank you for that  
4 excellent presentation. The only thing I would  
5 like to add due to the constraints in time is I did  
6 ask the Hartman Group regional collaborative their  
7 opinion on MPS I before I came out. I usually do  
8 that when there is a vote, just to get the opinion  
9 of people in my area. And out of 24 responders,  
10 18 or 75 percent were in favor of adding MPS I to  
11 the RUSP.

12 CHAIR BOCCHINI: All right. Thank  
13 you. So are there additional questions or  
14 comments from the Committee? Charlie.

15 MEMBER HOMER: Can you put our matrix  
16 back up there? So, first of all, that was an  
17 excellent presentation, and I greatly appreciate  
18 it. Based on the presentation this morning, our  
19 concerns about the lack of clear evidence of  
20 earlier detection from newborn screening compared  
21 to clinical discovery, it feels to me this is in  
22 the B category.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           That is, I think there is -- the data  
2           -- I was relatively convinced about the data of  
3           earlier versus later transplant and its impact on  
4           developmental outcomes. But I am less confident  
5           that implementation of a screening program  
6           compared to current practice would result in a --  
7           I mean, I guess for me I would say I like the  
8           language in B, a moderate certainty. Am I highly  
9           certain that it will result? No, I am moderately  
10          certain that it will result. I don't think that  
11          -- I don't know. So that would be my personal  
12          belief, given the data. Significant benefit but  
13          only moderate certainty that the significant  
14          benefit will go into place.

15                 MEMBER BOTKIN: Well, maybe I could ask  
16          Dr. Bocchini a question in response to that. I'm  
17          not at all opposed to that line of thought here.  
18          And so one question perhaps might be, what are the  
19          implications of that different categorization?  
20          That may well be a better articulation of the level  
21          of certainty.

22                 My -- our sense I think was that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 screening should go forward. So if categorization  
2 into a B4, say, which is what I think would be the  
3 alternative category there, would preclude  
4 including on -- going forward on the RUSP, then that  
5 probably would be my hesitation about that  
6 categorization. So it's a little bit of a circular  
7 argument.

8 MEMBER HOMER: We're not supposed to  
9 think that way, right? I mean, we're supposed to  
10 think of, what is the evidence and the benefit, and,  
11 therefore what conclusions occur rather than what  
12 we think should happen and justify it based on the  
13 categorization.

14 MEMBER BOTKIN: Well, I agree with  
15 that, although ultimately you kind of have to put  
16 these considerations in a blender and decide  
17 whether you think it's time to go ahead.

18 CHAIR BOCCHINI: All right. Don?

19 MEMBER BAILEY: So just to remind us of  
20 a little bit of history. When we voted on the  
21 matrix a couple of years ago, I know that I think  
22 I and maybe Steve and maybe Dieter voted against

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it, because I was arguing that a B ought to be  
2 recommended for the RUSP, because this does -- I  
3 do agree this should be recommended for the RUSP,  
4 but I agree it's a B in the way we've categorized  
5 things before, because we don't have high  
6 certainty.

7 I'm certain enough that I agree it  
8 should be added to newborn screening, but I think  
9 we have to -- if we do this and call this an A, we  
10 have to recognize we have changed the bar, we have  
11 changed the standard for what we're considering an  
12 A, and what are the implications for other  
13 conditions that we review going down the path.

14 I'm not opposed to accepting that  
15 recommendation. I just want to make it clear that  
16 that's why I -- I actually had a crystal ball, then,  
17 right? Because this is exactly the kind of  
18 situation that this puts us in.

19 CHAIR BOCCHINI: Thank you. Kellie?

20 MEMBER KELM: Kellie Kelm. I think we  
21 decided to not designate that certain boxes mean  
22 that it automatically goes in the RUSP and that it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be left up to the Committee. So I think that  
2 you would have to think about that.

3 I agree that it's -- in my opinion, I  
4 was leaning towards B of, you know, moderate  
5 certainty. And I don't know, I hesitate whether  
6 or not a B should be recommended for screening.

7 CHAIR BOCCHINI: But I think it is  
8 clear that the Committee can determine that it's  
9 a B, and decide to put it on the RUSP. I think.

10 Well, again, this was -- we wanted this  
11 to be the -- a way to define things, but at the same  
12 time offered the Committee the latitude to make a  
13 decision by looking at all the factors together.  
14 So I don't think we precluded that you could say  
15 a B and then could not move that forward. Yeah.  
16 Isn't that -- okay. All right.

17 Cathy?

18 MEMBER WICKLUND: Cathy Wicklund. I  
19 don't have anything; I just want to echo that to  
20 me this feels B. I mean, when I'm reading the  
21 evidence, when we're hearing the presentations  
22 today, I just don't see how we can say there's high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           certainty of the benefit.    So I agree that it  
2           should be categorized in a B level.

3                   CHAIR BOCCHINI:       Okay.       Further  
4           comments or discussion from the committee?  All  
5           right.

6                   MEMBER BAILEY:    I would like further  
7           clarification on whether we can recommend a B go  
8           on the RUSP, because that would -- that would be  
9           important to know.

10                   CHAIR BOCCHINI:  As I interpret it --  
11           and then, again, I'll go back to Debi and then to  
12           Alex as we put this -- and Coleen, I mean, I --

13                   MEMBER BOYLE:    Well, first, let me just  
14           make some -- offer another point as well.  So, I  
15           mean, I think this is a perfect condition where,  
16           you know, a multi-state pilot rollout would be just  
17           appropriate to clarify all of the unknown factors,  
18           maybe not even a certainty around the evidence, but  
19           just in terms of the harms issues and all of that.

20                   So, I mean, I know this is going in our  
21           matrix, but I'm just going to put that on the table  
22           as well.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR BOCCHINI: Well, certainly that  
2 could be stated in the recommendation to the  
3 Secretary, if we chose to recommend that it go  
4 forward.

5 Dieter?

6 MEMBER MATERN: I don't like the  
7 matrix.

8 (Laughter.)

9 For the reasons mentioned. And I think  
10 if we applied the matrix to all the conditions that  
11 came before this one, at the point it was included  
12 in the newborn screening programs, you probably  
13 would never reach an A level. For galactosemia,  
14 we don't have perfect outcomes. For other  
15 conditions, we don't really know to date how it  
16 really works. So if you want to have an A, it will  
17 be beyond our lifetimes.

18 CHAIR BOCCHINI: Yes.

19 DR. TARINI: Beth Tarini, AAP. So two  
20 comments. One, to Dieter's point, I think about  
21 past disorders, I think it's a bit of a fallacy and  
22 inappropriate to use the disorders that stand prior

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to the formation of this Committee to make current  
2 judgment, even if they conflict -- unless we are  
3 going to enter a whole new world, we're going to  
4 start reviewing and taking off.

5 So similar to the dried-blood spots,  
6 what stood prior to the Committee should stay  
7 separate, in my opinion, and not influence the  
8 current decisions, which are based on the structure  
9 that was created. So if it's on, galactosemia was  
10 on, it wouldn't have made the cut. That was in a  
11 past era.

12 But to Coleen's point, to echo that and  
13 say in addition to the harms, I think that what  
14 multi-state pilot would add are the ability to see  
15 the effectiveness of the treatment when you're  
16 going to be doing the bone marrow transplant in the  
17 real world, with the real complications, with  
18 centers that may not have as much experience as  
19 others, and bone marrow transplants have -- I'm not  
20 saying one way or the other. I'm just saying they  
21 have complications that can affect the success of  
22 them. So that might also be helpful data.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR BOCCHINI: So we've gotten back  
2 to 2012 when we proposed the matrix, and we said  
3 as a general approach conditions that were A1 and  
4 2 were recommended for addition to the RUSP; A3,  
5 4, and B, an expedited review will occur after noted  
6 gaps are addressed by nominator; and then C, D, and  
7 L, resubmission is required for consideration to  
8 the RUSP.

9 So that's how we proposed the way this  
10 matrix would be used, and, again, this was the  
11 proposal, but I'm -- and, again, it's two and a half  
12 years ago, I'm fairly certain we gave some latitude  
13 to the Committee to move forward with the matrix  
14 being the approach to categorize.

15 MEMBER McDONOUGH: Mr. Chairman, at  
16 the time, you -- after we had that discussion, you  
17 indicated anything A3 and 4 would go forward to the  
18 Secretary for her consideration. So we didn't  
19 just stop at A1 and 2. A3 and A4 would go forward,  
20 but we should be aware of our vote.

21 CHAIR BOCCHINI: We'll have to go back  
22 and find the vote. This was the initial proposal,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not the conclusion. So you're right. That's  
2 important.

3 MEMBER BOTKIN: So, Dr. Bocchini,  
4 just --

5 CHAIR BOCCHINI: Yes.

6 MEMBER BOTKIN: -- you know, I would be  
7 probably more comfortable with our system, at least  
8 in the context of this disease, if we would consider  
9 perhaps a more nuanced approach. I mean, I do  
10 agree that the moderate degree of certainty is a  
11 more accurate characterization here.

12 But because we have such a dichotomous  
13 system where if it's not on the RUSP then  
14 implementation sort of is in a research mode,  
15 whereas once it's on the RUSP it's sort of part of  
16 public health mandates in many states. And what  
17 our last bullet was was to suggest that we would  
18 need more data here.

19 So is there a way perhaps that a B  
20 categorization would imply that this ought to be  
21 implemented in a way in which there is more data  
22 collection through some mechanism to answer these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 questions? Because what we don't want is this, go  
2 ahead, implement, 15 years later everybody is still  
3 wondering, you know, gosh, was this a good idea or  
4 not, because we don't have the adequate data  
5 collection.

6 And I don't know what that would look  
7 like, but, you know, are there ways that we can  
8 assuage people's anxieties about this by trying to  
9 assure that we will get the data in a reasonable  
10 timeframe by approving this.

11 MEMBER LOREY: Joe?

12 CHAIR BOCCHINI: Yes.

13 MEMBER LOREY: I think there is, and I  
14 completely agree with what Beth said. But I'll go  
15 back a little bit, and use the SCID example because  
16 that's the one that came after, you know, Pagu.  
17 And that's sort of what happened with SCID.

18 SCID, compared to what we've heard  
19 today, is somewhere in the A category. I think  
20 everybody would agree. But the first time it was  
21 I believe not approved because they wanted to see  
22 more pilot work, but then they actually approved

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it and then the Texas, California, New York group,  
2 Wisconsin, did the bigger pilot. So I think there  
3 is room for your suggestion.

4 DR. CHEN: Freddie Chen, AAFP. You  
5 know, we -- this Committee has no control over the  
6 evidence. We come to consensus around how we grade  
7 the evidence, but we do have control over our  
8 consistency, both with our past decisions and then  
9 going forward in our future decisions. And that  
10 I think was -- is important to bear in mind.  
11 Personally, and, you know, organizational reps  
12 don't have a vote, but I would think this is a B  
13 category.

14 CHAIR BOCCHINI: Steve?

15 MEMBER McDONOUGH: Yes. Mr.  
16 Chairman, one of the points made a couple of years  
17 ago is I felt that Bs should be able to go forward.  
18 And I don't know how long it is going to take to  
19 get enough data on how many kids are going to be  
20 brain damaged because they weren't treated in time.

21 The longer we delay in adding this to  
22 the RUSP and getting states to move forward, there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are going to be kids who are going to definitely  
2 be suffering from that. So I think that Bs,  
3 individually considered, if we have a consensus  
4 that there is enough stuff to add it to the RUSP,  
5 that we ought to do that, and we ought to change  
6 what we did two and a half years ago.

7 CHAIR BOCCHINI: Well, I think that the  
8 matrix was never designed to box the Committee into  
9 a position. The matrix was designed to give a  
10 framework within which we could work, but the  
11 Committee has the latitude I think to make a  
12 decision that would incorporate what you just said.  
13 I don't see a problem with that. Melissa?

14 MEMBER PARISI: This is Melissa  
15 Parisi. In response, Jeff, to your comments about  
16 continuing to do research for this condition, I  
17 think we have a track record, both with SCID and  
18 now that's emerging with Pompe disease, at least  
19 in terms of trying to ensure that if something is  
20 accepted for addition to the RUSP, that we do have  
21 the newborn screening translation research network  
22 and other systems in place to allow us to continue

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to study the outcomes for those infants that are  
2 screened in the states that are willing to start  
3 the adoption and have the capability to add it to  
4 their newborn screening panels.

5 CHAIR BOCCHINI: Coleen?

6 MEMBER BAILEY: I don't know if it's  
7 appropriate to make a motion, but I recommend that  
8 we classify this as a B3, that we recommend that  
9 it be added to the RUSP, but that we urge, you know,  
10 extensive pilot studies to document efficacy and  
11 extensive work on reducing false positives. And  
12 those are really two high priorities over the next  
13 four to five years, that states work towards being  
14 able to implement it. That would be my  
15 recommendation.

16 CHAIR BOCCHINI: So this is a motion?

17 MEMBER McDONOUGH: Second.

18 CHAIR BOCCHINI: Seconded. Okay.  
19 Yes, further discussion. Yes.

20 MEMBER BOYLE: So I would like to  
21 actually see if we have a record of what we put  
22 forward for Pompe and what that language -- A2 --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the first time that we suggested the -- the first  
2 time where we actually suggested pilot studies.

3 CHAIR BOCCHINI: I think that was  
4 before the matrix was there.

5 MS. SARKAR: That's right. So the  
6 first time Pompe went through there was no matrix.

7 CHAIR BOCCHINI: Charlie?

8 MEMBER HOMER: Just two points. I  
9 don't have any trouble with us basically modifying  
10 the matrix, so that a B includes recommendation.  
11 Again, looking at Iris, the U.S. Preventive Service  
12 Task Force, A and B recommendations both have  
13 relatively equal force in the sense of -- so -- or  
14 do have equal force. So I think that doesn't  
15 trouble me.

16 I do want to point out in my role as  
17 Chair of the Long-Term Follow-Up Committee, and one  
18 of the authors of our paper on establishing  
19 mechanisms to monitor and see whether newborn  
20 screening achieves its purpose, what we're talking  
21 about here, in terms of monitoring, is something  
22 that at least our Subcommittee and essentially this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Committee has said should be in place anyway for  
2 all newborn screening, so I'm perfectly happy that  
3 we're saying, yes, in this specific case we should  
4 be monitoring.

5 But the point is, if we recommend  
6 anything, we should be monitoring whether in fact  
7 newborn screening is achieving its promise, and  
8 this would give further impetus to that.

9 CHAIR BOCCHINI: Okay. Thank you.  
10 Other comments?

11 Chris Kus? Chris, can you hear us? Is  
12 Chris Kus's line open? You indicated he -- okay.  
13 Chris, go ahead.

14 DR. KUS: You can hear me now?

15 CHAIR BOCCHINI: We can.

16 DR. KUS: Okay. Okay. I would just  
17 like to reinforce what Charlie just said. When we  
18 make these recommendations, we then say they need  
19 to be studied, but all newborn screenings should  
20 have long-term follow up to collect the  
21 information. That should be part of the project.  
22 So I would just emphasize that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR BOCCHINI: Okay. Thank you.  
2 Other comments?

3 MEMBER THOMPSON: This is Alexis  
4 Thompson. Can you clarify -- so based on the most  
5 recent recommendation, so I think that was maybe  
6 from Don, you're saying that you're accepting that  
7 it's a B, but you're saying we should approve it  
8 anyway? Did I misunderstand that?

9 CHAIR BOCCHINI: Don?

10 MEMBER BAILEY: No, that's correct,  
11 Alexis. I just feel that, you know, the cost of  
12 not doing this outweighs any cost associated with  
13 doing it. I think we shouldn't be setting a  
14 precedent that everything that is classified as a  
15 B goes forward, but that gives us the option of  
16 doing that when we are -- when we have had enough  
17 discussion to think, you know, in the balance of  
18 things this is a good decision. But I don't think  
19 we should change our rules to say that all Bs would  
20 automatically go forward. Those are more nuanced  
21 decisions.

22 MEMBER THOMPSON: Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIR BOCCHINI: Okay. Other  
2 comments? Okay.

3 MEMBER BOYLE: One more. Sorry.

4 CHAIR BOCCHINI: Yes.

5 MEMBER BOYLE: Just so that this isn't  
6 precedent setting, I guess I'd like a little bit  
7 more discussion about what, you know, makes this  
8 different, perhaps, from another. So one of them  
9 for me is the rarity of the condition and, you know,  
10 the ability to be able to get new data perhaps to  
11 change what the evidence currently is. But I guess  
12 I'd like something like that in there versus just  
13 us saying, oh, well, next time, you know, whatever.  
14 So, I mean, I feel like we need to build on our  
15 process. Otherwise, there won't be any order.

16 CHAIR BOCCHINI: Right. I think that  
17 the specifics related to this condition, I agree  
18 with you I think putting those into the letter to  
19 the Secretary as to why this decision was made I  
20 think would be very appropriate and necessary,  
21 because, you're right, I don't think -- I don't  
22 think this needs to be considered as a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 precedent-setting decision.

2 I think this is really looking at the  
3 data, looking at all of the factors, looking at the  
4 fact that Hurler is -- if there is a gap in diagnosis  
5 it makes a difference in terms of when transplant  
6 is done, so I think that there are a lot of features  
7 that would make what -- the decision, if it's voted  
8 in, reasonable for that to happen, even as a B3.

9 MEMBER BAILEY: So just to -- I just  
10 feel like if we're going to have the matrix, we  
11 ought to be true to the classification  
12 descriptions. And so A is high certainty of net  
13 benefit, and we don't -- it doesn't fit that, and  
14 so we should be true to that. But if we have the  
15 flexibility to still make a recommendation for  
16 screening, then that's where we want to be, I think.

17 CHAIR BOCCHINI: Okay. That's well  
18 put. Okay. All right. Dr. Lu?

19 MEMBER LU: I guess on that point,  
20 whether we should consider separating the vote, so  
21 first to vote on the categorization, and then based  
22 on that, whether to add it to the RUSP given the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 categorization.

2 CHAIR BOCCHINI: Okay. Okay. I  
3 think that that -- would you like to make that as  
4 a motion? Should we do that as a motion? And then  
5 -- because that would be separating the vote first  
6 to vote on category, and then -- then we have Don's  
7 motion to then move it within that category, if  
8 that's what it turns out to be, with a separate vote  
9 ahead.

10 MEMBER LU: So I will do my best. I  
11 move that we categorize this as a B3.

12 CHAIR BOCCHINI: Okay. Is there a  
13 second to that? Dr. Botkin? Okay. Further  
14 discussion? Okay. Then --

15 MEMBER THOMPSON: Could you repeat the  
16 motion? I would -- I couldn't hear it on the phone.

17 CHAIR BOCCHINI: Sure. So the motion  
18 that we are going to vote on is that we make MPS  
19 I a B3 -- put it in a B3 category in the matrix.  
20 So we're dividing the vote to first indicate the  
21 category, and then we'll have a subsequent vote to  
22 indicate the decision about whether to recommend

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it to the Secretary.

2 MEMBER THOMPSON: Great. Thanks.

3 CHAIR BOCCHINI: Okay? So let's start  
4 this vote, then, with Charlie Homer. This is to  
5 determine whether this should be a B3 category.

6 MEMBER HOMER: Approve the B3.

7 CHAIR BOCCHINI: Okay. And then Fred  
8 Lorey?

9 MEMBER LOREY: Approve.

10 CHAIR BOCCHINI: Michael Lu?

11 MEMBER LU: Approve.

12 CHAIR BOCCHINI: Steve McDonough?

13 MEMBER McDONOUGH: Approve.

14 CHAIR BOCCHINI: Dieter Matern?

15 MEMBER MATERN: Approve.

16 CHAIR BOCCHINI: Melissa Parisi?

17 MEMBER PARISI: Approve.

18 CHAIR BOCCHINI: Alexis Thompson?

19 MEMBER THOMPSON: Approve.

20 CHAIR BOCCHINI: Cathy Wicklund?

21 MEMBER WICKLUND: Approve.

22 CHAIR BOCCHINI: Andrea Williams?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER WILLIAMS: Approve.

2 CHAIR BOCCHINI: Don Bailey?

3 MEMBER BAILEY: Approve.

4 CHAIR BOCCHINI: I approve. Jeff  
5 Botkin?

6 MEMBER BOTKIN: Approve.

7 CHAIR BOCCHINI: Coleen Boyle?

8 MEMBER BOYLE: Yes.

9 CHAIR BOCCHINI: Okay. Iris  
10 Mabry-Hernandez?

11 MEMBER MABRY-HERNANDEZ: Approve.

12 CHAIR BOCCHINI: Okay. Kellie Kelm?

13 MEMBER KELM: Approve.

14 CHAIR BOCCHINI: Okay. So this is to  
15 -- it's approved as a B3 category on our matrix.  
16 So now the second vote is on Dr. Bailey's motion  
17 that this move forward to be -- recommendation to  
18 the Secretary to add this condition, MPS I , to the  
19 RUSP. And, certainly, in the letter we will  
20 include the additional information that is  
21 required to meet what Coleen raised about providing  
22 the data as to why we made this decision to move

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 this forward, and then to add the additional data  
2 that was in the initial recommendation by Dr.  
3 Botkin. Yes, sir.

4 MEMBER BOTKIN: I think as part of this  
5 discussion what came forward was perhaps we should  
6 be more -- speak more directly to the Secretary to  
7 say we would encourage the Secretary and HHS to  
8 support additional data collection, perhaps  
9 through large-scale pilot studies or some such  
10 thing. This recommendation is really encouraging  
11 states to do that. Maybe we should encourage the  
12 HHS to play an active role there.

13 CHAIR BOCCHINI: And we did that with  
14 the Pompe decision as well.

15 MEMBER BOYLE: I would like someone to  
16 restate what we're voting on, so we're clear. I'd  
17 like someone to restate what we're voting on, so  
18 it's clear. Is that okay?

19 CHAIR BOCCHINI: Okay. All right.  
20 So the vote is whether to include MPS I on the RUSP.  
21 That's the vote.

22 MEMBER BOYLE: What's the caveat?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   CHAIR BOCCHINI:    Okay.    Well, the  
2                   caveat is it's -- since we've separated the place  
3                   on the matrix versus the recommendation, so this  
4                   is a recommendation to go forward.  If voted yes,  
5                   it would be to put this on the RUSP, and additional  
6                   recommendations to the Secretary would be -- or  
7                   additional information given to the Secretary  
8                   would include the rationale that was discussed, and  
9                   we'll pull those out from the minutes for why the  
10                  Committee determined that this should go forward.

11                  And it will also have a recommendation  
12                  that the Secretary add help in organizing continued  
13                  pilot studies and obtaining additional data for the  
14                  evolution of -- and using the early adopting states  
15                  to provide -- and make the recommendation I think  
16                  that was nicely stated by Jeff that additional data  
17                  from pilot studies or states doing studies be  
18                  collected in such a fashion that it could be used  
19                  to help inform additional recommendations for --  
20                  and that would go for the platform that might be  
21                  used as well as other things.  Is that -- I don't  
22                  know.  Okay.  All right.  Okay.  Carol?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENE: Almost --

2 CHAIR BOCCHINI: Okay.

3 DR. GREENE: Maybe more, you know,  
4 language and what words you choose, but I'm imaging  
5 myself as an analyst working for the Secretary,  
6 trying to decide whether she will agree or  
7 disagree. And to say, "I want it on the RUSP, but  
8 I need pilot studies" is going to be a serious red  
9 flag for anybody analyzing that.

10 So, you know, if it's on the RUSP but  
11 we all -- we certainly need data, if you really feel  
12 it needs to be on the RUSP, I would just suggest  
13 that you wouldn't use the word "pilot studies," but  
14 say there needs to be more work on implementation,  
15 and improvement, and quality improvement because  
16 there are still some challenges. So if you feel  
17 strongly it should be on the RUSP, then I suggest  
18 you don't use the term "pilot studies."

19 CHAIR BOCCHINI: Okay. Thank you.  
20 Cate?

21 MEMBER THOMPSON: This is Alexis  
22 Thompson. I had a question -- maybe it's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       difficult one to answer -- I think someone tried  
2       to address it earlier.  If we think that there are  
3       some key pieces of information that would, for  
4       instance, allow us to move this from B to A, do we  
5       have any estimates on how long that might take?  I  
6       understand that we may never have, you know,  
7       complete clarity, but if there were some minimal  
8       piece of information, how long would it take to  
9       accumulate those, do we think?

10               CHAIR BOCCHINI:  You know, I don't know  
11       that I could answer that.  Around the table, it's  
12       being considered it would take many years.  Yes.  
13       Okay.  Cate?

14               MS. VOCKLEY:  I'm not sure how to  
15       integrate this into where we are now, but because  
16       we look at newborn screening as a whole system, from  
17       screening at birth through follow-up diagnosis and  
18       on, I wonder if there is some place to integrate  
19       some language about workforce issues, because that  
20       has been a big issue in the states that are doing  
21       screening for lysosomal disorders for people who  
22       are doing the -- dealing with the attenuated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients or the false positives, just to look at  
2 how states can do that in a better way.

3 CHAIR BOCCHINI: Yes. I'm not sure  
4 that would be a Secretary's decision or  
5 involvement. I think that --

6 MS. VOCKLEY: That's what I wasn't  
7 sure.

8 CHAIR BOCCHINI: Yes. But I do think  
9 that the recognition that this is a three, that  
10 states are unprepared, would essentially indicate  
11 that that is a real -- that may be an issue for some  
12 states, and certainly something that might need to  
13 be addressed by particular states before they went  
14 forward. But probably not for the Secretary.  
15 Okay? But thank you for the comment.

16 MEMBER WILLIAMS: Dr. Bocchini, this  
17 is Andrea.

18 CHAIR BOCCHINI: Yes.

19 MEMBER WILLIAMS: So, you know, I still  
20 have a little bit of uncertainty in my heart,  
21 knowing that -- if there's any way possible for us  
22 to continue to look at the harms, unintended -- and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with the uncertainty with those treatments, and we  
2 hope it gets better, but it's not -- the access is  
3 not there -- so I don't know how to put that into,  
4 you know, what we say, but we still need to pay  
5 attention to it -- being selected. I still think  
6 it needs to be a part, you know, the way the ongoing  
7 studies happen.

8 CHAIR BOCCHINI: So, I'm sorry -- you  
9 broke up a bit, so I'm not sure that I got the gist  
10 of what you were asking. I know you raised a  
11 concern about having opportunity for everyone to  
12 have treatment, and what the harms might be.

13 MEMBER WILLIAMS: Right.

14 CHAIR BOCCHINI: Well, you know,  
15 again, I think since the -- for Hurler's, that the  
16 evidence is that we're probably identifying all  
17 those patients, and so there is not going to be an  
18 increased number of those patients. And the  
19 opportunity for newborn screening would be that we  
20 would be finding them earlier. I'm not sure that  
21 it would change what's going on now in terms of  
22 availability of transplant and the like. So I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 don't think that's -- I think what we're doing is  
2 providing the opportunity for earlier diagnosis  
3 and potential intervention.

4 MEMBER WILLIAMS: Absolutely, I think  
5 that's true.

6 CHAIR BOCCHINI: Okay.

7 MEMBER WILLIAMS: And I apologize for  
8 breaking up.

9 CHAIR BOCCHINI: No, no. That's not  
10 your fault. Okay. Beth?

11 DR. TARINI: Beth Tarini, AAP. One  
12 thing I want to put out into the discussion is, if  
13 the Committee makes an approval contingent upon  
14 future data, then I think that it behooves us to  
15 make at least some attempt to formally then  
16 reassess data. Otherwise, it seems a bit of an  
17 empty recommendation, because then no one actually  
18 judges the data that we are looking to fill gaps  
19 on, especially if it has been a recommendation.

20 CHAIR BOCCHINI: We're not making the  
21 recommendation contingent upon that data. We're  
22 just identifying the gaps that exist. So I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there is a difference. Fred, did you want to --

2 MEMBER LOREY: Yes. This may be a  
3 false assumption on my part, but I worry if we take  
4 out the word "pilot" it decreases the probability  
5 of making funding available, because, once again,  
6 if this recommendation goes through, this is going  
7 to fall on the newborn screening programs, and they  
8 are going to have to be the ones to scrape for the  
9 money and convince people. And maybe we don't have  
10 the use the word "pilot," but just word it in a way  
11 that doesn't decrease that possibility.

12 CHAIR BOCCHINI: Okay. I understand.  
13 Don, you had a comment? And then Dieter.

14 MEMBER BAILEY: No. I think I was just  
15 going to say what you said. That we're not making  
16 this contingent on this, but I think in line with  
17 Charles' point, broader point, that we should be  
18 doing a follow up on all conditions to evaluate the,  
19 you know, long-term benefit of -- once we  
20 implemented these screening and whether -- I'm not  
21 saying that we necessarily need to reevaluate them  
22 and whether they should go off the RUSP, but I do

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think we ought to have a revisit of them every five  
2 years or so, every so many years, to say, "Okay.  
3 Well, are we? You know, what happened since we  
4 made that approval?"

5 CHAIR BOCCHINI: Right.

6 MEMBER BAILEY: And this is certainly  
7 one that's a clear need for that.

8 CHAIR BOCCHINI: Okay. Dieter?

9 MEMBER MATERN: I don't think it makes  
10 a difference whether we state "pilots," and I don't  
11 think why -- the Federal Government should fund,  
12 because necessarily the states are going forward  
13 anyway with screening for MPS I. They should  
14 figure out how they get the funding to do that  
15 locally I think. So I would not put in "pilot" in  
16 this recommendation.

17 CHAIR BOCCHINI: Okay.

18 MEMBER LOREY: But it doesn't work that  
19 way in every state, Dieter. It's a big battle in  
20 the majority of states.

21 CHAIR BOCCHINI: Okay.

22 MEMBER LOREY: Well, we can -- you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 might be right. It may not make a difference, but  
2 just word it in a way that doesn't --

3 CHAIR BOCCHINI: Okay. Well, we'll be  
4 careful on that, and -- okay. All right. If there  
5 are no other comments or questions from the  
6 Committee, then, yes, sir?

7 MEMBER BOTKIN: Jeff Botkin. I should  
8 probably put back up the recommendations. But if  
9 we do approve it, have we approved -- we approved  
10 it under B3. So is that an explicit message to the  
11 states about the timeframe that they ought to be  
12 thinking in terms of for proceeding forward, or  
13 should we include a specific revision to say that  
14 states don't need to be thinking about trying to  
15 get this on board in the next year, that we  
16 understand that there is a period of time that they  
17 will need to get up and running on this.

18 CHAIR BOCCHINI: I think we will  
19 include that. I think when we made these  
20 designations we did say that if states were  
21 unprepared it would -- we would expect there would  
22 be a three- to five-year timeline for states to --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so it would be, you know, one to two, two to three,  
2 three to -- and three to five, or something like  
3 that when we did that.

4 So I think that's reasonable to -- to  
5 make the Secretary aware of what we believe is the  
6 developmental level or the -- where it states how  
7 much time it might take for states to become  
8 prepared. We can certainly include that. Okay.  
9 Other comments, Committee? Then, let's go ahead  
10 and vote. And I'm going to start this time with  
11 Dieter and go in a different -- opposite direction.  
12 So, Dieter Matern?

13 MEMBER MATERN: I approve to add MPS I  
14 to the RUSP.

15 CHAIR BOCCHINI: Thank you. Steve  
16 McDonough?

17 MEMBER McDONOUGH: I approve.

18 CHAIR BOCCHINI: Michael Lu?

19 MEMBER LU: Approve.

20 CHAIR BOCCHINI: Fred Lorey?

21 MEMBER LOREY: Approve.

22 CHAIR BOCCHINI: Charlie Homer?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 MEMBER HOMER: Approve.

2 CHAIR BOCCHINI: Kellie Kelm?

3 MEMBER KELM: I admit I am struggling.  
4 Since we are asking for, similar to Pompe, more  
5 pilot data and the issues with certainty for  
6 treatment early on, I think at this time, I mean,  
7 I would prefer like SCID to defer until we had that  
8 data, you know, to be consistent with SCID. So I'm  
9 going to vote against.

10 CHAIR BOCCHINI: I think we have Iris  
11 Hernandez on the phone. All right. We'll try her  
12 again in a second. Coleen -- yes, Coleen Boyle?

13 MEMBER BOYLE: I'll approve.

14 CHAIR BOCCHINI: Jeff Botkin?

15 MEMBER BOTKIN: Approve.

16 CHAIR BOCCHINI: I approve. Don  
17 Bailey?

18 MEMBER BAILEY: Approve.

19 CHAIR BOCCHINI: Andrea Williams?

20 MEMBER WILLIAMS: Approve.

21 CHAIR BOCCHINI: Cathy Wicklund?

22 MEMBER WICKLUND: So I am also really

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 struggling with this decision, and for the reasons  
2 that Kellie already articulated I'm going to vote  
3 against.

4 CHAIR BOCCHINI: Okay. And then,  
5 Alexis Thompson?

6 MEMBER THOMPSON: I share Kellie and  
7 Cathy's concerns, and I vote no.

8 CHAIR BOCCHINI: And then, Tiina Urv  
9 will be voting for Melissa Parisi.

10 DR. URV: Yes. We approve.

11 CHAIR BOCCHINI: Okay. So the motion  
12 passes, and I certainly appreciate all the work  
13 that everybody has done to get us to this point,  
14 and thank everybody for their commitment to do this  
15 in the -- in the way it was done. I think that this  
16 certainly is good work by everybody involved, so  
17 thank you all very much. And I know some people  
18 have to leave -- oh, Iris, I'll give you one more  
19 chance. Are you on the phone? Okay.

20 All right. Now we have -- to close up  
21 we have the reports from the three subcommittees,  
22 and, Cathy, are you -- is it too late for you? Can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we -- okay. Beth will do it for you? Okay. All  
2 right. So we had changed the order because of  
3 airline requirements, but I think we have gone  
4 over, so let's start off with the Education and  
5 Training Subcommittee. And so Beth will make the  
6 report.

7 DR. TARINI: Okay. So to review our  
8 priorities, our first point was to review the  
9 existing projects that we had to close them out  
10 and/or provide a timeline for closure. The ones  
11 that remain are Priority A, identify heritable  
12 conditions not part of the RUSP and for which  
13 screening and treatment will most likely occur at  
14 a later point in child development.

15 And we chose heritable conditions that  
16 would represent a variety of clinical  
17 characteristics, age of presentation, age of  
18 diagnosis, clinical morbidity. I'm sure you could  
19 repeat the slides back to me, based on that you've  
20 seen them before.

21 So we had finished that assessment.  
22 That was presented previously, I believe last

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 meeting if not the meeting before as well. And so  
2 the next step is that now Dr. Bailey will lead an  
3 effort to write a white paper summarizing the work  
4 of the initiative, discuss the role of public  
5 health in child screening versus the role of  
6 practice guidelines. The first draft of this will  
7 be presented to the Subcommittee in May, and  
8 interested Subcommittee members will contact Dr.  
9 Bailey to help with the draft.

10 Priority C, to provide better guidance  
11 for advocacy groups and others regarding the  
12 nomination and review process. And I just want to  
13 also say that this priority has gone through a  
14 number of iterations in terms -- because of  
15 barriers to actually creating it and posting it in  
16 certain locations due to restrictions, and what we  
17 could actually provide based on websites  
18 available.

19 So, in some ways, this has been a work  
20 in progress, or in many ways. So we are now at the  
21 point of a public-friendly summary document of the  
22 Committee's process related to nominations, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 collaborating with Natasha Bonhomme from the  
2 Genetic Alliance.

3 And Natasha presented an overview of  
4 the purpose of the proposed project. She had  
5 agreed, since the last meeting, that she would work  
6 on this for us. She presented an overview with the  
7 target audience, key messages, and the general  
8 content taken from the submission of nomination  
9 package, all those steps going through.

10 And after discussion with the Committee  
11 and feedback, she will create and present specific  
12 content at the May meeting. And, once finalized,  
13 this content -- once this content is finalized, we  
14 will then determine the best way to package,  
15 present it to the public.

16 Priority C, develop a glossary of terms  
17 to be incorporated into the Secretary's website,  
18 the Secretary of Committee website. We discussed  
19 the glossary that Jeremy Penn and Cate Walsh  
20 Vockley are working on, are leading the charge for,  
21 and so the revised glossary was presented to the  
22 Committee for feedback. Feedback was given. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was discussed, and Cate and Jeremy will work with  
2 Natasha to identify advocates to review what we  
3 have, and revisions will be made based on that  
4 feedback and then presented to the Subcommittee at  
5 the May meeting. That is the end. Any comments  
6 or questions?

7 CHAIR BOCCHINI: Thank you, Beth.  
8 Questions? Comments? Hearing none, thank you  
9 very much. Let's go to the -- next is Follow-Up  
10 and Treatment Subcommittee update, Charlie Homer.

11 MEMBER HOMER: So this is the report on  
12 the Long-Term Follow-Up Committee. We really have  
13 two main areas of activity that we have focused on  
14 for these last several meetings. Those include --  
15 the first is identifying those barriers that impede  
16 access to high-quality counseling and treatment  
17 services required for long -- for effective  
18 long-term follow up -- thank you for the full screen  
19 -- and proposed policy solutions to address them.  
20 We'll discuss that further in a minute. And the  
21 second is facilitating widespread implementation  
22 of the framework for assessing outcomes from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 newborn screening, which we just discussed.

2           So on the first one, we had a  
3 conversation in January with Dr. Lu, and that was  
4 what I was referring to, about whether this first  
5 area is an appropriate avenue of focus for our  
6 Subcommittee and for the Committee as a whole. The  
7 guidance that I believe Dr. Bocchini and I received  
8 from Dr. Lu at the time was in fact this is an  
9 appropriate area, although very much for the full  
10 Committee as much as for the Subcommittee,  
11 Subcommittee may frame it and bring it forward, but  
12 that it is a matter of topic.

13           But we had a specific conversation  
14 where Dr. Lu asked us to emphasize and focus on the  
15 unique and specific contribution that this  
16 Committee can do compared to, for example, the  
17 regional collaboratives or grantee organizations,  
18 such as the Catalyst Center that may be working in  
19 general in this area of the impact of health reform  
20 on access to and quality of care.

21           So we used that to inform our  
22 conversation that said focus on our unique

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 attributes. We had a wide-ranging conversation  
2 yesterday. I had a preparatory conversation with  
3 Meg Comeau about the work that she is doing at the  
4 Catalyst Center, and the work she is doing in  
5 coordination with the regional genetic  
6 collaboratives.

7 We focused on a number of areas. Three  
8 areas -- one, the issue of coverage, the sense of  
9 whether in fact essential health benefits address  
10 the broad needs of children and youth with special  
11 health care needs, and specifically those  
12 identified through newborn screening. And a  
13 potential policy action that could follow from that  
14 is mechanisms to incorporate input from families  
15 and providers and advocates in the upcoming  
16 mandated revision of the essential health benefits  
17 from the Secretary.

18 The second was simply highlighting that  
19 access, financial access and coverage -- that  
20 coverage -- that is, having an insurance card --  
21 does not necessarily mean that you have access to  
22 the quality services that are necessary, and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 could include both limitations of the availability  
2 of workforce to meet the needs of the population  
3 and specific interest in areas around transition.

4 And the second was whether there are  
5 appropriate incentives and payment models such as  
6 are starting to exist for, for example, adults who  
7 have dual eligibility for Medicaid and Medicare due  
8 to the basis of their disability. And so there  
9 could be a further exploration of what kind of  
10 incentives to providers could facilitate enhanced  
11 access.

12 And the third element of this, again,  
13 ties to the broader question of whether there is  
14 in place a mechanism for prospective monitoring,  
15 not only to see whether recommendations -- when  
16 something gets put on the RUSP, it has the desired  
17 outcomes, but in the presence of health reform and  
18 changes -- not just -- changes in the health care  
19 delivery system writ large, can we implement a  
20 monitoring system to assess the impact on this  
21 population.

22 So those were topics that came up. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think the question for us that -- and I think for  
2 the Committee -- and, again, knowing the time, we  
3 don't really have time, but I think this will be  
4 follow-up conversations with Dr. Bocchini and  
5 myself, Dr. Lu, and Debi Sarkar, is how do we take  
6 this concept forward?

7           You know, Dr. Lu highlighted that this  
8 really was a topic that should be addressed at the  
9 full Committee, and not necessarily contained  
10 within the Subcommittee. We wanted to bring this  
11 to the full Committee's attention. We thought  
12 perhaps we could identify appropriate experts for  
13 presentation to refine the general approach and the  
14 specific recommendations and come back to the  
15 Committee with additional background and  
16 recommendations.

17           So that was -- we spent most of our time  
18 yesterday discussing this issue. And, no, it does  
19 not mean we are wrapping this up within the next  
20 session, which is an area of concern. But I just  
21 wanted to highlight that.

22           So that was part one. Part two of our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       conversations -- again, we have -- we have  
2       impressed, or soon to be impressed, or awaiting  
3       final signatures and clearances to be impressed.  
4       The framework that we have discussed, presented,  
5       this Committee has authorized, are going forward  
6       about setting up a monitoring system. Our  
7       Committee is committed to, how can we facilitate  
8       the implementation? We have a Subcommittee or  
9       work group Susan Berry and Deb Badawi are chairing.

10               This is -- how do we operationalize  
11       this? We have had a discussion about, can we  
12       identify exemplar states? We had the benefit of  
13       a presentation from Dr. Tarini yesterday, which had  
14       been previously shared with the Committee about two  
15       years about, but our memories were not perfect.  
16       And so it's very useful to hear it again.

17               Coming out of that, we -- the way those  
18       data were sliced and diced, we have sort of in the  
19       aggregate performance across states, but we can't  
20       from that data say, you know, North Dakota is the  
21       best state in the country with their systems,  
22       because that's not how the data were sliced, plus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there were ways that the survey data were collected  
2 that would make that difficult.

3 So, really, this is another one where,  
4 again, I'm actually looking to conversations with  
5 Dr. Bocchini about, are there strategies for how  
6 we can sort of move this forward and wrap this up?  
7 We talked about and we actually have obtained  
8 information from the regional genetics  
9 collaboratives.

10 We're going to go back to them, ask them  
11 to identify high performing states. We thought  
12 new steps could be helpful in this. We identified  
13 that there was a previous document on roles and  
14 responsibilities of states, the Fed's delivery  
15 system, that we could revisit. But, really, this  
16 is an area where we're looking to guidance as to  
17 what the appropriate -- our Committee is very, as  
18 I think the whole Committee, is focused on this  
19 area, but we're not sure what our best leverage is  
20 to move this forward. So I think that's -- I think  
21 that's where we are. I don't know if -- yes, that's  
22 the end. I don't know if my Subcommittee would like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to make any points, or, Dr. Bocchini? Carol?

2 CHAIR BOCCHINI: Okay. Carol?

3 DR. GREENE: A great discussion. I  
4 just wanted to add that I'm not sure that there was  
5 actually a document about roles and  
6 responsibilities. Coleen was the Chair of the  
7 Committee at the time, and I think there were some  
8 outlines of some ways it could be approached. But  
9 I'm not persuaded that -- yes, never got to a  
10 document.

11 MEMBER HOMER: Yes, yes. I'm sorry.  
12 Jill is saying that in fact she did find two draft  
13 documents. She had sent them to me I think last  
14 night, so we -- they weren't finalized, so they're  
15 just an early draft. Any other comments either  
16 from Committee -- Subcommittee members for  
17 clarification or response?

18 CHAIR BOCCHINI: Well, I think -- I  
19 certainly appreciate you working to bring us to  
20 this point. And as we discussed, I think that,  
21 given the additional responsibilities of the  
22 Committee, these really fall into some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 expanded roles of the Committee. And I think over  
2 the next couple of months we will have  
3 conversations with each of the leaders of the  
4 Subcommittees and talk about how to incorporate  
5 what is being done into the -- into this new set  
6 of responsibilities as well as how to prioritize  
7 them. So I think this is -- this is clearly what  
8 we need to have happen. Thank you.

9 MEMBER HOMER: Thank you.

10 CHAIR BOCCHINI: Okay. Laboratory  
11 Procedures and Standards Subcommittee.

12 MEMBER KELM: Well, we have 30 slides,  
13 so --

14 (Laughter.)

15 So we promised to be done in 10 minutes.  
16 I'll try. We actually had a really fantastic  
17 meeting and -- we always do, but, anyway, this is  
18 our Subcommittee roster, and we had most, if not  
19 all, everyone there yesterday. And this is just  
20 our three priorities, and I do think that the great  
21 thing is, at least in terms of what we have been  
22 working on, we are finishing them up.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So our priorities were to review new  
2           enabling and instructive technologies; and B was  
3           to provide guidance to programs for lab  
4           implementation, integration, follow up, quality  
5           assurance; and C was a priority that we actually  
6           never had a project assigned to, so I'll just move  
7           along.

8           So here are the things that we talked  
9           about yesterday. So Stuart Shapiro gave us an  
10          update on a very long, over 10-year-running  
11          project, that I think is finally coming to a close.  
12          And we have lots of slides, but I promise I'll give  
13          you two, and that's going to be looking at data from  
14          states that do singles, a single screen and states  
15          that do routine second screens looking at their  
16          data, and they used primary CH and CAH for their  
17          analysis.

18          And then, APHL, along with CDC, hosted  
19          a meeting last week on MS/MS in newborn screening,  
20          including SUAC, and so that touched on the SUAC  
21          topic that we have talked about, I believe the  
22          Committee recommended nay, and then we were talking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 about the next steps for the timeliness paper.

2 So, as has been said, Stuart at CDC  
3 provided some end use data. So they actually --  
4 the initial idea was the study was actually going  
5 to be prospective, but IRBs wouldn't go for it, so  
6 then they were trying to get retrospective data and  
7 still it was very difficult. And they got data  
8 from states, and you can see them here, and you can  
9 see mainly most of the years were 2005 to 2007, and  
10 then Alabama gave data later.

11 And so -- and this is for CH. The  
12 interesting thing is -- so we had two one-screen  
13 states and five two-screen states. And, as you can  
14 see, they use a variety of algorithms. So we  
15 couldn't directly compare, for example, and apply  
16 costs from one state to another, which made it  
17 complicated. And, in the end, we had the data on  
18 how many cases were identified on the first screen  
19 in first screen states, and then the first and  
20 second screen in two-screen states, and there was  
21 a lot of analysis. And I will skip over it.

22 So the only significant predictor --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for those who are more likely to be detected on the  
2 second screen versus the first screen was actually  
3 race, ethnicity. And it wasn't things like even  
4 though there was a difference in mean serum TSH,  
5 that actually wasn't enough, for example, for those  
6 to be necessarily missed by different cutoffs. So  
7 that was a really intriguing result, and I believe  
8 they are finishing it up so that it would be  
9 prepared for publication so look for that.

10 And then, CAH -- here is just the  
11 results, and I can provide these, or we can ask  
12 Stuart for the full slide back if you want to have  
13 more time to read them. And once again, I mean,  
14 you can see that two-screen states are getting a  
15 significant number of cases on the second screen.

16 And, once again, their cutoffs we found  
17 out were very similar, and they used similar  
18 screening technology. So it wasn't an issue with  
19 technology or cutoffs that led to this difference.  
20 And here I actually have them separated, one screen  
21 versus two screen and the different CH types. So  
22 you can see that even in two-screen states they were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 getting salt, and some of the one-screen states did  
2 targeted second screening, and you see that even  
3 on second screens they are getting salt wasters  
4 that weren't detected here on the first screen.

5 And so we have lots of conclusions.  
6 And the one interesting discussion that we had and  
7 that we thought we even wanted to bring back to the  
8 Committee was sort of, what is the target for  
9 screening for CAH? And we had a discussion and we  
10 didn't have much time to complete it and bring it  
11 back, but, you know, is the purpose of screening  
12 for CH actually salt wasters, or, you know,  
13 additional cases beyond that? And that's  
14 something I didn't know if we ever wanted to talk  
15 about or get your input on. And, obviously, we  
16 don't have a lot of people remaining, but, you know,  
17 what's the purpose of screening, and should we take  
18 that into consideration as we -- the states screen?

19 So Jelili from APhL, I stole his slides,  
20 and this is from what they call the national -- a  
21 national conversation on tandem mass spec newborn  
22 screening, and Victor de Jesus down at CDC also

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 helped organize the meeting. And it wasn't just  
2 on SUAC, it was on lots -- you know, I think Jelili  
3 said that wouldn't be enough for a meeting, but I  
4 do think it was a major topic of discussion.

5 So it was last Thursday, Friday in  
6 Atlanta, and they reached out to all of the states  
7 and I think they got 40 states represented. And  
8 he said that they mainly targeted the mass spec  
9 people in those state programs, so we had the right  
10 people there. And vendors also participated, and  
11 non-state participants, like data from Mayo.

12 And so I know there were small group  
13 breakout sessions and some other things trying to  
14 tackle some of the issues, and lots of interesting  
15 discussions on talking about missed cases and SUAC  
16 condition, obviously, and some other experiences  
17 for mass spec assays being used.

18 So these slides -- I know the  
19 proceedings will be available at APHL's website if  
20 you want to read more about that. And we just  
21 talked about finalizing at least -- our idea was  
22 to finalize the report, especially if we get any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 feedback from the Committee, and start working on,  
2 you know, by the next meeting our goal is to get  
3 executive summary draft as well as hopefully a good  
4 draft of, you know, cutting down -- our report down  
5 to something that we could submit for peer review.  
6 And our work group is still active.

7 So that's it. We didn't -- the SCID  
8 slide deck, I think the last time we had actually  
9 worked on that was last May, and we saw that. But  
10 I think we are nicely finishing up the priorities  
11 that we have been working on.

12 CHAIR BOCCHINI: Thank you, Kellie. I  
13 think it's clear that your Committee is still  
14 active.

15 MEMBER KELM: Well, it's still -- the  
16 Timeliness Work Group is still active.

17 CHAIR BOCCHINI: All right.  
18 Questions or comments? I certainly think if in the  
19 future you want to put together a presentation on  
20 CAH and get some feedback from the Committee,  
21 that's certainly reasonable, and we ought to  
22 consider doing that if CDC thinks that would be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 helpful, or others. So I think we'd be more than  
2 happy to take that on. And the rest, I think --  
3 thank you, I think we're good.

4 MEMBER KELM: All right. Thank you.

5 CHAIR BOCCHINI: Other questions?  
6 Okay. Coleen?

7 MEMBER BOYLE: This is Coleen Boyle.  
8 Having worked with Stuart, or at least read his  
9 paper several times, I thought that CAH and --  
10 congenital hypothyroidism and CAH were important  
11 issues to bring up to the Committee, and just the  
12 implications. So I don't know if we have -- did  
13 you just say that? I'm sorry. I'm fading.

14 MEMBER KELM: Yes. I think they --

15 MEMBER BOYLE: I had a cup of coffee at  
16 like 4:30. It was supposed to be decaf yesterday  
17 and it wasn't. So I like saw the whole night last  
18 night, but --

19 (Laughter.)

20 I don't usually drink coffee, but it was  
21 like, okay, 3:30 in the morning.

22 MEMBER KELM: So we -- I think what he

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 said was talking about the target for sample for  
2 screening for CAH. But another thing that we  
3 talked about, and I didn't present here was, as we  
4 are sort of thinking about future topics, we are  
5 also talking about sort of going back and looking  
6 at old, old methods that causes issues, which  
7 although we didn't talk about -- wouldn't touch on  
8 first screen versus two screen, one of the things  
9 that Susan talked about was, for example, with CH,  
10 the false positive rate that we have, and perhaps  
11 tackling that in the Subcommittee in the future,  
12 you know, as we think about still touching on some  
13 of the issues we have with some of the screens that  
14 we're doing and not ignoring them as we, you know,  
15 add new screens.

16 So I don't know if Susan wants to say  
17 more about that. Looks like she does. But that  
18 was something we thought about in terms of a  
19 Subcommittee project, if we had time in the future.

20 DR. TANKSLEY: Right. So we have  
21 mentioned it before, kind of just looking at old  
22 technologies and reevaluating some of those,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 looking at the -- you know, are there better ways  
2 to screen for some of the things we have been  
3 screening for for years and years?

4 We talk about a high false positive rate  
5 for MPS I, and the data for that, I mean, if you  
6 looked at hypothyroidism and you looked at the  
7 false positive rate for hypothyroidism, you're  
8 close to one percent or higher, not .03 something.  
9 You know, so we really need to reevaluate some of  
10 the things we've been doing for 30 years or more,  
11 and so looking at the methods, looking at second  
12 tier possibility.

13 And then, on the question of CAH, it  
14 really becomes, you know, we have case definitions  
15 now, but what are states screening for? In Texas,  
16 we consider simple virilizers to be classical CAH,  
17 but there was a lot of discussion yesterday where  
18 states are screening for salt wasters.

19 And so what are we screening for? What  
20 are we supposed to be screening for? And I think  
21 it would be interesting to hear -- you know, perhaps  
22 survey the states and New Steps may already have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that information of, you know, what specifically  
2 are states looking for, and that sort of thing, but  
3 I think it's something that would be really good  
4 for the Subcommittee to explore further.

5 CHAIR BOCCHINI: Yes. I think that  
6 makes really good sense. And a systematic view of  
7 what is going on in individual states based on what  
8 you think are the highest priorities based on  
9 either false positive rates or not using standard  
10 definitions or -- those would all be potentially  
11 good things to follow up on. I think that would  
12 strengthen the program. Yes?

13 MEMBER BOYLE: And just one other thing  
14 maybe in line with that. I knew New Steps -- and  
15 HRSA and CDC are working on an MMWR, reports and  
16 recommendations around the new case definitions.  
17 So it might be a good time for the Committee to  
18 spotlight this a bit and bring attention to newborn  
19 screening and standardization issues or whatever.  
20 So just some thoughts around that.

21 CHAIR BOCCHINI: Carol?

22 DR. GREENE: Carol Greene, SIMD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Particularly relating to the issue of  
2       hypothyroidism and to a large extent CAH, I think  
3       that discussion will be incredibly valuable and  
4       important and useful, but not to forget that  
5       technology doesn't solve all problems, because the  
6       problem with CH is physiology, is that the kids are  
7       so different. And that's the reason for the second  
8       screen. So you can certainly work on technology  
9       and maybe finding a new method, but the problem is  
10      that babies have weird thyroid hormone, and it  
11      changes.

12                 DR. TANKSLEY: And there has been an  
13      evolution over the years where states were  
14      primarily using T4 as an initial screen and maybe  
15      reflexing to TSH. And now it appears that it's  
16      swapping, and so a lot of states are now screening  
17      TSH on that for -- as a primary screen. And so I'm  
18      talking on one specimen. So it will just be  
19      interesting to look at all of that information.

20                 CHAIR BOCCHINI: All right. Other  
21      comments? All right. Okay. Thank you. I want  
22      to thank again the work -- the Subcommittee

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 leadership and the work of each of the groups. I  
2 think it has been outstanding.

3 So before we end today's meeting, I  
4 wanted to recognize the passing of a friend of the  
5 newborn screening community. Dr. Ken Pool,  
6 co-founder, Chief Operating Officer, and Chairman  
7 of OZ Systems died unexpectedly last month. Dr.  
8 Pool was a pioneer in technology that has  
9 transformed the world of health care. He was  
10 co-chair of the public health and emergency  
11 response at Health Level 7, HL 7, a member of  
12 integrating the health care enterprise, health  
13 information technology co-chair at the Mountain  
14 States Region Genetics, and a member of the  
15 Committee's Health Information Technology Work  
16 Group.

17 He worked tirelessly to integrate  
18 newborn screening into modern health information  
19 technology and to improve electronic communication  
20 between health care providers and public health.  
21 Our condolences go to his wife Terese, his children  
22 and grandchildren, and to his extended family.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 And we are very sorry for your loss. He will be  
2 remembered not just for his tremendous  
3 contributions to newborn screening but also for his  
4 generosity and warm spirit. So with that -- yes,  
5 Carol.

6 DR. GREENE: I had discussed with  
7 Dieter before, and I know there is probably not even  
8 enough people for discussion, but the SIMD and  
9 Dieter, because he worked on it, would like to put  
10 forward for future discussion an issue that is  
11 related to one part -- the lab-developed tests --  
12 and I think the ACMG would probably agree, though  
13 I haven't talked to Mike -- the lab-developed tests  
14 guidance is going to have profound implications for  
15 biochemical genetic testing, and, therefore, for  
16 newborn screening follow up.

17 There are significant -- the current  
18 definition as proposed by the FDA includes  
19 virtually all biochemical genetic tests, and even  
20 the largest laboratories do not feel they are going  
21 to be able to meet the bar that the FDA is proposing  
22 in the guidance.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 All we -- I would be very happy to  
2 provide the Committee with the -- with a copy of  
3 what the SIMD submitted to the FDA to demonstrate  
4 what the problem is and respectfully request that  
5 the Committee consider addressing that in a future  
6 meeting.

7 CHAIR BOCCHINI: Thank you. That  
8 would be a good topic for us to look at. So,  
9 Kellie?

10 MEMBER KELM: I don't know if it would  
11 be -- I mean, I would just propose that we -- right  
12 now it's out in draft and comment period is already  
13 over. I don't know if it -- I realize nobody wants  
14 to wait until the final, but it probably will change  
15 a lot now before the final, and I don't know whether  
16 or not discussing it with the comment period being  
17 over now makes sense, but it's just something we  
18 want to consider as we think about the timing of  
19 having it.

20 CHAIR BOCCHINI: So commentaries all  
21 have been submitted. Well, comments have been  
22 submitted and now the final rule is being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 promulgated? Is that --

2 MEMBER KELM: Yes. I believe the  
3 comment period ended the first week of February,  
4 and I know a lot of people have shared with me their  
5 public comments they submitted to the docket. And  
6 I appreciate that and all the work that -- thought  
7 that people put into a lot of the public comments  
8 they provided. So the goal, obviously, is to take  
9 all those into account. And we had a public  
10 meeting as well in January, and I don't know, I  
11 mean, how long it will take for the final guidance  
12 to come out. I can't promise that it would be any  
13 time in the near future.

14 CHAIR BOCCHINI: Okay. So no real  
15 suspected or expected timeline? It could vary?  
16 Or --

17 MEMBER KELM: I can try to keep you in  
18 the loop, but --

19 CHAIR BOCCHINI: That would be great,  
20 because then it would be good to really understand  
21 how it's going to have -- what kind of impact the  
22 final --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. GREENE: Right. And Kellie and  
2 all of the folks who work on the -- in the Federal  
3 Government understand far better, but it's very  
4 clear the comment period is closed, and that means  
5 that there now is a period of internal discussion  
6 within the agency that put forward that regulation.

7 And I know that because the comment  
8 period is closed, this Committee could not submit  
9 comments. But it is my understanding that any  
10 agency in that process certainly has its eyes and  
11 its ears open to anything that will help it in its  
12 deliberations and judgment.

13 So, again, respecting that the comment  
14 period is closed, I think that the longer we wait  
15 to have the discussion, the less chance there is  
16 of any discussion this Committee might have being  
17 used in the FDA's deliberation. And, again, it's  
18 a long time since I worked for the Federal  
19 Government, but I know that the discussion period  
20 is closed, but I'm not terribly sure that's a reason  
21 to not talk about it.

22 CHAIR BOCCHINI: Okay. All right.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Thank you. We'll take all those considerations.  
2 Okay. All right. If there is no other business,  
3 I want to thank everyone for their contributions.  
4 I think this has been a really good meeting, and  
5 I think we accomplished a great deal. And so this  
6 is obviously the last meeting of the Discretionary  
7 Committee. When we meet in May, we will be the  
8 Secretary's Advisory Committee again. And,  
9 again, thank you all for your participation. So  
10 we'll conclude the meeting.

11 (Whereupon, the above-entitled matter went off the  
12 record at 2:49 p.m.)

13

14