

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

Health Resources and Services Administration

Advisory Committee on Heritable Disorders  
in Newborns and Children

Meeting

10:00 a.m. to 3:10 p.m.

Tuesday, April 23, 2019

1 Committee Members

2 **Joseph A. Bocchini, Jr., M.D. (Chairperson)**

3 **Professor and Chairman**

4 Department of Pediatrics

5 Louisiana State University

6 Health Sciences Center in Shreveport

7

8 **Mei Baker, M.D.**

9 Professor of Pediatrics

10 University of Wisconsin School of Medicine and

11 Public Health

12 Co-Director, Newborn Screening Laboratory

13 Wisconsin State Laboratory of Hygiene

14

15 **Susan A. Berry, M.D.**

16 Professor and Director

17 Division of Genetics and Metabolism

18 Departments of Pediatrics and Genetics,

19 Cell Biology & Development

20 University of Minnesota

21

22

1 **Jeffrey P. Brosco, M.D., Ph.D.**

2 Professor of Clinical Pediatrics

3 University of Miami School of Medicine

4 Department of Pediatrics

5 Deputy Secretary, Children's Medical Services

6 Florida State Department of Health

7

8 **Kyle Brothers, M.D., Ph.D.**

9 Endowed Chair of Pediatric Clinical and

10 Translational Research

11 Associate Professor of Pediatrics

12 University of Louisville School of Medicine

13

14 **Jane M. DeLuca, Ph.D., R.N.**

15 Associate Professor

16 Clemson University School of Nursing

17

18 **Cynthia M. Powell, M.D.**

19 Professor of Pediatrics and Genetics

20 Director, Medical Genetics Residency Program

21 Pediatric Genetics and Metabolism

22 The University of North Carolina at Chapel Hill

1 **Annamarie Saarinen**

2 Co-founder, CEO

3 Newborn Foundation

4

5 **Scott M. Shone, Ph.D., HCLD(ABB)**

6 Senior Research Public Health Analyst

7 Center for Newborn Screening, Ethics, and

8 Disability Studies

9 RTI International

10

11 **Beth Tarini, M.D., M.S., F.A.A.P.**

12 Associate Director, Center for Translational

13 Science

14 Children's National Health System

15

16

17

18

19

20

21

22



1 Associate Administrator,  
2 Maternal and Child Health Bureau

3

4 **National Institutes of Health**

5 **Melissa Parisi**

6 Eunice Kennedy Shriver National Institute  
7 of Child Health and Human Development

8

9 **DESIGNATED FEDERAL OFFICIAL**

10 **Catharine Riley, Ph.D., M.P.H.**

11 Health Resources and Services Administration  
12 Genetic Services Branch  
13 Maternal and Child Health Bureau

14

15 **Organizational Representatives**

16 **American Academy of Family Physicians**

17 Robert Ostrander, M.D.

18 Valley View Family Practice

19

20 **American Academy of Pediatrics**

21 Debra Freedenberg, M.D., Ph.D.

22 Medical Director, Newborn Screening and

1       Genetics

2       Community Health Improvement

3       Texas Department of State Health Services

4

5       **American College of Medical Genetics**

6       Michael S. Watson, Ph.D., F.A.C.M.G.

7       Executive Director

8

9       **American College of Obstetricians & Gynecologists**

10      Britton Rink, M.D., M.S.

11      Mount Carmel Health Systems

12

13      **Association of Maternal & Child Health Programs**

14      Jed L. Miller, M.D., M.P.H.

15      Director, Office for Genetics and People with

16      Special Health Care Needs

17      Maryland Department of Health

18      Prevention & Health Promotion Administration

19

20      **Association of Public Health Laboratories**

21      Susan M. Tanksley, Ph.D.

22      Manager, Laboratory Operations Unit Texas

1 Department of State Health Services

2

3 **Association of State & Territorial Health**

4 **Officials**

5 Christopher Kus, M.D., M.P.H.

6 Associate Medical Director

7 Division of Family Health

8 New York State Department of Health

9

10 **Department of Defense**

11 TBD

12

13 **Genetic Alliance**

14 Natasha F. Bonhomme

15 Vice President of Strategic Development Genetic

16 Alliance

17

18 **March of Dimes**

19 Siobhan Dolan, M.D., M.P.H.

20 Professor and Vice Chair for Research Department

21 of Obstetrics & Gynecology and Women's Health

22 Albert Einstein College of Medicine and Montefiore



1 Medical Center

2

3 **National Society of Genetic Counselors**

4 Cate Walsh Vockley, M.S., LCGC

5 Senior Genetic Counselor

6 Division of Medical Genetics

7 UPMC Children's Hospital of Pittsburgh

8

9 **Society for Inherited Metabolic Disorders**

10 Shawn E. McCandless, M.D.

11 Section Head, Genetics and Metabolism

12 Children's Hospital Colorado

13

14

15

16

17

18

19

20

21

22

23

C O N T E N T S		
		PAGE
1		
2		
3	Welcome, Roll Call, Opening Remarks	
4	and March 2019 minutes	11
5		
6	New Disorders Readiness Tool	26
7		
8	Public Comment	54
9		
10	LUNCH	105
11		
12	Afternoon roll call	105
13		
14	RUSP Condition Nomination and Evidence Review	
15	Process: Draft Approach and Timeline	108
16		
17	Evidence Review Process	113
18		
19	Acknowledgement for Dr. Bocchini	207
20		
21	Adjourn	231
22		
23		

## 1 P R O C E E D I N G S

2 CHAIRMAN BOCCHINI: All right. Good  
3 morning, everyone. I want to welcome to the  
4 second meeting of the Advisory Committee on  
5 Heritable Disorders in Newborns and Children for  
6 2019. We will begin this meeting by taking roll  
7 call. All right, so we're going to start -- is  
8 that -- okay. Yes, all right, red means on.  
9 Okay. So, we have new microphones here, so it  
10 will take me four hours to figure it out. So,  
11 roll call for committee members first. Kamila  
12 Mistry, Agency for Healthcare Research and Quality  
13 Kamila Mistry.

14 DR. KAMILA MISTRY: Here.

15 DR. JOSEPH BOCCHINI: Mei Baker.

16 DR. MEI BAKER: Here.

17 CHAIRMAN BOCCHINI: Susan Berry?

18 DR. SUSAN BERRY: Here.

19 CHAIRMAN BOCCHINI: I'm here. Jeff  
20 Brosco.

21 DR. JEFFREY BROSCO: Here.

22 DR. JOSEPH BOCCHINI: Kyle Brothers.

1 DR. KYLE BROTHERS: Here.

2 DR. JOSEPH BOCCHINI: Jane DeLuca.

3 Dr. JANE DELUCA: Here.

4 DR. JOSEPH BOCCHINI: Carla Cuthbert.

5 DR. CARLA CUTHBERT: I'm here.

6 DR. JOSEPH BOCCHINI: Kellie Kelm.

7 DR. KELLIE KELM: Here.

8 CHAIRMAN BOCCHINI: Joan Scott.

9 MS. JOAN SCOTT: Here.

10 DR. JOSEPH BOCCHINI: Cynthia Powell.

11 DR. CYNTHIA POWELL: Here.

12 DR. JOSEPH BOCCHINI: Melissa Parisi.

13 DR. MELISSA PARISI: Here.

14 DR. JOSEPH BOCCHINI: Annamarie

15 Saarinen.

16 MS. ANNAMARIE SAARINEN: Here.

17 DR. JOSEPH BOCCHINI: Scott Shone.

18 DR. SCOTT SHONE: Here.

19 DR. JOSEPH BOCCHINI: Beth Tarini.

20 DR. BETH TARINI: Here.

21 DR. JOSEPH BOCCHINI: And our DFO,

22 Catharine Riley.

1 DR. CATHARINE RILEY: Here.

2 DR. JOSEPH BOCCHINI: Now, for your  
3 organizational representatives, the American  
4 Academy of Family Physicians, Robert Ostrander.

5 DR. ROBERT OSTRANDER: Here.

6 DR. JOSEPH BOCCHINI: American  
7 Academy of Pediatrics, Debra Freedenberg.

8 DR. DEBRA FREEDENBERG: Here.

9 DR. JOSEPH BOCCHINI: American  
10 College of Medical Genetics, Michael Watson.

11 DR. MICHAEL WATSON: Here.

12 DR. JOSEPH BOCCHINI: American  
13 College of Obstetricians and Gynecologists,  
14 Britton Rink via webcast.

15 DR. BRITTON RINK: Here.

16 DR. JOSEPH BOCCHINI: Association of  
17 Maternal and Child Health Programs, Jed Miller.

18 DR. JED MILLER: Here.

19 DR. JOSEPH BOCCHINI: Association of  
20 Public Health Laboratories, Susan Tanksley.

21 DR. SUSAN TANKSLEY: Here.

22 DR. JOSEPH BOCCHINI: Association of

1 State and Territorial Health Officials, Chris Kus,  
2 by webcast.

3 DR. CHRISTOPHER KUS: Here.

4 DR. JOSEPH BOCCHINI: Child Neurology  
5 Society, Jennifer Kwon by webcast. Genetic  
6 Alliance, Natasha Bonhomme.

7 MS. NATASHA BONHOMME: Here.

8 DR. JOSEPH BOCCHINI: March of Dimes,  
9 Siobhan Dolan.

10 DR. SIOBHAN DOLAN: Here.

11 DR. JOSEPH BOCCHINI: National  
12 Society of Genetic Counselors, Cate Walsh Vockley.

13 MS. CATE WALSH VOCKLEY: Here

14 DR. JOSEPH BOCCHINI: Society of  
15 Inherited Metabolic Disorders, Shawn McCandless.

16 DR. SHAWN MCCANDLESS: Here.

17 DR. JOSEPH BOCCHINI: Thank you. Now  
18 that we have completed roll call, we now need to  
19 approve the minutes of the prior meeting.  
20 Committee members received a draft of the minutes  
21 of the March meeting to review prior to this  
22 meeting. We incorporated revisions submitted by

1 committee members, distributed a final draft of  
2 the minutes to the committee prior to this  
3 meeting. Are there any further additions or  
4 corrections to be made to the minutes? Hearing  
5 none, we will proceed with a vote to accept the  
6 minutes as they have been distributed. This is a  
7 committee member vote. Mei Baker.

8 DR. MEI BAKER: Approved.

9 DR. JOSEPH BOCCHINI: Susan Berry.

10 DR. SUSAN BERRY: Approved.

11 DR. JOSEPH BOCCHINI: I approve.

12 Jeff Brosco.

13 DR. JEFFREY BROSCO: Approved.

14 DR. JOSEPH BOCCHINI: Kyle Brothers  
15 and Jane DeLuca will abstain since they have just  
16 joined the committee. Carla Cuthbert.

17 DR. CARLA CUTHBERT: Approved.

18 DR. JOSEPH BOCCHINI: Kellie Kelm.

19 DR. KELLIE KELM: Approved.

20 DR. JOSEPH BOCCHINI: Kamila Mistry.

21 DR. KAMILA MISTRY: Approved.

22 DR. JOSEPH BOCCHINI: Melissa Parisi.

1 DR. MELISSA PARISI: Approved.

2 DR. JOSEPH BOCCHINI: Annamarie

3 Saarinen:

4 MS. ANNNMARIE SAARINEN: Approved.

5 DR. JOSEPH BOCCHINI: Joan Scott.

6 MS. JOAN SCOTT: Approved.

7 DR. JOSEPH BOCCHINI: Scott Shone.

8 DR. SCOTT SHONE: Approved.

9 DR. JOSEPH BOCCHINI: Beth Tarini.

10 DR. BETH TARINI: Approved.

11 DR. JOSEPH BOCCHINI: Did I skip

12 Annamarie Saarinen? Oh, Cindy Powell.

13 DR. CYNTHIA POWELL: Approved.

14 DR. JOSEPH BOCCHINI: Okay. All

15 right. So, the minutes are approved as

16 distributed.

17 So, I would like to now introduce you

18 to our new two committee members. They are now

19 joining us for the first time, Dr. Kyle Brothers

20 and Dr. Jane DeLuca. They will serve on the

21 committee through June 30, 2023. I would like to

22 give you a brief introduction about both of them.



1                   Dr. DeLuca, a Ph.D., R.N. is an  
2   associate professor in the School of Nursing at  
3   Clemson University, South Carolina. Dr. DeLuca is  
4   a pediatric nurse, academic scientist, and  
5   university instructor with special expertise in  
6   the field of heritable disorders who provides  
7   services for newborns and children at risk for  
8   heritable disorders. She has a clinical  
9   appointment at the Greenwood Genetic Center in  
10  their Metabolic Clinic. In her practice, she  
11  cares for patients with inborn errors of  
12  metabolism. She has more than 15 years of  
13  clinical care experience with children and their  
14  families identified with metabolic and genetic  
15  disorders through newborn screening programs in  
16  New York and South Carolina in both urban and  
17  rural service areas. Her research interests  
18  include parents and family experiences of newborn  
19  screening. She is a board member of the Society  
20  for Inherited Metabolic Disorders. So, Dr.  
21  DeLuca, we welcome you to the committee.

22                   Next is Dr. Kyle Brothers. Dr.

1 Brothers is an M.D., Ph.D. and is an associate  
2 professor of Pediatrics and the Endowed Chair for  
3 Pediatric Clinical and Translational Research at  
4 the University of Louisville. Dr. Brothers  
5 received his MD from the University of Louisville  
6 School of Medicine, completed his pediatric  
7 residency training, including a chief residency  
8 year in pediatrics, at Vanderbilt and his Ph.D. in  
9 Ethics and Society, also at Vanderbilt University.  
10 Dr. Brothers' research focuses on Policy and  
11 Ethics in Human Genetics and the Translation of  
12 Health Technologies into Clinical Care. Dr.  
13 Brothers is a practicing primary care pediatrician  
14 and serves as a Chair of the Ethics Committee at  
15 Norton Children's Hospital in Louisville,  
16 Kentucky. So, Dr. Brothers, welcome to the  
17 committee. We look forward to your contributions.

18                   Next, we mentioned at the last  
19 meeting that we were working through a number of  
20 organizations that had requested becoming  
21 organizational representatives. I want to thank  
22 all of the organizations which applied. Two

1 organizations have been selected to join the  
2 organizational representatives for the committee.  
3 These representatives will be joining us in  
4 August, and at that time, we will introduce them  
5 and name the organizations.

6                   Next, we have received a new  
7 condition nomination for the RUSP. The committee  
8 has received the nomination for including  
9 congenital cytomegalovirus infection. This was  
10 submitted by a nomination team led by the National  
11 Cytomegalovirus Foundation. The submission is  
12 currently undergoing initial review.

13                   Our next meetings are listed on this  
14 slide. The next in-person meeting will be August  
15 1 and 2, 2019, followed by the November meeting.  
16 All of the meeting dates have been set up through  
17 2023, and these can be found on the committee's  
18 website.

19                   So, today we will begin the meeting  
20 with a number of presentations. We will first  
21 hear a presentation on New Disorders Readiness  
22 Tool. We'll follow with a Draft Approach and

1 Timeline for review of the RUSP Condition  
2 Nomination Evidence Review Process. This is a  
3 continuation of the information that comes from  
4 our plan to review our processes to update them as  
5 needed to try and improve decision making if  
6 necessary. And then, we'll hear about the  
7 Systemic Evidence Review Process.

8                   Next slide. And then tomorrow, we'll  
9 hear a presentation on Newborn Screening Pilot  
10 Studies. We will hear an update from the Ad-Hoc  
11 Workgroup on Interpreting and Presenting Newborn  
12 Screening Results, and then we will hear from two  
13 rare disease registries, the Cystic Fibrosis  
14 Foundation and the CF Registry as well as the  
15 Primary Immune Deficiency Consortium, which is  
16 collecting data on SCID and related Immune  
17 Deficiencies.

18                   We'll then hear from our workgroups  
19 for the work that they will have completed this  
20 afternoon. And then, we will follow on with a  
21 presentation on what's on the horizon for the  
22 committee as we change leadership after today's

1 meeting.

2                   So, I will now turn the presentation  
3 over to Catharine to go over the DFO slides.

4                   DR. CATHARINE RILEY: Excellent.  
5 Thank you, Dr. Bocchini. First, I just want to  
6 say good morning to everyone here in the room and  
7 for all those joining us via the live webcast  
8 across many time zones. We appreciate you all  
9 joining us today. We have a full agenda today,  
10 and we're excited for the next couple of days.

11                   So, I have my general set of  
12 announcements. This Advisory Committee's  
13 legislative authority is found in the Newborn  
14 Screening Saves Lives Reauthorization Act of 2014.  
15 This legislation established the committee and  
16 provided the duties and scope of work for the  
17 committee. However, all committee activities are  
18 governed by the Federal Advisory Committee Act or  
19 FACA, which sets the standards for establishment,  
20 utilization, and management of all Federal  
21 Advisory Committees. As a committee member on the  
22 Federal Advisory Committee, you are subject to the

1 rules and regulations for special government  
2 employees.

3                   So, I have standard Ethics and  
4 Conflict of Interest reminders for the committee  
5 that I want to go over. I want to remind the  
6 committee members that as a committee, you are  
7 advisory to the Secretary of Health and Human  
8 Services, not to Congress. For anyone associated  
9 with the committee or due to your membership on  
10 the committee, if you receive inquiries about the  
11 committee, please let Dr. Bocchini or I know prior  
12 to committing to any interviews or presentation  
13 engagements.

14                   I also must remind committee members  
15 that you must recuse yourself from participation  
16 in all particular matters likely to affect the  
17 financial interests of any organization with which  
18 you serve as an officer, director, trustee, or  
19 general partner unless you are also an employee of  
20 the organization or unless you have received a  
21 waiver from HHS authorizing you to participate.

22                   When a vote is scheduled or an

1 activity is proposed and you have a question about  
2 a potential conflict, please let me know as soon  
3 as possible.

4                   So, according to FACA, all committee  
5 meetings are open to the public. If the public  
6 wishes to participate in the discussion, the  
7 procedures for doing so are published in the  
8 Federal Register Notice and are announced at the  
9 opening of the meeting. For this meeting today,  
10 we have both public comments that are going to be  
11 presented in person and the committee received  
12 written comments ahead of time, and those were  
13 distributed to committee members before the  
14 meeting. Any further public participation will be  
15 solely at the discretion of the Chair and myself  
16 as the DFO.

17                   Before I move on from there, do I  
18 have any questions from any committee members?  
19 Okay.

20                   So, I wanted to go over just a few  
21 logistics for being in the HRSA Building. So,  
22 visitors, as a visitor, you only have access to

1 the pavilion, which is this room we're in, the  
2 cafeteria, restrooms, and the meeting rooms that  
3 we'll be in this afternoon for the workgroups.  
4 All other areas of the facility are restricted and  
5 do require an escort by a HRSA staff member, and  
6 there are no exceptions for this. If you need to  
7 leave and re-enter, you will be required to go  
8 through security again. Around lunchtime and the  
9 breaks, there will be a HRSA representative by  
10 Security in case you do need to leave and re-enter  
11 around lunchtime.

12                   We also ask that you not take any  
13 personal photography or video in the building, in  
14 particular around the entrance area. If a HRSA  
15 staff member is taking pictures or directing you  
16 to take pictures, that's okay; but, with your  
17 personal camera, we ask you not take pictures or  
18 photography of the building.

19                   In case of emergency, please exit  
20 through the front door, so those are at the main  
21 security entrance that you came in today, cross  
22 the street and meet in the parking pad to the



1 left. Your escorts will have a roster and ensure  
2 everyone is accounted for. If there is an  
3 evacuation, please do not take -- only take  
4 essential items. Don't take any non-essential  
5 items, as this may slow down evacuation.

6                   That is for the logistics. For the  
7 fun news, you may notice that we're all wearing  
8 beads. You may have gotten beads when you came  
9 in. This, of course, is in honor of Dr. Bocchini,  
10 with this being his last meeting serving as Chair.  
11 He has often brought beads to us, being from  
12 Louisiana in celebration of Mardi Gras and other  
13 festivities. So, we wanted to wear these in honor  
14 of you today, Dr. Bocchini. So, with that, I will  
15 turn it back over.

16                   DR. JOSEPH BOCCHINI: Okay. Thank  
17 you. I hope everybody got their beads. I think  
18 that's a very kind gesture. Thank you. We're  
19 going to now move to the first presentation, which  
20 is entitled New Disorders Readiness Tool. That  
21 will be presented by Yvonne Kellar-Guenther.  
22 Dr. Kellar-Guenther is a senior research scientist

1 at the Center for Public Health Innovation at CI  
2 International and a clinical associate professor  
3 at the Colorado School of Public Health.  
4 Dr. Kellar-Guenther is a program evaluator for  
5 NewSTEPS. As part of this project, she developed  
6 and administered the readiness tool to track  
7 newborn screening programs and their readiness for  
8 screening for new disorders. So, this is an  
9 important topic that we are looking forward to  
10 your presentation. So, thank you.

11 NEW DISORDERS READINESS TOOL

12 DR. YVONNE KELLAR-GUENTHER: Thank  
13 you. So, thank you for inviting me to talk to you  
14 guys today. I also find it as a very important  
15 topic, so I appreciate the chance to speak to you.

16 On September 1, 2016, NewSTEPS  
17 received funding from HRSA to help support states  
18 in getting ready to implement for three new  
19 disorders. Specifically, we were helping with  
20 Pompe, MPS-1, and/or X-ALD, and as you all know  
21 very well, these were recently added to the RUSP,  
22 right? So, Pompe was added four years ago, MPS-1

1 and X-ALD were added three years ago to the RUSP.  
2 And so, as part of our funding, one of the things  
3 that we wanted to do is we wanted to understand  
4 prospectively how long it actually takes to go  
5 from first activity to actually implementing  
6 statewide screening. Up until now, when things  
7 are added, we asked states to kind of guesstimate  
8 how long it's going to take, and the scientist in  
9 me wanted to be more exact than that. And so, we  
10 created the readiness tool to try to get some of  
11 that information. And like all good tools, we  
12 created it, and then we got the Steering Committee  
13 to actually help us finesse it a little more, and  
14 we got some expertise.

15                   So, in the readiness tool, we  
16 actually look at statewide implementation and  
17 readiness in four phases. The first phase is the  
18 approval or authority to screen. And so, this is  
19 getting authority from the State Board of Health,  
20 the State Newborn Screening Advisory Committee.  
21 It also includes approval to raise funding. Phase  
22 2 is the Lab and Followup Logistics, so this is

1 lab readiness, followup readiness, IT readiness.  
2 Phase 3 is education, and we're talking about  
3 education for the general public, for the  
4 families, and for the providers. And then phase 4  
5 is the actual implementation, and there's a couple  
6 of different ways that we measure implementation.  
7 We do pilot screening. We do screening for  
8 selective populations. But what we're really  
9 interested in is the statewide implementation.  
10 So, what's what I'm going to talk to you more  
11 about today is the statewide implementation.

12                   So, as part of our funding, we had  
13 some research questions that we wanted to answer,  
14 the first being, how long does it take to  
15 implement statewide screening for a new disorder.  
16 So, again, from the first activity to when we  
17 actually had statewide implementation. And again,  
18 it was prospective data.

19                   We were interested in looking at the  
20 timing for the readiness phases, because we  
21 wondered if some phases took longer than other  
22 phases in trying to get a sense of where screening

1 programs were spending time, and with that, we  
2 were also interested in where most of the time is  
3 spent. And you've seen in the readiness tool, I  
4 included that as one of the documents that you  
5 got, but this is not like a recipe where you walk  
6 through every step. It's really big chunks,  
7 right? So, were you able to get the machinery?  
8 Do you have followup protocols? So, we're talking  
9 about big pieces when we talk about time being  
10 spent.

11                   So, we're also interested in  
12 understanding the facilitators and the barriers to  
13 actually getting statewide screening up and  
14 running, and so we gathered that data as well.

15                   So, as I mentioned, one of the ways  
16 that we gathered data was with the readiness tool.  
17 We were very fortunate in that we were able to get  
18 data from 39 states. So, 16 of those states  
19 received funding from NewSTEPS to help with the  
20 rolling and the readiness, and they provided data.  
21 Two of those states were Peer Resource Networks,  
22 so as part of our funding, we had three Peer

1 Resource Network centers that provided support,  
2 and you'll hear a little bit about that throughout  
3 the presentation, but they also -- two of the Peer  
4 Resource Networks were able to provide prospective  
5 data on getting ready for at least one of the new  
6 conditions that we were looking at.

7                   And then, again, as part of the  
8 funding, we held annual meetings. And so, we  
9 opened those up to everyone, and one of the things  
10 that we asked for is if you're coming to the  
11 meeting, could you fill out the readiness tool, so  
12 we kind of have a sense of where you're at. And  
13 so, 21 other states were able to provide data  
14 because they were able to attend these meetings.  
15 So, of the 39 states that provided data, 39 were  
16 able to provide us data on getting ready for  
17 Pompe, 38 on getting ready for MPS-1 and X-ALD,  
18 and then last June when we had groups come out, we  
19 actually added SMA, because that had been added to  
20 the RUSP. And so, we have data for some initial  
21 activities for 27 states. That's very new. I'm  
22 not going to focus much more on SMA other than

1 that. But just so you know, we have some of that  
2 early data.

3                   So, when you look at this graph, what  
4 you're going to see, right, is the actual phases  
5 that states were in. So, when you look at the  
6 purple across the tops, those were the states that  
7 actually were able to implement statewide  
8 screening while we were gathering data. So, what  
9 you should think is, that's not as many states as  
10 I was thinking. So, what we know, we know a lot  
11 about in progress. So, a majority of the states  
12 that have provided us readiness tool data as of  
13 February 28th, between 50 and 58 percent are still  
14 in progress. So, that's something to think about.  
15 We're talking about conditions that were added to  
16 the RUSP four years ago, three years ago, and the  
17 majority are still in progress. The other column  
18 that is probably of interest to you -- it was to  
19 me -- is the not started. So, we have 10 to 15  
20 percent that three to four years after being added  
21 to the RUSP haven't started for various reasons.  
22 But when we talk about readiness in statewide

1 screening, that's something that we need to  
2 probably dig a little deeper into.

3           In addition to the readiness tool, we  
4 also gather data from annual reports. So, the  
5 states that were funded, we asked them to provide  
6 us annual reports, and in that, we wanted them to  
7 talk about the facilitators and the barriers. And  
8 so, after the last round of annual reports, we  
9 went through and we qualitatively analyzed those  
10 to kind of identify what those things were, and  
11 what I'm going to share with you today is only  
12 things that came up by at least three states. And  
13 then of that 16, we wanted to dig a little deeper,  
14 so we did key informal interviews with 7 states.  
15 So, these are still awardees, but they were either  
16 fast on something, slow on something, or there was  
17 something in their annual report that made us  
18 curious, so we decided that we wanted to talk to  
19 them a little more.

20           So, before I share the data with you,  
21 all data has limitations, and I want you to go in  
22 with your eyes wide open. The last collection was



1 February 28, 2019. We asked everyone to give  
2 updates. We do not mandate it. We do not require  
3 it. So, we don't have updates necessarily from  
4 everyone, but we got from a lot. But that's also  
5 six weeks ago, right? And so, in that time,  
6 things could have shifted. Some states weren't  
7 able to provide us the actual start and end dates.  
8 We calculated the time difference by the start and  
9 end date, the date difference. But some states  
10 told us here's how long it took, and we went ahead  
11 and used that data. So, we used those estimates  
12 as well as our exact dates.

13           There are states that are screening  
14 for these disorders that did not provide readiness  
15 data for a couple reasons. They had done it so  
16 long ago, they couldn't remember, and it wouldn't  
17 be accurate, or they just -- they didn't need to,  
18 right? So, we're not representing everyone.  
19 We're really representing the 39 states that  
20 provided data.

21           And the facilitators and barriers are  
22 only coming from the participating programs. So,

1 when I share them, you're going to be like, wow,  
2 no one talked about the need for funding --  
3 probably because we were funding them. And so,  
4 when we -- there's probably other facilitators and  
5 barriers that are missed. They're also reporting  
6 facilitators and barriers to a group that provided  
7 them funding, so they might be a little biased.

8           So, the big question. How long does  
9 it take to implement statewide screening for a new  
10 disorder? So, on these box blocks, there's a lot  
11 of information here, but one of the things that  
12 you'll notice is that while the means are  
13 different and the ranges are different, the  
14 medians are the same. And so, the median amount  
15 of time that it took to implement statewide  
16 screening for the 13 states that actually  
17 implemented statewide screening was two years,  
18 four months. So, when we talk about the Public  
19 Health Impact Assessment, the estimate we tend to  
20 get is one to three years. And so, for these 13  
21 states, that holds true. That's accurate for the  
22 median. But what you'll also notice is for Pompe,

1 you have a much wider distribution of time that it  
2 takes. It gets a little tighter with MPS-1 and a  
3 little tighter with X-ALD. So, why is that?

4           So, one of my theories is that as you  
5 prepare for one, you might have steps you don't  
6 have to do again for another. And so, if you  
7 prepared for MPS-1, or sorry, if you prepared for  
8 Pompe, MPS-1 may be a little quicker. And all  
9 nine programs that had implemented screening for  
10 Pompe also implemented screening for MPS-1. So,  
11 it's possible that that's what's going on.

12           You also had four of the thirteen  
13 programs that actually implemented screening for  
14 all three new disorders. So, they did Pompe, MPS-  
15 1, and X-ALD. So, it's possible as they did some  
16 of those activities for the earlier ones, it made  
17 the other phases quicker.

18           This is a quote from one of our  
19 respondents in the interviews. They're at the  
20 high end, and they're like, "Our timelines are  
21 longer because we were the first program and had  
22 so much to validate before we could start our full

1 population pilot. So, it kind of speaks to  
2 getting some of that stuff done with the first  
3 that maybe benefits rolling out others. But it  
4 also speaks to the need for assistance, right?  
5 So, gaining assistance from other states was a  
6 facilitator to implementing statewide screening,  
7 which makes sense. But, if you're one of the  
8 early adopters, your timeline is going to be a  
9 little longer than if you're one of the later  
10 adopters. So, those states that are waiting might  
11 be quicker, because they're going to have  
12 resources that the states that started earlier may  
13 not have.

14                   So, when we looked at kind of  
15 facilitators and barriers, nine states said that  
16 the collaboration between states makes it easier  
17 to implement statewide screening for new  
18 disorders.

19                   So, as I mentioned, as part of a new  
20 disorder funding, we convened states every June,  
21 and these were states that some were screening,  
22 some weren't, but those sessions were built to

1 learn from each other. They shared things that  
2 were happening. They talked about questions that  
3 they had, and they could ask each other. And that  
4 was found to be very helpful. So, I think as we  
5 roll things out, that something to consider is how  
6 do we build those systems for states to work with  
7 other states and learn from other states.

8           Also, as part of the funding, we have  
9 these peer network resource centers, and that was  
10 mentioned as a facilitator by the nine states.  
11 They could go visit them. They could see what  
12 methodology they were using. They housed events  
13 that were really helpful for them in rolling out  
14 the statewide implementation. We did have three  
15 states say that one of the barriers to  
16 implementing statewide screening was limited  
17 information. Those are some of our early  
18 adopters. So, we just have to think about that as  
19 we think about states getting ready to implement  
20 statewide screening.

21           So, that picture is of one-third,  
22 right, of the states that we gather data from.

1 So, how do they compare to the other states that  
2 are kind of still working? So, if you look at the  
3 right side, that -- I guess actually your left,  
4 sorry -- we've got the 24 months -- sorry -- the  
5 28 months median time that they took from the  
6 first activity statewide screening. But when you  
7 look at your right side, what you'll see is other  
8 states that are in progress are spending a similar  
9 amount of time. So, it's possible that all those  
10 states that are in progress have finished within  
11 the last six weeks, and then the time frames are  
12 the same. But that's probably not likely. And  
13 so, while we've got this median of two years and  
14 four months, my guess is it's going to go a little  
15 higher, right, as these other states -- the 50  
16 percent that are still working -- are finishing.  
17 So, we have to remember that as we kind of look at  
18 this and gather information.

19                   So then, another question, right, was  
20 how long does each readiness phase take? So, the  
21 first phase was authority to screen, and we had 25  
22 states that had started and completed at least one

1 activity in this authority to screen. So, they  
2 talked to some committee, had gotten permission  
3 from some committee. Seventeen, or 44 percent of  
4 them, had received approval for funding. So,  
5 we've got more that are working toward the  
6 authority or have finished the authority then we  
7 have for the approval for funding. It took a  
8 median of 18 months to get through those phases,  
9 right, so, a year and a half. And this only  
10 represents the 13 programs that are actually  
11 implementing statewide screening. So, this is  
12 also the 13 programs that implemented statewide  
13 screening and the approval for funding is a  
14 similar time. You've got a median of 17 months,  
15 right, somewhere between 15 and 19 months to get  
16 that approval for funding.

17           When you start looking at the actual  
18 activities, so each activity with its beginning  
19 and end date, so this now includes everyone who's  
20 had at least one activity completed, you see that  
21 there's a huge variation. Some are getting things  
22 done in zero days, which kind of seems like it

1 might not be the case but if you have a mandate to  
2 screen, it turns out that's zero days. And of the  
3 13 states that are screening, about half of them  
4 had a mandate to screen for at least one of the  
5 conditions. So, that's the other caveat with this  
6 group.

7                   But these activities can take  
8 anywhere from zero days to close to three years,  
9 right? So, you see a large variety in how long  
10 the activities take. So, which activities are  
11 taking us a while? Well, on the high end, one of  
12 those is obtaining approval from the State Newborn  
13 Screening Advisory Committee that took a median of  
14 six months. The other -- there's two others --  
15 the other one is obtaining approval from the State  
16 Budget Authority. That took a median of six  
17 months, and developing a budget took a median of  
18 five months. So, these are the -- when you look  
19 at kind of those high end, these are the subsets  
20 that are taking the longest.

21                   This is a quote from one of my  
22 interviewees, and I know it's long, but I think it



1 really explains kind of the complexity of this  
2 process, right? So, "In our administrative code,  
3 we review all new disorders that come onto the  
4 RUSP and report back to the full Advisory  
5 Committee. That state-based committee will vote  
6 on recommendations and then send it to the  
7 Commissioner of Health. The Commissioner will  
8 then take it to the Board of Health and say that  
9 we want to change regulations." So, one thing  
10 that you see in terms of differences is some  
11 states need one group to say this is okay, some  
12 need multiple. The more you need, the longer it's  
13 going to take, right? "As soon as we get the  
14 Commissioner of Health to agree, that starts a  
15 process where you post notice of intent to change  
16 regulations, 30 days of comments, edit the notes  
17 based on public comments, go to Planning and  
18 Budget, the Attorney General, et cetera, and each  
19 one has to sign off." So, for this state, there's  
20 lots and lots of pieces that they have to go  
21 through. It's going to be really hard to do this  
22 quickly. Each approval step can take between 30

1 and 60 days. So, when we talk about maybe wanting  
2 to get things approved quicker, this is hard,  
3 because they have no room to compress. That 30 or  
4 60 days has to stay there, and for this state, all  
5 those steps could take 18 months to a year. And  
6 on the outside, you think, wow, that's a really  
7 long time. But this last sentence is actually one  
8 of my favorites. "This process gives us time to  
9 systematically and carefully bring up a disorder."

10 So, I think one of the things we have  
11 to think about is faster may not be better, right?  
12 And so, there's something about this approval time  
13 that allows them to do the lab readiness, the  
14 followup readiness, right, the education. And so,  
15 as we talk today about kind of how quickly things  
16 take or don't take, let's remember that faster  
17 maybe isn't always better.

18 The other piece is that they're not  
19 doing the phases sequentially. They're doing them  
20 simultaneously. So, this allows some wiggle room  
21 for that validation for getting in equipment and  
22 those types of things.

1                   So, how are we doing on lab  
2 readiness? So, the median time to actually have  
3 the lab ready is 21 months. This phase had the  
4 longest median time. so, when we look at all four  
5 phases or all three phases, this is the one where  
6 the most time was spent, which probably isn't a  
7 shock to any laboratorians in here. We had 23 of  
8 the states had actually completed at least one  
9 activity in lab readiness, so 59 percent. And  
10 again, we see some of them took zero days, and  
11 then this time, it's a little over three years,  
12 right, for some of those steps that take longer.

13                   So, what are those activities that  
14 are taking the longest? Well, it took a median of  
15 12 months to identify laboratory space, modify,  
16 and install the equipment. I don't know if you  
17 can make that faster, maybe. But that's the main  
18 time-consuming activity, which I think makes  
19 sense. And then you had a median of nine months  
20 to identify the needed equipment, so that might be  
21 something that could go a little faster. Nine  
22 months to develop a lab-staffing plan, and nine

1 months to train laboratory staff. So, equipment  
2 and staffing are kind of the big things that are  
3 taking time in the laboratory readiness. It turns  
4 out those are also your main facilitators and  
5 barriers. So, if you don't have the staff, it  
6 turns out it's really hard to implement statewide  
7 screening, and nine states out of sixteen  
8 mentioned lab-staffing shortages as being an  
9 issue. And so, they are also doing the other  
10 parts of their job while they are trying to get  
11 this up. So, you can see that lab-staffing  
12 shortages are kind of compounded, right? It's a  
13 really big issue. It's hard to overcome. And for  
14 the three states that were able to hire lab staff,  
15 they thought that that actually helped them be  
16 able to implement statewide and sooner.

17           Equipment, same thing. If you have  
18 it, things go faster. If you have to get it,  
19 things are slower, right? So, the ability to get  
20 the new equipment and assays were mentioned by six  
21 states as a facilitator. The inability to get the  
22 equipment or not having access was mentioned as a

1 barrier by another six states. Just because you  
2 have the equipment doesn't mean that you can start  
3 running, right? So, the other big barrier is  
4 actually getting the equipment up and running.  
5 So, that also takes some time. So, it's not a  
6 surprise that this is the one readiness phase that  
7 takes the most.

8           In terms of where we can help, one of  
9 the places is having FDA-approved kits. So, not  
10 having an FDA-approved kit and/or instrumentation  
11 was a barrier for three states. That might be  
12 something that can be done before they start  
13 statewide implementation. And then validating  
14 methodology. This goes back to that earlier  
15 comment of being able to collaborate and work with  
16 other states. They help with some of this  
17 validation. And so, having that system in place  
18 could be really helpful in getting states up and  
19 running.

20           So, followup -- so, followup took a  
21 median of 18 months to get ready. We have 20  
22 participating states that had done at least one

1 followup. So, what you're seeing is the majority  
2 have done at least one step in approval authority  
3 to screen. You have a little bit of a drop for  
4 lab readiness. You have a little bit of a drop  
5 for followup. So, some states that are in  
6 progress haven't really quite hit the followup  
7 piece yet. But you have 51 percent of the  
8 respondents were able to talk to us about at least  
9 one activity.

10                   So, while it was a shorter time in  
11 the median time to actually get followup readiness  
12 up and running, it's actually a little longer for  
13 each activity, right? So, instead of looking at a  
14 median of five to six months, we're starting to  
15 look at medians of seven to nine months. So, this  
16 is one of the stages where they actually have  
17 outside people who aren't doing newborn screening  
18 day-to-day help, right? So, when you're talking  
19 about long-term followup protocol -- when you're  
20 talking about identifying medical specialists,  
21 those are people outside of the State Newborn  
22 Screening System, and so that's my hypothesis as

1 to why it might be taking a little longer. But  
2 that's just a hypothesis. But their activities  
3 take from zero days to like three-and-a-half  
4 years. So, it can take a while for some of these  
5 activities.

6                   The ones that are at the top -- the  
7 things that take the longest -- identifying  
8 medical specialist or treatment centers, and  
9 again, that's something that maybe could be  
10 approached beforehand that could maybe help the  
11 states be a little quicker in gearing up. It took  
12 nine months median time to develop and gain buy-in  
13 for short-term followup protocols and nine months  
14 median time to develop and gain buy-in for long-  
15 term followup protocols. And we've all had  
16 discussions on long-term followup protocol, so we  
17 understand. But these conditions specifically  
18 brought up some of those issues that I think we  
19 haven't had with some of the other conditions  
20 added to the RUSP.

21                   Again, not a shock, staffing was a  
22 problem for followup as well, right? So, if you

1 have them, things go faster. If you need to hire,  
2 then it's a barrier, and it takes a little longer.

3           But setting up the followup protocols  
4 was identified as a facilitator by five states  
5 going through the process, working with those  
6 stakeholders, really helped get things up and  
7 running. So, that's something to think about as  
8 we think through the readiness.

9           And then, difficulty around  
10 establishing long-term followup protocols was  
11 mentioned as a barrier to implementation for three  
12 states.

13           So, IT -- so, for IT readiness, we  
14 looked at several different things. We looked at  
15 changes to the LIMs. We looked at changes to the  
16 followup reporting system. We looked at  
17 electronic ordering and electronic results  
18 reporting. So, my first caveat is when we looked  
19 at -- statewide implementation isn't the end for  
20 readiness. So, states were starting activities  
21 after they had statewide implementation of  
22 screening. The number one activity that they were



1 starting after statewide implementation for  
2 screening was IT readiness. And so, that's  
3 something to think about, and I don't -- that can  
4 mess up how in the followup it can, right, how  
5 things get reported out, how you share things.  
6 So, that's -- again, as we roll out new  
7 conditions, it's something we might want to  
8 consider.

9                   In terms of the time that it took,  
10 again, this is for the 13 states that are  
11 screening statewide. So, you've got a median  
12 between six to nine months. So, that's following  
13 with what we're hearing with the other ones with  
14 the lab readiness, with the approval authority to  
15 screen. And again, we have half the states have  
16 started at least one IT activity. I think this --  
17 these numbers are going to get a little higher  
18 potentially as they do more activities. But then,  
19 it's possible that as the states that are in  
20 progress for implementing, it could go back down,  
21 because it's possible a LIMs vendor might be able  
22 to help, you know, once you get it started. So, I

1 -- I can't predict a year from now if this will be  
2 higher or lower. I think it has the ability to  
3 kind of go both ways.

4           For the actual activities in each  
5 phase, you're seeing the median of five months.  
6 And again, I think this is going to shift. We  
7 don't have a lot of data, right? So, this -- I  
8 think this will shift a little as we go through.

9           In terms of the activity that took  
10 the longest amount of time, it took a median of  
11 eight months to describe and develop  
12 specifications for the LIMs. So, that's the one  
13 activity that's taking a lot of time.

14           So, onto our final readiness phase,  
15 which is education. So, for the 13 programs that  
16 are implemented statewide screening, you've got a  
17 median of 10 to 14 months to do education. This  
18 is -- well, sorry, let me get to that in a second.  
19 Only 16 participating states have started and  
20 completed one education activity. You have 13  
21 states that are screening statewide or  
22 implementing statewide, and you have 16 that have

1 started at least one activity. It may be that  
2 they don't need to do education, which I don't  
3 know if that's true or not. It may -- this is the  
4 second most frequent one that started after  
5 implementation. So, that's just, again, something  
6 to kind of look at and think through.

7           In terms of the time it takes, this  
8 is like followup, where it might be quicker  
9 overall because we're maybe not done yet. But  
10 it's -- each activity is taking a little longer in  
11 terms of median time. But again, this is an  
12 activity where you bring in stakeholders, you  
13 bring groups together to help with education. And  
14 so, it might just take longer for those activities  
15 that involve people outside of the Newborn  
16 Screening System.

17           So, those activities that take the  
18 longest? Nine months median time to initiate an  
19 education strategy for family and general public.  
20 So, this is where they're actually from scratch  
21 starting to build education materials. Nine  
22 months median time to identify and modify

1 education materials. So, this is -- it's out  
2 there, they just have to go find it, and this is  
3 for the general public, sorry. And then nine  
4 months median time to identify, again from  
5 scratch, measures to track the impact of provider  
6 education materials. So, those are the activities  
7 that are taking the longest amount of time.

8           We didn't have a lot of facilitators  
9 and barriers around education. But I think it's  
10 because we didn't have a lot of states that were  
11 working on these activities when they were doing  
12 the reporting. So, the one that came up was the  
13 facilitator's input from various stakeholders in  
14 education was identified as a facilitator. It's  
15 possible if we were to go back, we'd hear more  
16 things about education.

17           All right. So, our final thoughts.  
18 We know a lot -- about a third of the states have  
19 provided readiness tool data, and what I feel is  
20 we have kind of an outline, right, of what's  
21 there. But I don't think we have a clear picture  
22 of what's there, because we haven't heard from

1 two-thirds, and there are states out there that  
2 chose not to come to the meetings that we held, so  
3 they did not provide readiness tool data, right?  
4 We have states that three to four years after the  
5 RUSP haven't started. We don't know why. We  
6 don't know what's happening, right? So, what we  
7 have is we might be throwing the ball backwards,  
8 but we don't know it yet, right? So, that is my -  
9 - my caution to you.

10                   So, as with every good project, you  
11 need a village, and this is my village. So, I  
12 have to give a huge shout out to Sarah McKasson,  
13 who did all of the figures that were lovely on  
14 this, and then Kshea, Sikha, and Jelili for their  
15 leadership on this project. So, thank you very  
16 much.

17                   DR. JOSEPH BOCCHINI: Yvonne, thank  
18 you for that excellent presentation. Clearly,  
19 this is an important subject, and you have really  
20 excellent data to begin looking further into this  
21 and getting more input from other states. I think  
22 this is great. So, let's open this to -- for

1 discussion and questions. And so, I'll open it to  
2 the committee members first, and, operator, if  
3 you'll open the lines for the organizational  
4 representatives for their turn and asking  
5 questions or making comments.

6                   So, in speaking both here in-person  
7 and on the phone line, please state your first and  
8 last name each time you ask a question or provide  
9 comments to ensure proper recording. So, I see  
10 Sue Berry first.

11                                   PUBLIC COMMENTS

12                   DR. SUSAN BERRY: Hi. This is Sue  
13 Berry. Thank you for all the work that this  
14 entailed. I know -- do you have any insight into  
15 what I might call the leaders? What elements were  
16 in common for people who were able to implement  
17 rapidly and who have already done so and can --  
18 are there things we can learn from them that will  
19 facilitate more rapid implementation for people  
20 who are following along doing this work?

21                   DR. YVONNE KELLAR-GUENTHER: So, yes  
22 and no, do we have insight on the leaders, right,

1 because some of the leaders that are so far ahead  
2 didn't provide data because it's -- it's been too  
3 hard. So, I would say the 33 percent who provided  
4 data is the insight that we kind of have here.  
5 It's interesting that half of them had an outside  
6 mandate to screen. And the one where I shared --  
7 I shared the quote about all the different steps,  
8 that state, in the middle of all that, had a  
9 mandate to screen and all of a sudden they went  
10 from their 18-month to their 6-month window, and  
11 so they got it done, but they can talk to you  
12 about the costs of getting it done. So, do I have  
13 insight as to what it took? Really, it's just  
14 kind of what I shared here, because it's the third  
15 that's done it. So, I don't have more insight  
16 versus what I have here.

17 DR. MEI BAKER: Mei Baker, committee  
18 member. This is very well done. I just wanted to  
19 be sure I understand it correctly. Because when  
20 you talk of readiness, it's multiple aspects, and  
21 it doesn't happen sequentially, right?

22 DR. YVONNE KELLAR-GUENTHER: Right.

1 DR. MEI BAKER: So, when you talk  
2 about the timeline, how do you define? You said  
3 like what took the longest -- you used -- how do  
4 you do that?

5 DR. KELLAR-GUENTHER: So, the time --  
6 when we give the time from the implementation, we  
7 take the very first date of the very first  
8 activity. And I didn't say it in here, but  
9 usually that's approval to screen, but not always,  
10 right? Sometimes it's getting the equipment. And  
11 so, but whatever that very first date was that  
12 they gave us, we took that, and then we used the  
13 statewide implementation date as -- as the end  
14 date for that part. For those that are in  
15 progress, we took the very first date that they  
16 provided and then February 28th, because that was  
17 the last day that we asked for data.

18 DR. JEFFREY BROSCO: Jeff Brosco. I  
19 have actually two questions. You said you started  
20 the clock ticking when the first activity by  
21 state?

22 DR. YVONNE KELLAR-GUENTHER: So, for



1 each state, there -- they have their own clock,  
2 and their clock was for the first activity -- the  
3 first date that they gave us, because we had --  
4 they had to give us a date started, and a date  
5 completed. And so, if the first date started was  
6 what their time -- their clock started, and then  
7 the statewide implementation or February 28th was  
8 their last day.

9 DR. JEFFREY BROSCO: Why didn't you  
10 use the start date of when it passed onto the  
11 RUSP?

12 DR. YVONNE KELLAR-GUENTHER: We do  
13 have some where we actually looked on when it's on  
14 the RUSP, and that's in the materials that I gave  
15 you guys specifically. We started it because we  
16 were looking at for a state to gear up, because  
17 that's what they do in the Public Health Impact  
18 Assessment, so that's kind of what guided how I  
19 looked at it. But I do have dates actually from  
20 the RUSP. Here. I can tell you. The median --  
21 the median time for Pompe it was nine months after  
22 addition to the RUSP, but those are people who

1 started before, right? For MPS-1, it was seven  
2 months after addition to the RUSP, and for X-ALD  
3 it was -- the median was one month prior.

4 DR. JEFFREY BROSCO: So, I think this  
5 is a really important thing for looking at your  
6 results, because if you don't use a uniform  
7 starting time, like the day it hits the RUSP, it's  
8 really hard to know how to interpret the data.  
9 So, for example, in Florida, we have a log that  
10 says within 18 months of reaching the RUSP, we  
11 have to make a decision about whether to add or  
12 not. So, if you asked our team when do we  
13 started, well, is it first time we send out a  
14 notice about the first meeting? Is it the time  
15 when the team -- this Newborn Screening Advisory  
16 Committee first meets? It is, you know, when  
17 would that start date be, that would dramatically  
18 change. You know, I'm guessing if you allow every  
19 state to define starting point, it might be hard  
20 to tell how -- what those meeting times means.

21 DR. YVONNE KELLAR-GUENTHER: Right.

22 DR. JEFFREY BROSCO: I just recommend

1 at least maybe also looking at it from the date it  
2 hits the RUSP, and it's a little bit more uniform.

3 DR. YVONNE KELLAR-GUENTHER: So,  
4 right. And we did -- we did do that in the report  
5 that we gave you guys. But I think that we were  
6 trying to look at -- what drove the question for  
7 me is when we asked states in the Public Health  
8 Impact Assessment, how long do you think it will  
9 take, and we actually asked, how long do you think  
10 it will take after you get approval to screen,  
11 which is an 18-month, or right, time frame for  
12 some of them. So -- so, that's why it drove for  
13 me. But absolutely, and we can do that. We have  
14 that information, so it's easy to redo those runs.

15 DR. JEFFREY BROSCO: I'm sorry, one  
16 other question, Jeff Brosco still. Did you hear  
17 anything about opportunity cost? What I mean by  
18 that is if you look at state labs and providers  
19 and everyone else, they're basically full-time  
20 busy all the time.

21 DR. YVONNE KELLAR-GUENTHER: Yes.

22 DR. JEFFREY BROSCO: And if you said,

1 okay, we're going to add it within six months, as  
2 you mentioned some states do, is there any  
3 opportunity cost or things that don't get done  
4 that people have to give up in order to quickly  
5 get a new thing on? Because, as Susan points out,  
6 we love to think that as soon as possible you get  
7 a new condition on, but there may be some cost in  
8 that as well. Do you have any data on that?

9 DR. YVONNE KELLAR-GUENTHER: Yeah.  
10 So, that came up in the interviews. The biggest  
11 cost is you lose staff, and then there was -- so  
12 that's one, and then they talked about -- they  
13 weren't as specific -- but they talked about other  
14 -- other things kind of falling by. So, you --  
15 you pull people off to work on this, and so now  
16 they're not working on their regular screening.  
17 And so, you do have some opportunity costs in  
18 terms of timeliness, not a lot. I mean, the labs  
19 -- the ones that are there that they're doing,  
20 they try very hard to keep at the level that  
21 they're at. But the biggest overall was staff  
22 morale and loss of staff. So, it's hard for staff

1 to be busy all the time and feel like they're  
2 getting nothing. And then, it was very difficult  
3 to train for screening for the new condition,  
4 because they were so busy doing the other pieces,  
5 so.

6 DR. SCOTT SHONE: So, Scott Shone. I  
7 just want to come back to Jeff's comment before I  
8 come to what I wanted to say. I think it's --  
9 starting with the RUSP date is, I mean, it's a  
10 different question altogether from RUSP to when  
11 they would start their own implementation process.  
12 It's easy in Florida and California because you  
13 have a law that states that. But, in other -- in  
14 all the other states where there's a diverse  
15 process to get from RUSP to perhaps moving forward  
16 with implementation, the -- that -- it is, I  
17 think, a study question onto itself is the time  
18 from RUSP to -- to moving forward with  
19 implementation. But as I said in the webinar, we  
20 shouldn't just assume that every state, once it's  
21 on the RUSP, is starting looking at  
22 implementation, because it might not be, and they

1 might not ever. I mean that's -- again, I just  
2 want to make sure that we understand that it's the  
3 recommended uniform screening but not the required  
4 uniform screening. So, I think that's a good  
5 question to look at, Jeff, but I think in the  
6 scope of what -- what this process looked at is  
7 what are the barriers to once a state moves --  
8 actively moves forward with implementation to the  
9 time to get it going. And I think it's come up  
10 with the Public Health Systems Impact and, Yvonne,  
11 I appreciate you bringing that and sort of the  
12 question around that.

13                   But, I wanted -- first, what I wanted  
14 to say is I wanted to thank you for this work and  
15 also acknowledge it's National Medical Laboratory  
16 Professionals Week, so all of our friends and  
17 colleagues in the labs as well as the Followup  
18 Teams who make this happen, and obviously the high  
19 achievers.

20                   So, I think it's crucial to  
21 understand that -- and this sort of goes along  
22 with what Jeff said -- is that once a law is in

1 place or there's a mandate, it doesn't mean that  
2 everything just follows through quickly, and I  
3 think breaking this down helps realize that, and I  
4 think as -- as anybody looks toward implementation  
5 in this state should think about a mandate is  
6 nowhere near enough and that we need to work in  
7 the system to help break down the barriers around  
8 staffing, around budgeting, around training,  
9 around education, and it seems as though it's a  
10 little hard because what Mae said, the data is  
11 presented sequentially, and a lot of these things  
12 overlap and there's some other things here.

13                   So, two questions. One, some  
14 intangibles I think that we probably didn't talk  
15 about like champions within the state, within the  
16 programs that might help push this forward. So,  
17 is there an assessment around that and the role  
18 that those types of people play, and whether  
19 they're internal to the program or just pushing on  
20 the program.

21                   And two, the reality is, what can we  
22 control, and how much does the process change?

1 You gave an example of this is a 30-day process  
2 and that's it versus where can perhaps the  
3 committee make suggestions or recommendations to  
4 help process improvement to -- to benefit the  
5 states that are moving forward with  
6 implementation?

7 DR. YVONNE KELLAR-GUENTER: So, I  
8 have a couple things. If we go back to the RUSP  
9 conversation, I think the people who start earlier  
10 show optimal median time rates, so it's something  
11 to think about. I think to your point of quickly,  
12 one of the quotes that I didn't use was from  
13 followup staff, and they said, "Okay, so you might  
14 be able to get the test up and running, but if the  
15 followup system isn't up and running, what service  
16 are we doing to the family if we have a positive  
17 result or if we have a false positive?" And so, I  
18 get that kind of goes back to the opportunity  
19 cost, I think, as well.

20 In terms of what can be done, right,  
21 so there are -- throughout here are things, right,  
22 I think that connection to other states is huge,



1 and I -- I do not think that should be minimized.  
2 I don't know how to incentivize other states. I,  
3 you know, this is probably the nicest field that  
4 I've ever been in, people want to help, and so I  
5 think it's there. But I think, again, opportunity  
6 costs. If you say, hey, we did it first and now  
7 everyone is coming to our door, so that's another  
8 thing, right, to think about. So, how do we that  
9 -- those collaborative efforts, equipment, and  
10 having FDA like when we're thinking about things  
11 added to the RUSP, where are we at with FDA  
12 approval? Because for some labs, that's -- that's  
13 a stopper, right? And so, you kind of have to  
14 think through that. Those followup protocols, we  
15 don't talk about that a lot, but a lot of these  
16 new conditions are becoming more and more --  
17 making followup more and more blurry, and I think  
18 we have to think about how we're going to support  
19 that, and again what can be done that I think can  
20 then transfer to all states.

21 Education. There is stuff out there  
22 that people can kind of take and use, but not

1 everyone takes and uses it, right? And some  
2 things that we don't have for education, which is  
3 near and dear to my heart, is we have no way to  
4 measure the impact, right? So, you -- one of the  
5 steps was to actually measure the impact of the  
6 education. Hardly anyone started that, which, as  
7 an evaluator, makes me sad. But it makes sense  
8 because they don't have the tools to start this.  
9 So, is that something that -- that can be out  
10 there, that we can do, that we can kind of help  
11 them with that piece so they don't have to come up  
12 with it in addition to getting the lab up and  
13 running, in addition to getting the followup  
14 going.

15                   I think the approval authority to  
16 screen is where you have the least room. There  
17 can be some support, right? APHL provides some  
18 support to states in getting through that process.  
19 But I think that it's the lab readiness, the  
20 followups, the IT, education where there's the  
21 most room for help to kind of get the process  
22 going along with the caveat that if you're system

1 isn't ready, right, and you've pushed it through  
2 and you're screening, but you don't know what to  
3 do with the kids, just because you're screening  
4 and you've checked a box, you're still not really  
5 doing what you need to do. So, thinking about  
6 that there's a minimum time that states need and  
7 what is that. Did that answer your question,  
8 Scott? I know.

9 DR. CARLA CUTHBERT: Well, I think  
10 Scott got pretty much what I was going to be  
11 asking about. My name is Carla Cuthbert, and I am  
12 from CDC. One of the things that I think jumped  
13 out at me when you were speaking, I really  
14 appreciated your -- your entire talk was about not  
15 having room to compress. And so, my big question  
16 again as a federal person is, where can you  
17 compress some of that activity? And, you know,  
18 with all the states that we fund, we know that it  
19 takes time to be able to get through all of the  
20 red tape within their programs to be able to buy  
21 equipment, to get it placed, actually do all of  
22 those things, and I'm -- so, again, I really

1 appreciate Scott for asking that.

2                   To what -- to what Jeff said, I -- I  
3 smiled when he said, you know, can we compare this  
4 to when the -- when the condition was added to the  
5 RUSP because what we often get as questions from  
6 Congress is what can you do to get conditions  
7 implemented within states within one year of  
8 implementation? And it's like, you know, and you  
9 have to be -- obviously, you have to help educate  
10 them about it. It's not that they don't want to,  
11 but here are all of the things that need to  
12 happen, and I think that what you've provided us  
13 is a very, very nicely documented way that we can  
14 answer that question to really help -- help them  
15 understand that this is not something that can be  
16 done, you know, as soon as the Secretary says yes,  
17 do. We can't just make it happen immediately.  
18 So, I really, thoroughly appreciate what you guys  
19 have done here.

20                   DR. YVONNE KELLAR-GUENTHER: Thank  
21 you. And we were talking earlier, the slowest  
22 time is one year, four months. So, no one did it

1 in a year, and so I think that's important also to  
2 kind of share. So, a year for our recipients  
3 anyway was not achievable.

4 DR. BETH TARINI: Beth Tarini, just a  
5 quick question. So, a year for the recipients.

6 DR. YVONNE KELLAR-GUENTHER: Well, so  
7 for the 13 states that had implemented screening.

8 DR. BETH TARINI: And received an  
9 award to do so, federal --

10 DR. YVONNE KELLAR-GUENTHER: Not all  
11 -- not all of them received funding from us. That  
12 doesn't mean that they didn't receive funding from  
13 other sources, right? So, I don't know if they  
14 received funding from CDC or other places. I just  
15 know that not all of the 13 states were awardees  
16 from NewSTEPS projects.

17 DR. BETH TARINI: So, my question is,  
18 we talk about -- at a higher level, we're talking  
19 about compressing time for the -- the people you  
20 have information on, which we are saying are the  
21 higher achievers, right? There are some highest  
22 among the higher and, you know, you do what you

1 can do. You don't have the data from the others.  
2 So, there's this concern I have that in terms of  
3 return on investment, we're sniffing at the  
4 margins, right? Can we get a month here, a month  
5 there, a month there? Meanwhile, I wonder if  
6 there is something amongst this group that makes  
7 them more similar to each other than they are to  
8 the other groups who are not implementing, and  
9 then the -- to make an assumption that the lessons  
10 learned from them will help improve the time  
11 amongst the other group or groups if you want to  
12 use an early adopter conceptual model like you are  
13 alluding to, I think that's reasonable. Then, the  
14 question is, are early adopters from a conceptual  
15 model much different than the middle and then the  
16 lag from that just basic assumption.

17                   So, I think we should also be very --  
18 not only careful to not generalize, but there are  
19 a lot of babies who aren't represented by this and  
20 whose time lag is longer to get screened, and  
21 whose requirement or whose -- I should say --  
22 affected intervention to get them screened may be

1 entirely or somewhat different from what we see  
2 here.

3                   Now, you've done what you can do, but  
4 -- but I urge us as a committee to say what can we  
5 do to get the data from the other states and  
6 identify from those states what are their big  
7 barriers, because they may not be the same as  
8 these.

9                   DR. YVONNE KELLAR-GUENTHER: Right,  
10 and what I would add onto that is can we continue  
11 to get more data from the states that have  
12 provided data, and it's voluntary, but any support  
13 of like wow, this is great data, and it helps us  
14 with decision-making, we could have more, would  
15 help us get that data, right? And so, I think we  
16 know a lot about the middle of the in-progress.  
17 I'm really hoping that these 39 states will  
18 continue to give us data.

19                   DR. BETH TARINI: And I'm also  
20 recognizing that there could be data submission  
21 fatigue, right?

22                   DR. YVONNE KELLAR-GUENTHER:

1 Absolutely.

2 DR. BETH TARINI: -- data timeliness,  
3 we ask them for data on general operating  
4 procedures for the NewSTEPS.

5 DR. YVONNE KELLAR-GUENTHER:  
6 Absolutely.

7 DR. BETH TARINI: We're now asking  
8 them for data on how long it takes. So, at some  
9 point, continuing to ask for data -- I'm not  
10 saying any which way of the intervention --  
11 without support -- taking without giving is going  
12 to become a problem.

13 DR. YVONNE KELLAR-GUENTHER: Right.

14 DR. BETH TARINI: Now, we give -- we,  
15 as the feds and committee -- give in other ways,  
16 but that's a balance I think that needs to be  
17 attended to.

18 DR. YVONNE KELLAR-GUENTHER: Right.

19 And I think knowing would help you guys in  
20 decision-making would help us then look at the  
21 data so that it's not just data to report data  
22 because I like analyzing data, it's -- it's



1 actually data that's meaningful. And so, if you  
2 have guidance on questions that you have, I would  
3 be happy -- our group would be happy, I'll  
4 volunteer -- to kind of look at this to see if we  
5 can get more data. But, yeah, just to have it is  
6 probably not.

7 DR. JOSEPH BOCCHINI: Okay. I have  
8 Annamarie, then Jeff, then Natasha, then Sue, and  
9 then Melissa.

10 MS. ANNAMARIE SAARINEN: Hi.  
11 Annamarie Saarinen, Newborn Foundation Committee  
12 member. I really enjoyed your talk, and I really  
13 am so appreciative of the lens that you're putting  
14 on this. But, to answer some of the questions  
15 that are being raised here, I'm going to do my  
16 usual thing which is sort of hyper-simplified,  
17 which is to say if you get questions asked at the  
18 CDC of Congress that are what do we do to  
19 implement things in a year after they're on the  
20 RUSP, well then, the short answer is that it's  
21 probably not the RUSP anymore. It's not the  
22 Recommended Uniform Screening Panel, it's the

1 Required Uniform Screening Panel -- I guess that's  
2 still a RUSP with a different R. But, until there  
3 are not the variables at the state level, there --  
4 it will never be a smooth pathway. So, what  
5 you're talking about until then is incremental  
6 improvement and I think there are these different  
7 stakeholders, if you are going to go after more  
8 data, that you would need to start bucketing into,  
9 if you haven't already, meaning some stakeholders  
10 you're reaching out to are going to have the --  
11 the easy answers when it comes to what are the  
12 policies in each state with regarding to moving  
13 forward with something that's on the panel.

14           So, you showed us, like here's an  
15 example of, it took six months to do this once it  
16 went on the RUSP, and it took six months to do  
17 that because we had to go get authority from our  
18 legislature or we had to do something else with  
19 our State Department of Health. Now, we know what  
20 some states have put into statute that when  
21 something is on the RUSP, that's an automatic  
22 trigger, right? It's like, if it's on the RUSP,

1 we don't have to go through the process of having  
2 something legislatively mandated on our state to  
3 add it to the panel. But that's only a handful of  
4 the states. So, it's just -- there's all sorts of  
5 variables here. I know I'll harken back to, you  
6 know, ten years ago when I went to my first  
7 meetings of this committee, and the comments from  
8 the families and the advocacy groups around how is  
9 it okay that my baby was born 15 miles on the  
10 other side of a border in Wisconsin and died  
11 because they didn't get an early diagnosis because  
12 we didn't have screening, but they had it six  
13 months earlier and my baby would have been alive  
14 if they'd been born 15 miles away. Do you guys  
15 remember this? Mei, you remember, right? And  
16 then we hear it -- for ten years, we've been  
17 talking about this. So, those things that are  
18 putting other states behind either by function or  
19 by choice really, really matter to Beth's point.  
20 They really, really matter on what babies are at  
21 risk in these states.

22                   So, I'm not sure that I have the

1 answer to what this committee can do, but there  
2 are -- there's been research done, and I point to  
3 Dr. Gross, if he's around somewhere -- I just saw  
4 him in the crowd a minute ago -- but what he did  
5 in terms of looking at states that had implemented  
6 via the mandate versus states that were moving  
7 forward with some screening without their -- all  
8 of their ducks in a row, per se. Like, that was  
9 really interesting research. So, there's some  
10 stuff out there for you, some models that you can  
11 look at, and I just am really grateful that you've  
12 put something out there for us to all think about  
13 and act on hopefully.

14 DR. YVONNE KELLAR-GUENTHER: That  
15 came up in the interviews, like some of the  
16 newborn screening programs said that we are one of  
17 those states that's a little later and they  
18 understand the inequity. The other thing, though,  
19 that came up is that one of the states can't do  
20 pilots, like they have to -- once it's on there,  
21 they have to go to full statewide implementation.  
22 And so, then they're running it, and what's

1 happening is they're validating on the first set  
2 of kids that come through, which also causes  
3 another set of problems. So, I think as we think  
4 through is there this one date that everyone has  
5 to start, the equity, let's not forget that having  
6 other states that have started is helpful, right?  
7 If we all start at once, I -- I can see both sides  
8 of the argument. As a mother, I would like every  
9 state to screen for everything, right, for my  
10 child. But, if everyone is starting at the same  
11 time, is that going to take longer than if we have  
12 some early adopters? So, do we promote some early  
13 adopters and then bring everyone up to speed at  
14 the same time? I don't know. There's a lot of --  
15 I think there's a lot of discussions for us to  
16 have. But there are -- there are things -- when  
17 we go too fast, there are problems, and I don't --  
18 I don't want to lose that in the discussion as  
19 well. And so, you want the screening to go well  
20 when it goes. But I agree that there's a lot of  
21 ways we can look at this.

22 DR. JOSEPH BOCCHINI: So, I've got a

1 number of people, so I'm going to go to Jeff,  
2 Natasha, Sue, Melissa, Mike, and then Beth will  
3 have the final comment, because then we'll have to  
4 move on. But this obviously is an important  
5 subject that I think the committee has  
6 opportunities to weight in on and perhaps do some  
7 of the things to help states get through things  
8 once someone -- a condition is added to the RUSP.  
9 So, Jeff.

10 DR. JEFF BROSCO: So, just a quick  
11 comment. So, this research is really helpful for  
12 us in a whole bunch of ways. But it also leads  
13 into our discussion later this afternoon and going  
14 on, which is to agree that we don't, as a  
15 committee, include all the variables in our  
16 decision about the RUSP. It's legitimate for a  
17 state to say wait a minute, you didn't think about  
18 cost effectiveness or you didn't think about  
19 public health opportunity costs, so we have to do  
20 our own look at that. So, it's agreed that we  
21 expand our criteria and think about the RUSP  
22 including everything, it should be easier for

1 states to say look, they've done all that work, we  
2 can just put it up and get going.

3 DR. YVONNE KELLAR-GUENTHER: Yeah, I  
4 would agree with that.

5 MS. NATASHA BONHOMME: Hi. Natasha  
6 Bonhomme, Genetic Alliance. A lot of what I was  
7 going to bring up, Annamarie actually covered.  
8 But are there -- kind of what are your next steps  
9 around this? Like, are you planning on publishing  
10 this, are you planning on pulling some of this  
11 information out? Because I think there is still  
12 that -- even with this -- well, with this data,  
13 there still is going to be that desire to have  
14 that so then what should we do, what should be the  
15 strategies. So, yes, just because there is a law  
16 that's implemented at the state level around, you  
17 know, timelines from RUSP to state implementation,  
18 though that's not a slam dunk, maybe that's a step  
19 -- is that a step in the right direction or  
20 multiple steps in the right direction? I just  
21 worry about when -- and I don't you are  
22 necessarily implying this -- but, you know, the

1 idea of like faster isn't necessarily better, but  
2 if there are things that move us in a direction  
3 that is faster and not just for faster sake, but  
4 because you have the support or because now -- I'm  
5 just saying there's a lot here, and I think we in  
6 this room are lucky enough to be able to hear this  
7 data, but there are people outside of this room  
8 who are making decisions and also driving  
9 decisions that this would be helpful for them in -  
10 - in their efforts around having conditions either  
11 added or -- or expanding screening programs.

12 DR. YVONNE KELLAR-GUENTHER: So, to  
13 answer your question, the funding for this is --  
14 we're -- we've -- it's over soon but NewSTEPS has  
15 other funding. So, I have no authority, but what  
16 I would say what I would love is I would love to  
17 continue to gather the data and try -- because I  
18 don't -- I don't want to publish on a picture of  
19 one-third of the states. I want to have more data  
20 to have a fuller picture. There's our team -- the  
21 CPHI Team has talked about do we do survival  
22 analysis? So, we're having these conversations,



1 and if there is interest and support, I would be  
2 thrilled to spend more time looking at this to try  
3 to get a little more data to have a fuller  
4 picture, and I would love to publish. I'd say  
5 right now, I would be wary to publish, because I  
6 feel like we have a very incomplete picture. But  
7 I would be thrilled if we could get more data and  
8 be able to do that, and I -- I don't want to say  
9 that slower is the ideal. I believe there is  
10 places [sic] and I believe in this data, we have  
11 places that we've identified where we can speed  
12 things up, but I think it's exactly your point.  
13 You just want them to be able to do it well, and  
14 so how do we support them? So, what can we do for  
15 followup, which I think isn't thought about a lot  
16 when we bring a condition onto the RUSP. How do  
17 we make sure that when something is called out, it  
18 -- it's -- there's support there, and we're not  
19 doing a disservice to the family? So, I'm not  
20 about slowing it down, but I'm also not about  
21 making it six months to a year, because I think  
22 that that has opportunity costs. So, I think

1 there's a huge picture around this that we need to  
2 kind of think through, and I would love nothing  
3 more than to spend more time with this data and  
4 try to get some of those answers. Serious, I  
5 would love nothing more. So, this is -- this is  
6 really important to me, and I started this because  
7 I hear the discussions that newborn screening  
8 programs are having, and I hear about the  
9 opportunity cost, and I hear all these things, and  
10 so it's like how do we make informed decisions  
11 versus guesstimates. That -- that's my main  
12 goal. So, does that answer?

13 MS. NATASHA BONHOMME: Yeah, yeah.  
14 And when I say publish, I really mean get it out  
15 there, and that can mean anything from peer review  
16 to even just these things that I think many of us  
17 in this room know, but isn't getting communicated  
18 out in terms of what are those challenges and  
19 barriers, and also the solutions. You know, even  
20 on the education front, you know, there -- there  
21 are things outside of state programs that help  
22 support education. So, you know, that would be

1 part of that strategy list that I'm talking about.

2 DR. YVONNE KELLAR-GUENTHER: Right.

3 And I don't know if, but I had a bigger report,  
4 right, because there's a lot of information there.

5 But absolutely, I think that there's more --

6 there's more in the data that we have that we

7 haven't been able to present here, but there's

8 more in the data of questions that we can answer.

9 So, I think that we have a really nice start to

10 answering, and I'm really excited about the SMA,

11 because that's really recent, and so that data is

12 very clean. People are remembering things,

13 because it's been so new. So, if I have

14 permission to continue to ask for data, and if

15 they're not hating me, I would love to have, you

16 know, maybe every year or something, if I could

17 get another update in June something to try to

18 move the -- make the picture clearer.

19 DR. SUSAN BERRY: Hi. Sue Berry.

20 So, I want to come back to a little bit of what

21 Annamarie was talking about, which is the

22 variability between states and when I am watching

1 the scene see is sort of a two-edge sword when  
2 legislatures weigh in. We have the risk that  
3 legislators will weight in with the most heartfelt  
4 and kind intent in adding things to the RUSP that  
5 make it unfair for everybody, if you will, because  
6 again, you have that border problem. Sometimes  
7 without any evidence whatever about the utility or  
8 suitability of adding a test, on the contrary,  
9 sometimes states are required to seek legislative  
10 approval to go and add something that has been  
11 vetted thoroughly by the RUSP, and that takes  
12 sometimes years to get through legislatures. And  
13 so, I don't have any solution for this, but I know  
14 it's an important confounding variable for many of  
15 these when legislative action is required or takes  
16 place and moves into this arena.

17 DR. MELISSA PARISI: I want to thank  
18 you for this work and in particular for breaking  
19 it down by different phases. I think that's  
20 really critical. And more of a comment, I guess  
21 than a question, there may be differences that are  
22 also predicated on the particular conditions.

1 DR. YVONNE KELLAR-GUENTHER: Right.

2 DR. MELISSA PARISI: So, for example,  
3 we know there was a lag in adoption of SCID  
4 because that was a brand-new technology being  
5 incorporated.

6 DR. YVONNE KELLAR-GUENTHER: And  
7 these are all mass spec.

8 DR. MELISSA PARISI: Exactly but with  
9 SMA data, since that's also a condition that for  
10 many states, they're choosing to multiplex with  
11 SCID, there may be a reduction in the amount of  
12 time for the phase 2, the laboratory readiness,  
13 because the equipment issues may not be as  
14 significant. So, I do think that -- but there may  
15 be other issues with SMA2 that may produce delays  
16 with regard to adoption. So, I just think that  
17 it's important to keep those nuances in mind and  
18 to break it down by phase, because I think that's  
19 really critical.

20 DR. YVONNE KELLAR-GUENTHER: Yeah,  
21 and I think a thing to think about is as new  
22 conditions are added that maybe need new

1 technology, because we were too late for SCID. Is  
2 it worth without burdening the states -- is it  
3 worth going through this again to kind of see?  
4 But, yeah, X-ALD, as you see, is very tight  
5 because they are able to use -- it's an approach  
6 that they've used for something else, the  
7 multiplexing. So, yes. That's why we try to  
8 compare across the three, but then they're all  
9 mass spec, so.

10 DR. MICHAEL WATSON: So, it sounds  
11 like you need to look at what the best practices  
12 might be across those phases in the states that  
13 move more rapidly, and then I think there's also  
14 some features of states that you're going to have  
15 to capture. Some states, you know, contract out a  
16 laboratory service to another state.

17 DR. YVONNE KELLAR-GUENTHER: Yep.  
18 That was usually the zero days was the outside lab  
19 contract.

20 DR. MICHAEL WATSON: Yeah, and when  
21 new technologies come in, it's not all that  
22 uncommon that they might contract out with

1 somebody else. Some states do other states, and  
2 that could really contribute a lot to the  
3 differences between states.

4 DR. YVONNE KELLAR-GUENTHER: And none  
5 of the 13 states that were screening were regional  
6 labs, right, which influences other programs. So,  
7 absolutely. And I think that we definitely need  
8 to do some comparisons across. Well, actually, I  
9 kind of lost -- but yeah, I agree with you that we  
10 need to -- we have some of that.

11 DR. MICHAEL WATSON: You probably  
12 can't fix the state legislative processes, but  
13 education and lab.

14 DR. JOSEPH BOCCHINI: Oh, Beth has  
15 withdrawn her question. So, that will conclude  
16 this session. Yvonne, I want to thank you very  
17 much for the work that you've done, your  
18 presentation, and I think it clearly has generated  
19 a significant amount of discussion, which  
20 potentially by continuing this could lead to  
21 better understanding of how to move this process  
22 forward more quickly and more uniformly.

1 DR. YVONNE KELLAR-GUENTHER: And we  
2 would love to help with anything -- any insight we  
3 can provide into the process. So, thank you for  
4 letting us.

5 DR. JOSEPH BOCCHINI: All right.  
6 Thank you. Next on the agenda is public comments.  
7 We have received requests for making public  
8 comments from eight individuals. We will hear  
9 from four of them today and four of them tomorrow.  
10 So, first up is Dean Suhr from the MLD Foundation.  
11 Dean.

12 MR. DEAN SUHR: Good morning. I'm  
13 Dean Suhr from MLD Foundation and Rare Army, two  
14 separate entities that I'm involved in. Dr.  
15 Bocchini, thank you for your service. I know  
16 we're going to talk about that later, but the  
17 leadership is well respected.

18 One other comment from the previous  
19 discussion, which we tried to slow down or defer,  
20 but we're measuring on states, and we all  
21 represent states, but I think we also need to be  
22 pragmatic and look at number of births as the



1 denominator and what percentage of births are we  
2 addressing as we're looking at this. So, I will  
3 be looking forward to that.

4           I wanted to report briefly on the  
5 RUSP round table, a meeting that we've been having  
6 since 2015. We had our seventh session yesterday.  
7 We typically do that in front of this Advisory  
8 Committee meeting. It's a broad swath of people  
9 from all different parts of the ecosystem. We're  
10 not formally chartered. This is an initiative  
11 that the foundation put together independent of  
12 our disease just to provide a discussion forum in  
13 kind of a free-flowing format. The conversation  
14 yesterday was -- was very good, very broad, and I  
15 just wanted to touch on a couple of those -- the  
16 items that we discussed. You'll hear a little bit  
17 more about this tomorrow and some of the comments,  
18 but Newborn Screening Saves Lives Act was a topic  
19 of discussion. That is the authorization for this  
20 committee, including both funding and charter.  
21 The current proposed legislation has not been  
22 introduced but should be introduced over the next

1 week or so. It does include an increase in  
2 funding, but as you all know, authorized funding  
3 versus appropriated funding are two different  
4 steps of the process. So, as advocates we'll be  
5 involved in that.

6                   We did discuss yesterday the varying  
7 opinions from industry as well as other  
8 participations in the ecosystem and different  
9 thoughts and priorities relative to how simple  
10 that legislation should be, and through that  
11 discussion, I think made some progress so that we  
12 should be well-aligned as we go forward.

13                   We had a long discussion about pilot  
14 studies, and I just wanted to highlight two points  
15 on that. Melissa Wasserstein -- Dr. Wasserstein  
16 up in New York is about to launch a 13-disease  
17 consented pilot study that is partially funded by  
18 the NIH. It's a -- the next phase of a study that  
19 she completed about a year and a half or maybe two  
20 ago, and she's expanding that. Very exciting  
21 study for a number of reasons, most significant  
22 being that 13 diseases are being investigated.

1 The other thing that I think was very unique about  
2 that is that the primary funding is coming from  
3 the NIH, but on a 3-to-1 ratio against that NIH  
4 funding, there is industry funding supporting it.  
5 So, we're getting a change in how some of these  
6 projects are being created and moved forward. No  
7 one industry is dominating that funding. No one  
8 industry has a particular control over a disease.  
9 They obviously, you know, have interest in the  
10 general space, so, that collaboration we talked  
11 about.

12                   There's a similar study going on with  
13 DMD launching in a similar time frame at a  
14 different set of hospitals and facilities.

15                   We talked a bit about stability,  
16 bottlenecks, and risk, kind of the terminology  
17 that settled at our meeting, and I should say that  
18 we're not unique. We don't have a special control  
19 over this agenda. You all are addressing some of  
20 these things here today. But that was a very  
21 active discussion.

22                   And we talked particularly about

1 something related to terminology. We started  
2 talking about long-term followup. And I bet if we  
3 asked each one of you and we kind of went around  
4 the room a little bit and asked what does long-  
5 term followup mean, it means something different  
6 to all of us. And so, I encourage you to think  
7 about that a little bit as you go through  
8 discussion. It's one of those broad buckets and  
9 categories, but it means something different to a  
10 lab, to a parent, to a policy-maker, to a public  
11 health leader, and it's not just following up on  
12 newborn screening, it's following up on a child  
13 and how do we -- how do we become better at  
14 overall improving clinical care of which newborn  
15 screening is a starting point.

16                   We discussed -- and just two things  
17 really quickly here. We discussed two other  
18 things that I wanted to comment on. One was  
19 children, which is in the charter and the scope of  
20 the name of this committee, but it's actually not  
21 in the authorization. So, it's not as simple as  
22 just saying well, you should jump off and take

1 care of children. But, part of this was a  
2 conversation about spinning off of the  
3 bottlenecks, which is are we overburdening what  
4 happens in day one or day two or day seven of  
5 life, and the newborn labs and the -- the  
6 timeliness and so on that goes on, and what might  
7 be better tested for, screened for, at some age of  
8 childhood? And, of course, as you all know, it's  
9 very complicated, but I think we want to plant  
10 that seed and certainly that's of interest to a  
11 lot of folks within the ecosystem.

12                   And the last one was a -- just a  
13 comment that somebody made, which again, you know,  
14 it's part of just being able to sit around and  
15 talk. One of the folks that was there said,  
16 Wilson-Jungner criteria comes from the '60s. It  
17 was developed for adults. We've adapted it to  
18 newborn screening, but it's probably one of the  
19 few things if not the only thing -- I'm not a  
20 medical professional -- but it's one of those  
21 things that has not changed in the 51-plus years  
22 since it was adopted. It's one of the few things

1 in health policy that hasn't been updated and  
2 revised. And so, we had some discussions around  
3 that, but just plant that seed and a little food  
4 for thought for all of you.

5           So, our next meeting will be before  
6 the November meeting, and we look forward to  
7 sharing more information then. Thank you.

8           DR. JOSEPH BOCCHINI: Dean, thank you  
9 very much, and thank you for the work that you and  
10 the group are doing.

11           Next up, we have three individuals  
12 who represent the Homocystinuria Network America,  
13 Danae Barke -- I hope I'm close with that  
14 pronunciation -- Elizabeth Carter, and Margie  
15 McGlynn. So, if you'll please come forward.

16           MS. DANAE BARKE: Good morning and  
17 thank you for the opportunity to speak to  
18 committee, whose mission it is to protect the  
19 health of newborns in this country by identifying  
20 and recommending best practices in newborn  
21 screening. Our goal today is to describe  
22 challenges with one of the conditions on the RUSP

1 -- homocystinuria. My name is Danae Barke, and  
2 I'm the co-founder and executive director of HCU  
3 Network America, a patient advocacy and support  
4 group founded for this complex disease in 2016 to  
5 help patients and families. I'm also a patient  
6 with classical homocystinuria, so I know first-  
7 hand the impact this disease can have on your  
8 health and quality of life. I was born before  
9 newborn screening, and I was -- it was introduced  
10 in my state and I was not diagnosed until I was  
11 10, after my younger brother was diagnosed due to  
12 dislocated lenses. It was very hard at that age  
13 to adjust to the low-protein diet that led me to  
14 having a blood clot when I was 24. Fortunately,  
15 my health is much better today, and I now have a  
16 1-year-old daughter, who was screened for  
17 homocystinuria at birth.

18                   Currently, newborn screening for HCU  
19 occurs nationwide and individuals identified at  
20 birth have the opportunity to be managed through a  
21 low-protein diet and supplements, and if compliant  
22 with treatment, most of these individuals avoid

1 consequences of HCU including dislocated lenses,  
2 near-sightedness, cognitive deficits, blood clots,  
3 and strokes.

4                   Unfortunately, even with newborn  
5 screening, many cases are missed. In fact,  
6 literature suggests at least 50 percent of  
7 patients with classical homocystinuria are missed  
8 by newborn screening primarily due to the  
9 laboratory methodology and algorithm used to  
10 screen. Methionine is used as the biomarker  
11 instead of homocysteine. Studies have shown that  
12 cut-off levels are set too high to avoid false  
13 positives and/or the infants do not have high  
14 enough levels at day one or two to be detected by  
15 this biomarker.

16                   We have met with many of the HCU  
17 patients who were missed as they or their parents  
18 came to us for support once they were diagnosed.  
19 We have documented their stories to share with  
20 you. We are aware of 21 patients across 12 states  
21 over the past 32 years, all of which were missed  
22 after newborn screening was implemented in their



1 state. We found 14 who were missed over the last  
2 10 years, and we realized we have only scratched  
3 the surface. One of these cases is a little girl  
4 from Montana who had a stroke at age 3 that led to  
5 her diagnosis. We were devastated to hear from a  
6 family in North Carolina, who had a little boy  
7 diagnosed at age 5 due to displaced lenses who  
8 unfortunately suffered a blood clot on the way  
9 home from a baseball game last November and died  
10 after a week in the ICU. You will hear from  
11 another -- you will hear next from a mother of a  
12 little boy missed by newborn screening.

13                   So, that is why we're here today on  
14 behalf of the patients and families whose lives  
15 were negatively impacted despite everyone's best  
16 intentions in implementing newborn screening to  
17 ask for your support in helping improve the  
18 process so all individuals with HCU can benefit  
19 from the charge of this committee -- effective  
20 newborn screening that enables early  
21 identification and life-saving treatment. With  
22 your help, we are confident that improvements can

1 be implemented nationwide and all individuals with  
2 the HCU will have the opportunity to benefit from  
3 the excellent health care the metabolic community  
4 is able to provide to help patients avoid the  
5 consequences of HCU and help them have healthy and  
6 productive lives.

7 MS. ELIZABETH CARTER: Good morning.  
8 I would like to ask all of you and invite you to  
9 imagine something. So, if you would, please close  
10 your eyes and visualize with me. Imagine that  
11 you are on the beach with your family. You hear  
12 the sounds of the waves, feel the warm sun on your  
13 body and the sand between your toes. The best  
14 part is that you hear the laughter of you two  
15 precious little boys, ages 5 and 2, as they  
16 experience the magic and excitement of the ocean.  
17 These are memories in the making.

18 Now, please open your eyes. Two days  
19 later, this is your reality. Everything has  
20 changed. This was the day that turned our world  
21 upside down. This was the day that our sweet,  
22 bubbly, full-of-life Elliot, at 2 years old, was

1 put into a medically induced coma and placed on  
2 the ICU floor of the Children's Hospital where he  
3 would remain for 29 days. Elliot was having  
4 seizures with no outward signs and doctors could  
5 not figure out why. They would later find out  
6 that the seizures were a result of a series of  
7 blood clots in the veins throughout the brain,  
8 which ultimately resulted in Elliot having a  
9 stroke. I will never forget the words spoken to  
10 us by the doctor on July 15, 2018. "We want you  
11 to know how serious this is. We don't expect to  
12 lose Elliot to this, but you need to know that we  
13 could." I can tell you that at that moment, I'd  
14 never felt more hopeless or afraid.

15                   It would be what seemed like an  
16 eternity but was really just 11 days after Elliot  
17 was admitted to the ICU that doctors were able to  
18 pinpoint a cause for everything. Homocystinuria  
19 they told us. It's a rare genetic condition, and  
20 we think that that's what Elliot has. As grateful  
21 as we were for a diagnosis, because it meant that  
22 we could move forward with a plan, we found

1 ourselves wondering that if HCU were a genetic  
2 condition, why had we never heard of it.

3                   Fast forward to today. We now know  
4 that my husband and I are both carriers for this  
5 rare condition called HCU. We've also learned  
6 that when Elliot was a newborn in the hospital,  
7 homocystinuria was something that he was screened  
8 for, but unfortunately Elliot was missed at  
9 newborn screening. I don't like to live my life  
10 with what ifs, but I often find myself wondering  
11 how differently things may have turned out for  
12 Elliot and for our family if we had known in the  
13 beginning that Elliot had a serious condition. We  
14 could have prepared. We could have given him the  
15 medications that he needed, and we could have  
16 avoided almost losing him.

17                   Thankfully, Elliot is doing  
18 wonderfully today. In fact, he's better than  
19 ever. I call him Elliot 2.0. He is happy, full  
20 of energy, and as feisty as any 3-year-old should  
21 be. We were lucky, and we are very, very blessed.

22                   My hope now is that there are medical

1   advancements that continue to be made so that  
2   Elliot may live the most normal life possible. I  
3   hope and pray that no family has to go through the  
4   experience of losing a child to this condition. I  
5   know all of you are passionate about detecting  
6   these conditions in newborns to give them the best  
7   chance of early treatment to avoid the potentially  
8   devastating effects of the disease. I hope you  
9   are able to develop improvements to make sure that  
10  all HCU families can close their eyes and imagine  
11  their Elliots in scenes on the beach and not in  
12  the ICU. Thank you.

13                   MS. MARGIE MCGLYNN: Good morning.  
14 My name is Margie McGlynn, and I thank you for the  
15 opportunity to speak at this very important forum.  
16 So, I am the president of the board and the co-  
17 founder of HCU Network America, and I committed to  
18 founding this organization in honor of two sisters  
19 I lost to homocystinuria at a far-too-young age.  
20 My sisters were 6 and 2 when they were diagnosed,  
21 and I was 4, but I can remember it like it was  
22 yesterday. For the next five years, I watched

1 them progressively deteriorate, suffering from  
2 seizures, blood clots, stroke, cognitive deficit,  
3 osteoporosis, et cetera until they died within six  
4 months of each other -- first, my 9-year-old  
5 sister of a pulmonary embolism, and six months  
6 later, my 14-year-old sister of a stroke.

7           I can only imagine being a mother  
8 today how that felt to my parents to lose two  
9 children who they loved and cared for so much.  
10 And, as Elizabeth said, my hope is that no family  
11 in the future ever has to lose a child to HCU like  
12 mine did. Were my sisters born today, they'd have  
13 the opportunity to be screened and hopefully to  
14 have their disease detected so that they could  
15 then have the opportunity for treatment to help  
16 them live longer, more productive lives. We also  
17 know that there are new medications being  
18 developed that will make this an even easier  
19 disease to manage in the coming years.

20           So, we as a patient advocacy  
21 organization, believe that the best long-term  
22 solution is to have a primary screen of

1 homocysteine instead of methionine. We are  
2 advocating across many stakeholders to create  
3 awareness about this condition, and we also are  
4 trying to support the ideal solution through our  
5 global grants process where we're offering a grant  
6 for someone to overcome the technical issues  
7 involved in screening for homocysteine. But in  
8 the short term, we urge this committee to make it  
9 a priority to have a review of the success and  
10 results with newborn screening across the United  
11 States and to accelerate the development and  
12 adoption of better laboratory screening approaches  
13 that may help.

14           One such approach has recently been  
15 described in a publication from E-HOD, the  
16 European Network and Registry for Homocystinuria  
17 where they recommend a second-tier test being  
18 done. First, lower the methionine cut-off level,  
19 and then use the second-tier test to assess both  
20 homocysteine and MMA using the same dried blood  
21 spot. This enables better detection not only of  
22 CBS-deficient homocystinuria but also of

1 methylation disorders and cobalamine defects. And  
2 it also avoids the impact of false positives on  
3 families. We know that the CDC has been working  
4 on methods to detect both homocysteine and MMA and  
5 the CDC is supporting the adoption of second-tier  
6 screening methods through both hands-on training  
7 as well as technology transfer. So, we hope that  
8 the committee will support the CDC effort.

9           So, on behalf of the HCU community  
10 and especially those families who have had someone  
11 missed by newborn screening, we urge the committee  
12 to evaluate this issue as soon as possible and  
13 determine how best to move forward and to have a  
14 new solution implemented hopefully in the next few  
15 years. We have the same goal as the committee to  
16 have all individuals with HCU detected at birth  
17 and given the best chance to lead a healthy and  
18 productive life. We thank you for your passion  
19 and commitment to newborn screening. We are here  
20 to help in any way that we can. Thank you.

21           DR. JOSEPH BOCCHINI: First, let me  
22 thank the three of you for your willingness to



1 share your personal stories, and thank you for  
2 your advocacy. We certainly appreciate you  
3 bringing this to the attention of the committee,  
4 and we will look into this right away. Okay.  
5 Thank you all very much.

6                   Okay. All right. In the interest of  
7 time and trying to stay on schedule, we're going  
8 to break now for lunch, and I will then begin this  
9 afternoon promptly at 12:30, and I'll make my  
10 brief presentation on the RUSP Condition  
11 Nomination at that point, and then we'll move into  
12 the afternoon session. So, Catharine, anything?  
13 No. Okay. So, if you'll all make sure you're  
14 back here promptly by 12:30, we'll begin the  
15 afternoon session. So, thank you very much.

16                   LUNCH BREAK

17 [Off the record at 11:30 a.m.]

18 [On the record at 12:30 p.m.]

19                   DR. JOSEPH BOCCHINI: All right. So,  
20 welcome back everyone. We'll begin the afternoon  
21 presentations. So, first we'll need roll call.  
22 Kamila Mistry.

1 DR. KAMILA MISTRY: Here.

2 DR. JOSEPH BOCCHINI: Mei Baker.

3 DR. MEI BAKER: Here.

4 DR. JOSEPH BOCCHINI: Susan Berry.

5 DR. SUSAN BERRY: Here.

6 DR. JOSEPH BOCCHINI: I'm here. Jeff

7 Brosco.

8 DR. JEFFREY BROSCO: Here.

9 DR. JOSEPH BOCCHINI: Kyle Brothers.

10 DR. KYLE BROTHERS: Here.

11 DR. JOSEPH BOCCHINI: Jane DeLuca.

12 DR. JANE DELUCA: Here.

13 DR. JOSEPH BOCCHINI: Carla Cuthbert.

14 DR. CARLA CUTHBERT: Here.

15 DR. JOSEPH BOCCHINI: Kellie Kelm.

16 DR. KELLIE KELM: Here.

17 DR. JOSEPH BOCCHINI: Joan Scott.

18 MS. JOAN SCOTT: Here.

19 DR. JOSEPH BOCCHINI: Cindy Powell.

20 DR. CINDY POWELL: Here.

21 DR. JOSEPH BOCCHINI: Melissa Parisi.

22 DR. MELISSA PARISI: Here.

1 DR. JOSEPH BOCCHINI: Annamarie  
2 Saarinen.

3 MS. ANNAMARIE SAARINEN: Here.

4 DR. JOSEPH BOCCHINI: Scott Shone.

5 DR. SCOTT SHONE: Here.

6 DR. JOSEPH BOCCHINI: Beth Tarini.

7 DR. BETH TARINI: Here.

8 DR. JOSEPH BOCCHINI: And Catharine  
9 Riley.

10 DR. CATHARINE RILEY: Here.

11 DR. JOSEPH BOCCHINI: And for our  
12 organization representatives, Robert Ostrander.

13 DR. ROBERT OSTRANDER: Here.

14 DR. JOSEPH BOCCHINI: Debra  
15 Freedenberg.

16 DR. DEBRA FREEDENBERG: Here.

17 DR. JOSEPH BOCCHINI: Michael Watson.

18 DR. MICHAEL WATSON: Here.

19 DR. JOSEPH BOCCHINI: Britton Rink by  
20 webcast. Jed Miller.

21 DR. JED MILLER: Here.

22 DR. JOSEPH BOCCHINI: Susan Tanksley.

1 DR. SUSAN TANKSLEY: Here.

2 DR. JOSEPH BOCCHINI: Chris Kus by  
3 webcast.

4 DR. CHRISTOPHER KUS: Here.

5 DR. JOSEPH BOCCHINI: Jennifer Kwon  
6 by webcast. Okay. Natasha Bonhomme.

7 MS. NATASHA BONHOMME: Here.

8 DR. JOSEPH BOCCHINI: Siobhan Dolan  
9 by webcast.

10 DR. SIOBHAN DOLAN: Here.

11 DR. JOSEPH BOCCHINI: Thank you.  
12 Cate Walsh Vockley.

13 MS. CATE WALSH VOCKLEY: Here.

14 DR. JOSEPH BOCCHINI: And Shawn  
15 McCandless.

16 DR. SHAWN MCCANDLESS: Here. All  
17 right. Thank you all. So, we're going to open  
18 this session just by reviewing a couple of things.

19 RUSP CONDITION NOMINATION AND EVIDENCE REVIEW

20 PROCESS: DRAFT APPROACH AND TIMELINE

21 DR. JOSEPH BOCCHINI: As you know, we  
22 embarked on a review of our current processes from

1 the acceptance of the nomination packet through  
2 the systemic evidence-based review, the decision  
3 matrix, and then review -- how to review  
4 conditions that are currently on the RUSP to  
5 reevaluate them on some ongoing basis, and we had  
6 an expert advisory panel that met to discuss the  
7 entire review process and based on our  
8 presentation in March, you heard who was there and  
9 efforts that were made, and you'll hear more about  
10 that shortly.

11                   Next slide. So, what we decided was  
12 that the first step in our review would be the  
13 Systematic Evidence Review because that -- any  
14 potential changes to that would then inform the  
15 need for potential changes in the decision matrix  
16 and possibly in the nomination packet that we ask  
17 individuals and organizations to put together.  
18 So, we've come up with this timeline. And so  
19 today you'll have the first presentation of the  
20 Systematic Evidence Review and some of the data or  
21 some of the recommendations and considerations  
22 that were being made for that, and we would like a

1 really good, solid discussion on that, feedback  
2 from the committee, which would then help inform  
3 the next steps to bring that Systematic Evidence  
4 Review into -- into full focus.

5           In August, we'll look at portions of  
6 the Systematic Evidence Review. We've talked  
7 about the potential for adding values to the  
8 review, the potential for cost assessment and  
9 modification of cost assessment, population-level  
10 modeling, public health system assessment, and  
11 these will all be part of the August meeting for  
12 further discussion by the -- by the committee, and  
13 then working towards a final decision about  
14 alterations of the Systematic Evidence Review and  
15 alterations to it.

16           In November of 2019, a discussion  
17 will take place about the decision matrix and a  
18 review of the conditions that are on the RUSP with  
19 some, again, feedback from the committee about  
20 what potential changes would be beneficial based  
21 on what we've done before.

22           And in February of 2020 at that

1 meeting, to then review the initial nomination  
2 package and to make sure that the changes that  
3 we've considered and brought into the system might  
4 inform what might need to change in the nomination  
5 packet to help make things work effectively when a  
6 condition is being evaluated.

7                   And then at that point, we hope to be  
8 able to confirm all the final changes to the  
9 process. And so, that's the timeline that -- that  
10 we've proposed for going forward.

11                   Next slide. So, today, as I  
12 mentioned, the goal is to focus on the Systematic  
13 Evidence Review, and what additional types of  
14 information should be included in the evidence  
15 review to help make the committee's effort more  
16 successful in being able to get to the point where  
17 we determine that there's a benefit for the child  
18 who may have a condition that we are looking at.

19                   Next slide. So, here are some of the  
20 topics that are going to be talked about. Case  
21 definitions, planning to consider them at the  
22 start of the review, the need to standardize

1 terminology regarding primary and secondary  
2 targets, and incidental findings. Pre-specifying  
3 outcomes and the use of intermediate outcomes.  
4 The range of treatments that might be included in  
5 a systematic review and how to grade the evidence.  
6 And identifying and synthesizing unpublished  
7 evidence and other potential sources of data.

8           So, with that, I'm going to turn this  
9 over to Dr. Powell and Dr. Kemper. They are going  
10 to make a presentation about where we are with the  
11 Systematic Evidence Review, and then they will  
12 lead a discussion on approaches to assessing and  
13 reporting the evidence with particular attention  
14 to identifying the type of data and information  
15 the committee would like to see included in the  
16 evidence review. As you are listening to their  
17 presentation, please be thinking about ways in  
18 which the methods used, and the data included in  
19 the evidence review can be modified from what  
20 we're doing currently to better inform the  
21 committee's decisions and deliberations. Also, be  
22 thinking about case definition would include, how



1 outcome measures can be identified and graded, and  
2 the various types of treatment that ought to be  
3 included in our evaluation. Additionally,  
4 consider how we best can synthesize and utilize  
5 gray literature which Alex will define for us.

6           And then I would like each of the  
7 workgroups to take what they've heard today and  
8 include some discussion about those -- these  
9 issues in your workgroup agendas so that tomorrow,  
10 you can bring back things that may have come up  
11 from the workgroups that might help inform a  
12 subsequent discussion on where we need to be  
13 heading with this Systematic Evidence Review.

14           So, with that, I'm going to turn it  
15 over to Dr. Kemper and let him lead the  
16 discussion.

17           EVIDENCE REVIEW PROCESS

18           DR. ALEX KEMPER: Thank you very much  
19 for teeing this session up. So, I just want to  
20 take a step back. We really see this process that  
21 we're going through as an opportunity to look back  
22 at the previous evidence reviews that we've put

1 together to inform the decision-making process  
2 that the Advisory Committee is involved with and  
3 think about what are the lessons learned, how can  
4 we strengthen the process, how -- what are the  
5 things that we could do to make sure that we best  
6 inform the Advisory Committee around,  
7 recommendations.

8           So, as Joe mentioned, a couple of  
9 months ago, we had a large in-person meeting to go  
10 over things and try to think about alternative  
11 methods moving forward, and that was discussed a  
12 little bit in the webinar that was held last  
13 month, although obviously it's really difficult to  
14 get any sort of meaningful feedback on a webinar.  
15 And so, what we're going to be doing over the next  
16 few meetings is talking about the lessons that  
17 we've learned from looking back and thinking about  
18 how we can do things better moving forward. But  
19 this is really going to be a dialogue. We really  
20 want to solicit as much feedback as we can.

21           So, Dr. Powell is going to help me  
22 facilitate a discussion with members of the

1 Advisory Committee and the organizational  
2 representatives. But that doesn't mean that we're  
3 not interested in getting feedback from, you know,  
4 others who attend this meeting in person or via  
5 webinar or who otherwise have an interest in the  
6 process, but just to help make it feasible, that's  
7 what we're going to focus on today. However, you  
8 know, certainly I'm open to feedback and then more  
9 importantly, in August, there's going to be a  
10 larger public comments section to give feedback on  
11 the kinds of things that we're talking about.

12                 So, I'm going to lay out a lot of  
13 topics for everyone to think about. But again,  
14 it's a work in progress, and we really look  
15 forward to hearing what everyone has to say. So,  
16 I'm going to go ahead and get things moving and  
17 then periodically, I'm going to open things up,  
18 and then between me and Dr. Powell, hopefully  
19 we'll be able to draw out your thoughts.

20                 So, as I go through here, the key  
21 issue that I want everybody to think about is how  
22 to best synthesize the available evidence to

1 inform the Advisory Committee. So, this is about,  
2 you know, filling in or solving the puzzle. So,  
3 this presentation is about the Evidence Review  
4 Process. It's not about the decision process.  
5 But, as Dr. Bocchini talked about, we are going to  
6 revisit that decision-making process.

7                   So, this is what I talked about  
8 before that in March 2019, we provided just a  
9 summary where we talked about the in-person  
10 meeting where we really dug into issues of the  
11 nomination, the Evidence Review Process, and  
12 decision-making, and at that time, we also  
13 considered how to periodically reassess conditions  
14 that are already on the Recommended Uniform  
15 Screening Panel.

16                   But today my objective is to think  
17 about ways to strengthen the Evidence Review  
18 Process and use that to ultimately develop a  
19 manual of procedures.

20                   So, as Dr. Bocchini mentioned, we  
21 have a summary report that's going to be due in  
22 March of 2020, and you've already seen the outline

1 for when we're going to present things. So, I'm  
2 not going to go through that again. But just to  
3 highlight, that our Evidence Review Group is  
4 continuing to engage with the Advisory Committee  
5 in between meetings to think about how to move  
6 things forward and, of course, we're interested in  
7 feedback from others.

8           So, this again is -- the goal today  
9 is thinking about the Evidence Review Process, so  
10 I will be the first to acknowledge there are a lot  
11 of thorny and complex issues, and we're not going  
12 to resolve all of them today. But I do think that  
13 it's important that we discuss them.

14           So, everybody okay on the plan? Any  
15 questions so far? Okay. I got a thumb's up from  
16 Dr. Brosco, which is always reassuring.

17           So, this again is the conceptual  
18 framework that we use whenever we look at newborn  
19 screening, and the key things to take out of this  
20 as we look at what's the difference between what  
21 might happen with newborn screening compared to  
22 what would happen with usual clinical case

1 detection, and all of this lives within the  
2 broader public health system. So, as everyone in  
3 this room knows, I believe, there are three  
4 components to the evidence review.

5           So, we look at the effectiveness of  
6 newborn screening, so how well does newborn  
7 screening detect those kinds of cases that you  
8 would want to detect.

9           Secondly, we look at the benefits and  
10 harms of newborn screening compared to what might  
11 happen with usual case detection. So, again,  
12 we're not looking at just what happens through  
13 newborn screening, but what's the incremental  
14 benefit or what are the harms associated with  
15 newborn screening.

16           And then finally, we also look, and  
17 there was a nice robust discussion earlier about  
18 the public health system impact, but we do look at  
19 the impact of expanding newborn screening on  
20 public health and the health care system.

21           So, one thing that I don't think that  
22 I've been as clear about, but we need to keep in

1 mind -- let's see if this animation works -- woo,  
2 it's always a miracle when that happens -- is,  
3 right. So, that's the DeLorean from -- from Back  
4 to the Future. The reason I put this up is we  
5 need to also keep in mind the time horizon so, you  
6 know, when clinical cases might develop, you know,  
7 what the time difference is between picking up  
8 cases through newborn screening and when is it  
9 that we expect the benefits or harms related to  
10 newborn screening to occur. And I think it's  
11 really important that we keep in mind this -- this  
12 issue of -- of timing of things, because it could  
13 have an impact on how you view the overall impact  
14 of newborn screening. I'm going to periodically  
15 bring that up.

16                   The other thing that I will remind  
17 everyone is that we've really tried to optimize  
18 the Evidence Review Process given the time  
19 constraints that are put on us in terms of the  
20 nine-month window. So, we really try to focus on  
21 those things that we think are going to be most  
22 important for the -- for the Advisory Committee,

1 and you'll see how that's going to come up for  
2 today.

3                   So, we're almost to the participation  
4 component of this, and what I'd like to do is  
5 first of all, prepare you for -- for where we're  
6 going. So, there are a series of things that I  
7 want to make sure that we get through today. One  
8 is talking about issues of case detection. The  
9 second thing is what we expect in terms of the key  
10 outcomes. How do we know what kinds of things  
11 that we should be looking for? The third thing is  
12 related to treatment in terms of what sort of  
13 treatment should we include. The fourth thing is  
14 related to assessing the peer-reviewed evidence  
15 and how do we really evaluate the quality of  
16 what's out there. And then the final piece is  
17 related to the gray literature. How do we  
18 identify and assess unpublished evidence? And so,  
19 that's where we're going. These are the big  
20 topics I want to make sure that we hit in the next  
21 hour or so.

22                   So, let me begin by talking about



1 case definitions. So, one of the challenges that  
2 we faced in prior reviews is what defines the  
3 condition detected through screening when  
4 potentially affected individuals might be  
5 asymptomatic and then you begin providing  
6 interventions so that they may never develop what  
7 we typically think of with the condition, right?  
8 So, what we want to do is make sure that we  
9 understand what it is that we're screening for so  
10 that we can evaluate what the benefit of detecting  
11 through newborn screening is versus usual case  
12 definition.

13           In general, there are three ways that  
14 we've gone about doing this. So, you can look at  
15 the genotype, right? But there might not be a  
16 clear genotype/phenotype correlation, or there  
17 might be incomplete penetrance or variable  
18 expressivity. So, although it's like a, you know,  
19 as a general pediatrician before I got involved in  
20 this, I might, you know, think that there would  
21 be, you know, greater predictive value by looking  
22 at the genotype. What we've learned is that

1 that's not always the case.

2                   The second way is we can look at  
3 biochemical manifestations of the condition,  
4 right? But the problem is there's  
5 pseudodeficiency, there can be changes of  
6 biochemical profile over time, and so going  
7 directly from some biomarker associated with the  
8 condition is saying, you know, this is -- this is  
9 what the child or individual has is complex.

10                   And then, the third thing, and I  
11 alluded to this before, is related to clinical,  
12 right? So, the clinical signs or symptoms might  
13 not emerge, right, when they're -- when they're  
14 asymptomatic and early treatment might alter the  
15 course of the condition significantly. But it's  
16 clear that when we do this evidence review, we  
17 have to have a clear notion of what the case  
18 definition is.

19                   So, I'm going to put up one more  
20 slide about case definition, and then I'd like to  
21 open it up. So, actually two more slides.

22                   So, the first is, I propose that we

1 really need to standardize the terminology that we  
2 use in terms of primary target -- that thing that  
3 we're really going after. Secondary targets being  
4 those things that, you know, we would like to  
5 identify through screening or at least we're  
6 considering whether or not there is benefit  
7 through the evidence evaluation, and then  
8 incidental findings, which are things that  
9 wouldn't be targeted at all. But there are all  
10 sorts of challenges related to understanding the  
11 condition, agreement about the goal of screening,  
12 and this has come up before in terms of issues of  
13 identification and carriers or late-onset disease,  
14 right? So, there were a lot of conversations, for  
15 example, around Pompe disease.

16                   And then, one of the things that's  
17 been made clear to me by those who are involved in  
18 public health is that the case definitions that we  
19 use when we do these evidence evaluations or when  
20 the Advisory Committee makes the recommendation  
21 has significant impact on State Newborn Screening  
22 Programs in terms of what they're looking for in

1 the reporting requirements and that kind of thing.  
2 So, I will just say that -- I will be the first  
3 person to say that as a clinician, I like things  
4 to be binary. It's easier for me to think about  
5 that. But it's clear that that's not the case.  
6 Look at congenital hypothyroidism or cystic  
7 fibrosis that things are not, you know, easily  
8 able to separate into condition, non-condition.  
9 But all this has significant implication for  
10 evidence review.

11               So, I have -- but I'm not going to  
12 put it up now -- some suggestions about ways that  
13 we could move forward with this. Dr. Powell, I'll  
14 hand the microphone over to you if you want to  
15 solicit questions from the Advisory Committee or  
16 others.

17               DR. CYNTHIA POWELL: All right.  
18 Thank you, Dr. Kemper. We'll first take questions  
19 from the committee members or comments from  
20 committee members and then turn it over for  
21 questions from the organizational representatives.  
22 Sue Berry.

1 DR. SUSAN BERRY: This is Sue Berry.  
2 I want to hone in a little bit on that secondary  
3 target comment that you made. I recall the  
4 original paper that sort of outlined the suggested  
5 parts for the RUSP. What the secondary targets  
6 were were stuff that came along for which we had  
7 limited evidence, and that was pretty much it. It  
8 wasn't that we were trying to get them. It wasn't  
9 that they were in any way desired, necessarily, as  
10 targets. It's just that they were other things in  
11 the MS/MS basically and we've subsequently ended  
12 up with other secondary targets -- things like  
13 discovering children with Down syndrome and T-cell  
14 immunodeficiency when we're screening for SCID.

15 But I think we ought to be really  
16 careful about having it misunderstood that  
17 secondary targets were targets in the first place.  
18 They were -- they were ride-alongs, and the  
19 implication for some reason that they carry the  
20 same weight in some ways as what is the primary  
21 target is something I think we should be careful  
22 about because it's a very high burden and the

1 information is not very good. So, I think we want  
2 to be -- I love the idea of standardizing  
3 terminology. I want to make sure that we remember  
4 where we came from on it, and that when we talk  
5 about what a secondary target is that we are very  
6 careful not to give it more importance in some  
7 ways than it deserves. I don't know how else to  
8 put it.

9 DR. ALEX KEMPER: So, can I just --  
10 just ask one additional question, just to make  
11 sure I understand? So, for the Evidence Review  
12 Process, do you think that we ought to be looking  
13 at the secondary targets if you know what the  
14 secondary targets are or just focus on the primary  
15 target and not think about the secondary targets?

16 DR. SUE BERRY: So, this is Sue Berry  
17 again. I would argue that you have to essentially  
18 take care -- you have to account for them, if you  
19 will, in the Public Health Impact. I don't think  
20 there is a special need to define the evidence of  
21 utility for screening for them because they're not  
22 -- you're not going to -- they're going to come

1 along whether you do that evidence review or not,  
2 and I think our decision-making is, I think, more  
3 based on the primary target with, if you will, I  
4 don't know how else to put it, the burden that the  
5 secondary targets may also bring, because that --  
6 that makes it more complicated. It gives you  
7 information that will be hard to deal with. You  
8 have to decide, for example, the secondary targets  
9 might be so terrible that you really need to think  
10 hard about your primary target. I mean, that's an  
11 exaggeration, but it could happen.

12 DR. CYNTHIA POWELL: Jeff Brosco.

13 DR. JEFFREY BROSCO: It's Jeff  
14 Brosco. I'm following up on what Sue said. I  
15 would say it even stronger than that, that we  
16 should really be focusing entirely on whatever the  
17 primary target is, and that primary target -- I  
18 think we've talked about it as a group -- might  
19 be, in part, defined by the group that's proposing  
20 the candidate condition and saying here's what we  
21 think we should be screening for, in part because  
22 this is a public health mandate for states. When

1 you start including secondary targets, late-onset  
2 things, and incidental findings, it's very  
3 confusing for state labs -- now, what do we do  
4 with the information? I think if at the federal  
5 level we make it very clear that our  
6 recommendation is for the primary target and only  
7 the primary target, it makes it easier for states  
8 to say we're going to use our resources that way  
9 and not go down the line with these other things.

10 DR. CYNTHIA POWELL: Mei Baker.

11 DR. MEI BAKER: Mei Baker, committee  
12 member. I think it doesn't matter if you put a  
13 primary or secondary. When you have a target, it  
14 means we intended to find them. I think that's --  
15 that's important. If this target is subjected to  
16 all the review process, I personally want to avoid  
17 there is a first, I mean, primary and secondary.  
18 If something comes along that's not avoidable, we  
19 need to assess the pros and cons, you know? I  
20 think a week back or two we talked about the SCID.  
21 So, it's why I still have trouble with incidental.  
22 I like to use our intent. For example, when it



1 comes to SCID screening, we use TREC assay and we  
2 knew you will have DiGeorge. But I'm talking  
3 about [inaudible], we said that's fine because no  
4 harm done. But when it seems to happen, but I  
5 still don't want to say this is our secondary  
6 target because we are not targeting them, and we  
7 made it very clear, we are not identifying other  
8 DiGeorge because of your status ratio.

9                   So, I think if we really want it  
10 clear, I wouldn't use a primary target and  
11 secondary target. It just -- the disease  
12 condition we are looking for, but we also know  
13 technology, the method that you use, you may have  
14 unintended results and then we need to assess,  
15 it's our intent, it's good or harm. How much harm  
16 can weigh in in terms of the decision-maker?

17                   DR. CYNTHIA POWELL: Beth Tarini.

18                   DR. BETH TARINI: I agree with Mei.  
19 I think target suggests intentionality, and then  
20 when you start talking about intentionality --  
21 when you hear intentionality, then the primary and  
22 secondary gets washed away. So, I -- I do think

1 that that's true, and I think that the issue that  
2 Jeff and Sue brought up of the primary disorder  
3 we're screening for is important because not only  
4 is there the harm, but there's like the  
5 piggybacking and the whitewashing of how the --  
6 and also we get an extra boost to the -- to the  
7 intended disorder, because we can find all of  
8 these good things. And that -- that line of  
9 discussion tends to, I feel, insidiously pervade  
10 our discussions sometimes, like, well, it's not  
11 idea, but look at all these other things that  
12 might be -- might be there. So, I think the  
13 cleanest break and the most specific break is  
14 necessary between this primary/secondary piece,  
15 and that it should just be the intentional target.  
16 I think the language that Mei points out is  
17 important as well.

18 DR. CYNTHIA POWELL: Scott Shone.  
19 Any comments or questions from organizational?  
20 So, Debra.

21 DR. DEBRA FREEDENBERG: So, I just  
22 wanted to point out two things. One is that what

1 we're calling secondary targets or secondary  
2 conditions, a number of those conditions can be  
3 fatal in the newborn period just as -- will do you  
4 in just as well as the primary targets. And so, I  
5 think we need to recognize that although we may  
6 not be maximizing our screening for these, if we  
7 detect them, the child does need treatment  
8 intervention, and some of that can be life-saving  
9 for some of the conditions.

10                   And then the other point that I was  
11 thinking about as we were talking was that I'm not  
12 certain we really know what the definitions of  
13 these conditions are anymore because we've changed  
14 the natural history of some of them. We've gone  
15 to late-onset. Every time we add a new condition  
16 on, we estimate the number, and that's not the  
17 number we see because there are all these milder  
18 forms or asymptomatic forms that we don't know if  
19 they're ever going to be symptomatic, you know?  
20 And then we're also changing our paradigm in terms  
21 of long-term monitoring when we have conditions  
22 that we can identify in the neonatal period, but

1 again, we don't know their age of onset.

2                   So, what I used to think was very  
3 clear and very clean now is kind of getting a  
4 little more muddy for me and I suspect for a lot  
5 of other folks as well.

6                   DR. CYNTHIA POWELL: Robert Ostrander  
7 is next.

8                   DR. ROBERT OSTRANDER: Yes. I want  
9 to jump back from the secondary target to the very  
10 first question, and that is the case definitions.  
11 I mean, obviously, you can get muddied in all of  
12 this and never make a decision and a declaration.  
13 But I think we should pick a declaration. I think  
14 the thing that didn't get mentioned here that is  
15 just a very root issue, and especially if you step  
16 outside of the genetics world, is the notion of  
17 not diagnosing with a screening test but  
18 diagnosing with a confirmatory workup, and I think  
19 -- I would propose whatever category it falls  
20 into, I think part of the evidence review should  
21 be determining is there -- is there and what is  
22 the confirmatory workup and then that will be the

1 case definition. And it may fall into this, this,  
2 or this, but you've got to define -- you've got to  
3 make a case definition in order to gather new  
4 knowledge and to decide whether you're going to  
5 intervene or not. And a very simple way to do  
6 that is to use your confirmatory test for your  
7 case definition. It may land you in any one of  
8 those three categories, but that would be my -- my  
9 thought about approaching this and then, you know,  
10 some of the data. If you case define with a  
11 confirmatory test, some of the patients are going  
12 to be asymptomatic for the rest of their life, but  
13 because they've met the confirmatory test, they  
14 are an asymptomatic case as opposed to not a case  
15 at all. So that -- it seems to me that in order  
16 to tighten it up, that might be one way you could  
17 do that and also make it uniform.

18 DR. CYNTHIA POWELL: Mike Watson.

19 DR. MICHAEL WATSON: So, I almost  
20 feel guilty about having used the term secondary  
21 conditions when we did the Uniform Panel.

22 DR. ALEX KEMPER: I wasn't going to

1 bring that up.

2 DR. MICHAEL WATSON: I appreciate  
3 that. But, you know, what they were was things  
4 that were part of a differential for whatever the  
5 markers were that were used to get at the primary  
6 condition, and it was never the intent that the  
7 newborn screening program had to worry about them,  
8 because they didn't get identified until the  
9 primary marker sent them off to the diagnostic  
10 world to figure out if they had the target of  
11 screening or not, and if they didn't, they were  
12 not going to tell people that they found a disease  
13 -- it wasn't the one they were looking for, but  
14 they found something that they needed to deal with  
15 whether it was asymptomatic, presymptomatic, it  
16 didn't matter about urgency because it was all in  
17 the hands of the diagnostic people to sort it out.  
18 And if it had -- if it was an emergency, they were  
19 going to treat it emergently based on the market -  
20 - on the marker. But it's gotten really confused  
21 more recently when, I think, Genomics really drove  
22 the next level of confusion when the President's

1 Commission on Bioethics sort of defined what they  
2 consider secondary and what they consider  
3 incidental, and it actually fits better into the  
4 way that the world of medicine uses those terms.  
5 And, you know, they're very common in radiology  
6 where an incidental finding is something you see  
7 in the course of looking, you know, if you're  
8 looking at somebody's heart and you see a tumor,  
9 you know, in a lung, then you tell people that you  
10 saw an incidental finding. Secondary findings in  
11 Genomics were the things that took additional  
12 work. So, those other genes that we think are  
13 important to tell people about, you know, were  
14 things that required additional work to -- to look  
15 at them and cost and other things, and I think  
16 they set -- I think they've set the definitions  
17 now that are much more in line with the way  
18 organized medicine uses these terms, and people  
19 will probably understand what we're talking about  
20 better if we align ourselves with the language  
21 that's being used more broadly in medicine.

22 DR. CYNTHIA POWELL: Natasha

1 Bonhomme.

2 MS. NATASHA BONHOMME: I'm Natasha  
3 Bonhomme. To this discussion about primary and  
4 secondary, I think this is a really critical issue  
5 when it comes to education. The fact that it is  
6 muddy for those around this table who are the  
7 experts in this, I mean, how do we expect the  
8 public to really even be able to understand this?  
9 And I know that at different times, there have  
10 been concerns about counting of conditions and  
11 things like that, but I think if we could find a  
12 way to have some clear definitions around this and  
13 what -- what is -- I hate to say it -- what is  
14 newborn screening, you know, what are we doing?  
15 But, you know, what is this distinction, and I  
16 think what Mike was just talking about is a very  
17 clear one, and if that is what is decided, that  
18 would be helpful. But that this isn't just a -- a  
19 technical issue or a terminology issue. This  
20 isn't helping people really understand what is  
21 newborn screening as a whole system. So, it's  
22 really critical, and it really does impact how



1 those of us who are doing education and trying to  
2 support screening in general as well as all the  
3 different components of it, how we're able to talk  
4 about that.

5 DR. CYNTHIA POWELL: Shawn  
6 McCandless.

7 DR. SHAWN MCCANDLESS: Shawn  
8 McCandless representing the Society for Inherited  
9 Metabolic Disorders. Others have spoken  
10 eloquently about the point I wanted to make, but I  
11 think -- I wanted to emphasize that the original  
12 intention of the secondary conditions was just as  
13 Mike alluded to -- it was things that you couldn't  
14 avoid because they would turn up in the  
15 differential diagnosis. The use of the term  
16 secondary target has caused confusion for Advisory  
17 Committees that I've served on as well as for  
18 state laboratories and has led to a number of  
19 states identifying secondary targets as actual  
20 targets of newborn screening, and that, I think,  
21 is not helpful to the -- to the purposes that  
22 we're trying to accomplish.

1           The second thing is I just want to  
2 also reemphasize what Sue Berry very wisely said,  
3 which is that the -- these additional conditions  
4 have potential implications for the public health  
5 benefit, both as Debbie Freedenberg said,  
6 potentially positive but also adding cost to the  
7 system. And so that -- that seems to me the right  
8 place to consider them, but I agree with other  
9 speakers' comments that the primary focus should  
10 be on the primary focus, which is identifying  
11 those conditions that meet whatever criteria we  
12 determine are appropriate for newborn screening to  
13 be on the Recommended Uniform Panel.

14           DR. CYNTHIA POWELL: Jed Miller and  
15 then Deb.

16           DR. JED MILLER: Hi. Jed Miller,  
17 AMCHP. One of the slides talked about risks and  
18 benefits of newborn screening compared to usual  
19 case detection, and I'm kind of curious about how  
20 much attention is typically paid to the usual case  
21 detection and characterizing it, and, I guess, our  
22 confidence and our ability to discern what that

1 really means. And I'm thinking about -- we're  
2 talking about a lot of, you know, things -- the  
3 scenario where children are asymptomatic, but even  
4 if you're floridly symptomatic, there are a lot of  
5 steps to getting to care and to getting, you know,  
6 diagnosed and then lab testing. So, I'm curious  
7 about that aspect of things, because there seems  
8 to be a lot of assumptions that come into that  
9 usual case detection.

10                   And I think back to our meeting last  
11 year on discussion about GAMT deficiency. One  
12 part of the meeting seemed to put a lot of  
13 confidence in the ability to detect that condition  
14 in terms of infrastructure with registries or  
15 labs, you know, reporting, and then it was  
16 interesting because that was in contrast to  
17 hearing some of the personal stories and public  
18 input about children who went through, you know,  
19 different experiences and were diagnosed at later  
20 ages. So, I'm just curious about how much  
21 attention is typically given to usual case  
22 detection and if that's something that warrants,

1 you know, a certain level of attention.

2 DR. ALEX KEMPER: So, let me just  
3 answer that question first. I'm not going to talk  
4 about GAMT, per se, because that, you know, never  
5 came to us, and I don't want to, you know, go into  
6 an area that we haven't looked at. But when we do  
7 do the evidence review, we look very hard to find  
8 out what's out there about how cases are usually  
9 found, because that really gives you a sense of  
10 what the incremental benefit to newborn screening  
11 is, and that's, you know, if we do this decision  
12 analyses comparing what might happen with, you  
13 know, if you were hypothetically to screen the  
14 four million babies born in the US each year  
15 versus what happens with usual-case detection.  
16 The amount of evidence that we can find related to  
17 what normally happens is, like everything else,  
18 variable. If you think back to when we were  
19 looking at X-linked adrenal leukodystrophy, for  
20 example, everyone said, oh, you know, the issue  
21 there is that these boys are presenting in, you  
22 know, in adrenal failure, and that's what's

1 leading them to be diagnosed. But although  
2 clinicians and, you know, experts in the field  
3 that we spoke to repeatedly told us that that was  
4 a common presentation for boys with X-linked  
5 adrenal leukodystrophy. It was really, really  
6 difficult to actually find out how often that  
7 happens. But I will say that from an evidence  
8 perspective, we tried to look at both sides, and  
9 when we're not able to find what we think is high-  
10 quality evidence -- I'm going to dive into that in  
11 a little bit -- but we try to make that clear to  
12 the Advisory Committee so that you can weigh that  
13 in your decision-making process. So, I'm sorry,  
14 Dr. Freedenberg.

15 DR. CYNTHIA POWELL: Debra, why don't  
16 you go ahead, and then we have Mei Baker, and I  
17 think then we'll --

18 DR. ALEX KEMPER: And then I have a  
19 couple of questions for you all based on what I've  
20 heard.

21 DR. DEBRA FREEDENBERG: I just want  
22 to sort of share an experience in that whether we

1 designate something as a primary or possible  
2 secondary does have public health impacts. So,  
3 for instance, my state expanded to include the  
4 secondaries not too long ago. So, part of that  
5 impact with that was that we could provide  
6 resources to a child in need, with a child with  
7 cobalamin A but we could not for cobalamin C,  
8 because cobalamin C was a secondary. So, there  
9 are other implications down the road as well in  
10 terms of state resources that are available,  
11 because [inaudible] were linked to things on the  
12 newborn screening panel. At the first -- at that  
13 time, it was the primary. So, that's just another  
14 aspect of it, not that I don't think that focus  
15 should be on the primaries, but just there are  
16 lots of arms out there.

17 DR. MEI BAKER: Okay. Mei Baker. A  
18 few -- two parts. One is given this discussion, I  
19 just want to emphasize on my comments basically  
20 moving forward. I think Mike and Shawn have  
21 eloquently described the history, what's the  
22 purpose. So, I think going forward, I would like

1 to see avoided is secondary condition, this kind  
2 of thing.

3                   The secondary part, it's -- you  
4 mentioned the biochemical marker. Going forward,  
5 I don't know how you utilize this setting, but I  
6 suggest getting a little bit of detail, because  
7 when you talk about chemical markers, I would  
8 think what is the function of consequence? So,  
9 this marker actually is quite good, and I think we  
10 should -- this is almost golden standard in my  
11 opinion, when you talk about Medium-chain acyl-CoA  
12 dehydrogenase deficiency, you have an elevated C8,  
13 that's the consequence of deficiency. But in  
14 recent years, the condition we are screening for,  
15 we use enzyme activities. That's why we got in  
16 trouble with pseudodeficiency, late-onset, all  
17 these kinds of things. I think we need to  
18 distinguish them. I think that's important.

19                   DR. ALEX KEMPER: I just want to  
20 reflect back on this very rich conversation. I'm  
21 glad everything is being recorded, because I'm  
22 going to have to reflect back on some stuff. But,

1 you know, there is this tension between primary  
2 and secondary targets and what we ought to do  
3 specifically around the evidence review process.  
4 I like the point that Dr. Tarini brought up, which  
5 I hadn't thought about it in this way, you know,  
6 this notion of intentionality. But, well, you  
7 brought it up. You amplified it. It takes a  
8 village. It takes a village.

9                   But from an evidence review  
10 perspective, it would be great if we could tell  
11 you everything that happened downstream of newborn  
12 screening in terms of all the -- the benefits and  
13 harms and really summarize everything regardless  
14 of, you know, the path that an individual took  
15 following newborn screening, it -- it sounds like  
16 if I'm understanding it correctly, there are sort  
17 of two streams of thought in here. One is looking  
18 at everything that one might want to intentionally  
19 screen for, and that might include late-onset  
20 disease versus really focusing only on the primary  
21 target of screening and then how back into an  
22 understanding of what the public health burden of



1 looking at both primary and secondary targets.  
2 So, there's a little bit of a tension as I think  
3 about it, but it may be that I misunderstood that,  
4 and we don't need to resolve all this, but is that  
5 correct?

6 DR. JEFFREY BROSCO: I think you  
7 heard us talking about that there are those  
8 issues. But, I mean, at least I feel pretty  
9 strongly that if we think something is worth  
10 screening for, it should be part of your evidence  
11 review, and if we don't, then we shouldn't make it  
12 part of the evidence review, and it shouldn't be  
13 part of our deliberation. So, I could see us  
14 drawing a bright line.

15 DR. ALEX KEMPER: Do you mean as a  
16 burden?

17 DR. JEFFREY BROSCO: Well, that would  
18 be if you're saying that if you screen for  
19 something and you find this, but how is the burden  
20 come in then? It's a secondary condition the way  
21 Mike described it maybe, but is lab reporting is  
22 against the intentionality question they raised

1 before?

2 DR. MEI BAKER: So, I -- I agree with  
3 everything you said. It's -- if you use screening  
4 for condition -- I'm kind of repeating myself --  
5 but you know you can avoid to find something else  
6 and then when you assess this is something else,  
7 do harm, do good, even because the harm is -- it's  
8 really enough where maybe it would not even  
9 screening for the first -- the original condition.  
10 That's -- I think that's an important thing.  
11 Everything, like Jeff said, if you think about the  
12 condition, they showed a subject with two other  
13 reviews.

14 DR. CYNTHIA POWELL: Beth Tarini.

15 DR. BETH TARINI: Beth Tarini. I  
16 think that they can be separated because, although  
17 people may not want to hear this, ultimately you  
18 could suppress, right? You could say -- can you  
19 not on some level say we're not going to -- I  
20 mean, the laboratorians in the room can say we're  
21 going to suppress this because this information is  
22 not going to be useful rather than have it -- take

1 it on its own merit of what you can do to avoid  
2 screening if you need to, if you think the burden  
3 is too great, if there's no treatment available  
4 for what you find, and you don't want to give it,  
5 and then deal with that on a separate issue rather  
6 than this is what I mean, it starts to back itself  
7 back into the primary target decision, when, in  
8 fact, there are other ways potentially to deal  
9 with it.

10 DR. ALEX KEMPER: Yeah. I would say  
11 that that's true for some conditions, but not  
12 other conditions. So, just moving forward  
13 thinking about how we're going to handle it, and  
14 I'll show you how I and others have sort of come  
15 to it. But if you take like late-onset disease  
16 for some conditions, they may look, you know,  
17 exactly the same on the screening.

18 DR. BETH TARINI: Well, I was  
19 thinking two separate conditions. So, now you've  
20 brought up another issue. Is this incidental  
21 within its -- is this unintentional within the  
22 primary disease itself, in which case I would call

1 it this is a disorder of X, you know, of X enzyme,  
2 which presents primary and late, or is this a  
3 disorder of one, for instance, enzyme as well as  
4 this secondary target over here, which is a  
5 completely different disorder? So, there are  
6 separate concepts. I think they both can occur.  
7 I think you probably have to, yes, conceptually  
8 dig it out a little more. They'll have,  
9 therefore, different -- different interventions.

10 DR. CYNTHIA POWELL: Shawn  
11 McCandless.

12 DR. SHAWN MCCANDLESS: I think it's  
13 really important for this group to be extremely  
14 careful about the terminology that we use, and  
15 again, for newborn screening, what we're -- the  
16 evidence review is directed toward a specific  
17 condition, and we get -- we start overlapping  
18 markers and conditions, and they're -- it's very  
19 important to be very clear that they're separate  
20 issues, and the condition that's being considered  
21 for the evidence review, the decision, I think, is  
22 based on whether there is a test that can identify

1 it in a presymptomatic phase and whether there is  
2 evidence that -- that treatment that's initiated  
3 during that presymptomatic phase changes the  
4 outcome. And that that makes the job easier for  
5 the evidence review, because it actually doesn't  
6 matter what the current practice is and good we  
7 are at picking the kids up when they're  
8 symptomatic, because the whole point of screening  
9 is their evidence that treatment before symptoms  
10 begin is better than after symptoms begin.

11           The other reason to be very clear  
12 about that we're discussing a condition as opposed  
13 to a marker is that once you've identified that a  
14 condition is potentially appropriate for newborn  
15 screening, you then look at the marker that you're  
16 using, and that gives you the opportunity to  
17 define secondary markers for ratios of metabolites  
18 or some other method to further enhance the  
19 specificity of the newborn screening test and to  
20 reduce the number of false positives, which are  
21 often a very significant burden on the health care  
22 system and particularly on the families who are

1 dealing with them.

2 DR. CYNTHIA POWELL: Kellie Kelm.

3 DR. KELLIE KELM: Yeah, Kellie Kelm,  
4 FDA. I actually really agree with that statement,  
5 and I think this comes up in a lot of other places  
6 as well. We talk about wanting states to  
7 actually, for example, put it on the websites or  
8 something, what they're screening for  
9 deliberately, right? We talked about that a lot  
10 lately, and it's come up in a lot of different  
11 things, you know, are you screening for carriers,  
12 are you screening for this and that, and states  
13 make different decisions about what they're  
14 screening for. And we know that it can be  
15 different from state to state.

16 And the other thing that I think  
17 about, as you mentioned, you know, for example the  
18 methodology since we only add a condition and we  
19 don't define the method is that methods change and  
20 that obviously, maybe even as we've talked a lot  
21 about going back and reassessing some of the  
22 conditions, you know, we may also want to, based

1 on the technology -- maybe not now, but in the  
2 future -- go back and -- and redefine things,  
3 because like right now, I think, SMA was -- we  
4 defined it as homozygous of, right, very specific,  
5 and that may change obviously if testing changes  
6 in the future. But for now, we define it that  
7 way. But I definitely think that, you know, it  
8 would be very helpful here if we can define it as  
9 closely as we can. But then, obviously, we've  
10 talked a lot more about defining things in other  
11 spaces as well, and I think that that would always  
12 just be helpful for transparency too.

13 DR. CYNTHIA POWELL: Kyle.

14 DR. KYLE BROTHERS: I don't want to  
15 be perceived to be the person against precise  
16 terms because I think it's very important. I just  
17 think, you know, you've seen one genetic  
18 condition, you've seen one condition, right?  
19 They're so unique, the stories are so different  
20 about the inheritance pattern, the technology.  
21 Some of them, you could suppress certain kinds of  
22 results, certain technologies that's not really

1 possible. So, from the perspective -- I just  
2 don't think we can solve the language problem that  
3 this field creates. I mean, it's just an inherent  
4 problem in the field.

5                   So, I wonder from your evidence  
6 review, it might make sense to define a bucket of  
7 information like implications or other  
8 implications to consider or something like that,  
9 and all of those things go in there, and it would  
10 be helpful to know what information is available  
11 about that, but going back to Jeff's comment, I  
12 think it's really about we have a primary  
13 condition that evidence review should focus on  
14 that, and we also need whatever information is  
15 available about other implications that should be  
16 considered in the decision.

17                   DR. ALEX KEMPER: So, I -- I'm sorry,  
18 is there someone else?

19                   DR. MEI BAKER: Mei Baker.

20                   DR. ALEX KEMPER: Oh, I didn't see  
21 you, ma'am. I'm sorry.

22                   DR. MEI BAKER: Mei Baker. I have a



1 quick comment. Just to follow what Shawn was  
2 saying, method, marker, and conditions. But I  
3 think we are in the situation -- the condition  
4 itself seems to get complicated quickly. So, the  
5 example is the Pompe. So, I think the intention  
6 is infantile Pompe, but to later on say I think  
7 what we know now, people can argue both sides, but  
8 to me, in our state experience, after close to  
9 19,000 screenings, we have 13 late-onset  
10 identified and zero infantile what you say. You  
11 know, I think we maybe need to -- I think in the  
12 group we talk about it, in the forward, not just  
13 condition, even condition in the subtype, we need  
14 to take into consideration.

15 DR. ALEX KEMPER: That's a great  
16 example. So, I was, you know, I didn't have the  
17 foresight to know what everyone was going to say  
18 when I put together these slides, so I apologize  
19 for the broken Ouija board. So, I did -- as I was  
20 putting these slides together and with the help of  
21 others -- put together some draft ideas, and  
22 obviously I'm going to go back and revise this.

1 But the key thing that I'd like to focus on is  
2 that in the nomination package, you know, the more  
3 clear about what the intention of screening is is  
4 going to help us, and defining these case  
5 definitions as we go into things, whether or not  
6 they reflect primary or secondary targets, just  
7 making sure that we know what information will be  
8 most helpful. And so, we'll continue to focus on  
9 that as we had, although maybe do a better job  
10 upfront to clarify what the goal of screening is,  
11 and then continue as we've done in the past in  
12 terms of cataloging incidental findings as they  
13 are reported in the various studies, but not  
14 focusing on the larger impact of the incidental  
15 findings.

16                   So, I think what I'm proposing here  
17 is just a little more clarification in the report  
18 that you would get, along with some pushing  
19 upfront in terms of working at the time that a  
20 nomination package is handed off to us to  
21 understand really what it is that the goal of  
22 screening is. Does that make sense? Jeff's

1 giving me like a maybe.

2 DR. JEFFREY BROSCO: I think you  
3 should probably go on.

4 DR. ALEX KEMPER: Okay. Well, that  
5 was easy. So, let's --

6 [Cross-talking.]

7 DR. ALEX KEMPER: I wrote this before  
8 anybody said anything, so.

9 DR. SUSAN BERRY: Okay. All right.  
10 So, I'm just going to say one more thing.

11 DR. ALEX KEMPER: I have plausible  
12 deniability.

13 DR. SUSAN BERRY: What I -- this is  
14 Sue Berry, and what I'm going to refer to as what  
15 I call the iceberg effect, that almost no matter  
16 what you do in terms of making a good case  
17 definition, that almost no matter what we think  
18 we're going to find, that's not what we find, and  
19 if you don't build that in from the beginning with  
20 the idea that you're going to do all this  
21 ascertainment, no matter how you define it, you're  
22 going to misinterpret what you're going to see.

1 DR. ALEX KEMPER: And that gets to  
2 the whole spectrum issue that we've talked about,  
3 I think, in every single report. Okay. I'm going  
4 to move on to -- let's do something easy. Key  
5 outcomes. So, I would say that a goal of ours  
6 that we've had, and this is an area that I would  
7 like to force us all to think about, is  
8 prespecifying what the expected outcomes of  
9 interest are, to make sure that we're clearly  
10 working to identify and cataloging them. And when  
11 I talk about the expected outcomes, I'm thinking  
12 about both benefits and harms. But obviously  
13 we're going to continue to be open to new outcomes  
14 of interest that are identified during the review  
15 process.

16 So, in terms of benefits that we've  
17 looked at in the past, mortality, we've looked at  
18 some components of morbidity, we've looked at  
19 length of life, we've looked at ventilator-free  
20 survival, we've looked at different measures of  
21 neurologic and motor function, which by and large  
22 are focused on issues of mobility and

1 communication.

2                   In terms of harms -- this one I sort  
3 of captioned, and we've tried to consider -- and  
4 the reason I say that is harms can be -- are often  
5 times incompletely reported. So, there's all the  
6 harms that we've talked about in the past related  
7 to screening. Aaron Goldenberg has been very  
8 helpful for us in terms of thinking through, so  
9 pain or other adverse impacts from screening or  
10 diagnostic testing, false positives, and false  
11 negatives, and then after diagnosis, earlier  
12 exposure to treatment, to adverse effects, and the  
13 psychosocial harms of uncertainty of outcomes.  
14 So, that's a very high-level look at harms, and we  
15 have harms more broken out in -- in other reports  
16 that we put together for the Advisory Committee.

17                   So, what I'd like to do is just think  
18 through other benefits and harms that are of  
19 interest. So, there are these intermediate  
20 outcomes, and those are often times reported, but  
21 it's difficult to know what the link is sometimes  
22 between these intermediate outcomes and patient-

1 centered outcomes. So, when I talk about a  
2 patient-centered outcome, let me be clear that I'm  
3 talking about something that individuals feel,  
4 right? So, you know, within, you know, different  
5 areas, you know, different ranges, you may not  
6 sense, you know, that your -- your biomarker has  
7 gone up. If you think about like lipid screening,  
8 you know, I may not notice when my cholesterol has  
9 gone up, but I might notice, you know, cardiac  
10 events related to my lipids.

11               So, also imaging findings are another  
12 good example. So, like MRIs and the scores that  
13 we've seen done on MRIs for conditions that affect  
14 white matter. So, how do we think about these  
15 intermediate outcomes, and should we pre-specify  
16 them?

17               There are issues of quality of life.  
18 So, when I think about the impact of preventative  
19 service, you know, ultimately, I'm interested in  
20 two things: length of life and quality of life.  
21 But quality of life is a notion that's difficult  
22 to get to, and it's often times not reported in

1 the kinds of studies that we have available to us.  
2 And then there's this larger issue that we have  
3 not delved into because our mandate has been on  
4 focusing on benefits that accrue to the individual  
5 being screened and not the family, but there is  
6 this importance issue of avoidance of the  
7 diagnostic odyssey, diagnosis in other family  
8 members, if there is, you know, screening of other  
9 family members that happens as a result of  
10 identifying something in a newborn. And then  
11 issues of ability for families to develop plans  
12 for the future.

13                   Now, there are a lot of other  
14 outcomes to families, and I don't mean this to be  
15 an exhaustive list, but I do want to just put that  
16 up there as something that we haven't specifically  
17 considered and just get feedback on. And then,  
18 what I can say is that when we do our literature,  
19 you know, we -- we don't do primary research,  
20 right? So, we can only describe what's been  
21 included in previous research. So, let me put  
22 that out there first and just remind you all that

1 it's beyond the scope for us to develop new  
2 evidence on outcomes that -- that haven't been  
3 previously described, and by that I mean haven't  
4 been described in -- in the scientific literature.

5           So, that's a lot of stuff there, and  
6 I'll just open it up now for thinking about  
7 benefits and harms and also the degree to which --  
8 I didn't highlight this and I should have -- if we  
9 should have a list of outcomes that we always look  
10 for. And you can even imagine, because they --  
11 they do this in other evidence systems where you  
12 have a priority list. So, you know, these are the  
13 lists of important outcomes, and these are the  
14 ones that are most significant, and these are the  
15 ones that are less significant to making a  
16 decision. Should we have a standing thing like  
17 that, that we then tailor to specific conditions,  
18 or do we kind of start the way we've done things  
19 in the past, which is, you know, convene experts  
20 and look at the nomination package to figure out  
21 what sort of outcomes we want to make sure that we  
22 explicitly look for. So, let me open it up.



1 DR. CYNTHIA POWELL: Beth Tarini.

2 DR. BETH TARINI: Beth Tarini. The -  
3 - so, the issue of outcomes to the family -- while  
4 we have not, as a committee, dealt with it, it has  
5 some up in the literature Dr. Alexander brought  
6 this up -- I think in the early mid 2000s maybe  
7 when he published it in Pediatrics, this idea of  
8 the benefits to the other. I want to put out  
9 there that, you know, we often talk -- it came up  
10 today -- about the -- the Wilson-Jungner Criteria  
11 -- should we be using it, should we not. The --  
12 the one thing I think we shouldn't forget is --  
13 and Dr. Brosco brought this up -- is this is a  
14 mandatory test that has a specific legal standard,  
15 and that legal standard needs -- that legal  
16 standard needs to be taken into consideration when  
17 we talk about the rationale for why we decide to  
18 recommend a test be screened. Now, I always say  
19 you -- you build the system and you go according  
20 to the system you build. If we want to change  
21 that system or add a new one, that's completely  
22 reasonable and an option. But the outcomes for

1 the family raise concern given the constraints,  
2 and I don't think we have any legal -- we have  
3 ethics. But I don't think we have any law  
4 expertise on the committee of what that means in  
5 terms of mandatory testing for children. One can  
6 see what's happening with the vaccine issue at  
7 large, so I just want to raise that point. I  
8 think it's important.

9 DR. CYNTHIA POWELL: Jeff Brosco.

10 DR. JEFFREY BROSCO: So, Beth, one  
11 way we can have our cake and eat it too, right, is  
12 something that Alex and I have talked about, which  
13 is if you -- if we set up ahead of time and said  
14 here are the things we, as a committee, really  
15 care about. First is about mortality, quality of  
16 life, and key morbidity, and say this is what's  
17 really most important to us, then you might have a  
18 second- or even a third-tier of things that are  
19 also interesting and could be important, and by  
20 setting out ahead of time, we start slowly to  
21 solve this problem of we never have evidence about  
22 quality of life because if you're thinking about a

1 candidate condition, you might say, oh, that's  
2 what the RUSP criteria are, we should include a  
3 quality of life measure in our next pilot study.  
4 So, we can begin to solve that evidence issue, and  
5 I agree that we don't want to -- I would not want  
6 to switch so we're doing things on the RUSP simply  
7 to avoid the diagnostic odyssey, but if that were  
8 something that came out of it, and the last way to  
9 sort of put that in is when groups are putting a  
10 forward candidate condition, if they said we've  
11 surveyed families, and for them, one of the  
12 outcomes that's really important is X, Y, and Z,  
13 that's helpful for them to put forward, and we can  
14 see where that falls in our tier system.

15 DR. BETH TARINI: I agree. My  
16 concern is quality of life can be -- I understand  
17 quality of life can be measured for a wide group,  
18 but this will affect the entire population. So,  
19 if get -- if my child is born and my -- the  
20 mandatory testing is based on quality of life, it  
21 needs to be a quality of life that I agree with,  
22 since you're removing my parental rights in order

1 to test the child. So, I don't think actually  
2 quality of life, I think quality of life is -- is  
3 potentially a gray area, because if you don't --  
4 your quality of life may not meet the standard or  
5 one's quality of life of a legal requirement of a  
6 mandatory test. I get -- I'm not sure. Clearly,  
7 diagnostic, I mean, what is the metric of quality  
8 of life which says that you can mandatory test a  
9 child at birth? I don't know the answer. But I  
10 think there is something that needs to be  
11 discussed. Am I not clear?

12 DR. CYNTHIA POWELL: Kellie Kelm.

13 DR. ALEX KEMPER: So, actually, can I  
14 -- because I think you might have had two quality  
15 of lifes in there too, the family or the parent  
16 quality of life and then the child's quality of  
17 life, which are distinct things too.

18 DR. BETH TARINI: Certainly. I mean,  
19 I guess the one issue is the family, and the  
20 second is the quality of life, be it for the  
21 family or the individual themselves. So, you're  
22 right, it needs to be stratified. I mean, I --

1 this came up, I think, implicitly with the  
2 conversation about SMA, that there were some  
3 people -- I'm surmising -- that felt uncomfortable  
4 that the quality of life was there to make it  
5 mandatory. I don't know that. But -- but when I  
6 voted on it, I voted on it on mortality, because  
7 we didn't have this discussion to day what is the  
8 threshold of quality of life that we feel is -- is  
9 uniformly agreed upon or of a standard of which we  
10 think it can be used in a mandatory test.

11 DR. KELLIE KELM: Well, to tack onto  
12 that before I get to my point, I mean, I guess  
13 since the states are the ones mandating the  
14 testing, we may have to get input from the states  
15 in terms of what they may have in terms of -- and  
16 if anybody has any language that pertains. But  
17 I'm going to guess that I'm not sure if there's  
18 one at the federal level that we could even point  
19 to.

20 But I was just thinking about some of  
21 the more recent conditions that we've gone through  
22 in terms of some of these things, and I think I

1 know a lot of -- we have a lot of intermediate  
2 meetings while you're working on evidence review,  
3 and you sort of ask us a lot of questions, and I  
4 think if I recall for some conditions, they assess  
5 mortality, but then they'll also assess things  
6 like cognitive, you know, in a study. They're  
7 doing it in a way that it's measurable or  
8 definable or a six-minute walk or, you know, which  
9 is, you know, you can tie that to mobility, for  
10 example, or other things. So, obviously, a lot of  
11 times, I think these things will just  
12 automatically come out, because you'll say these  
13 were measured and we'll be able to capture them.  
14 I mean, I think it would be difficult if they  
15 weren't somewhat captured in a way that we could  
16 say was -- that was an outcome that -- that we  
17 would want to sort of -- and I think that's sort  
18 of the difficulty, is how do we -- so as evidence  
19 review, how are you going to present that without  
20 actually having the evidence measured, and  
21 sometimes all you're going to have is mortality.  
22 I think when it was SMA, we just -- we didn't have

1 very much. It was a very small amount of data in  
2 the population that we were thinking about  
3 treating asymptomatic babies. And so, in some  
4 ways, we just sort of have to define explicitly  
5 what we have so at least it's there and say that  
6 this was measured, or, and this wasn't, and maybe  
7 this is important. But right now, it just wasn't  
8 measured.

9 DR. ALEX KEMPER: If I can just add  
10 on to the complications you were talking about.  
11 There's an issue of the time horizon, too. So,  
12 there may not, you know, often times the studies  
13 we find are of such short duration that it's hard  
14 to know, you know, did it change the child's  
15 quality of life, because, you know, if you're just  
16 looking at six months or one-year outcomes, it's  
17 hard to make, you know, inferences about what's  
18 going to happen down the road.

19 DR. CYNTHIA POWELL: Jeff.

20 DR. JEFFREY BROSCO: So, none of this  
21 really solves anything, but it is worth pointing  
22 out that there's a lot of researching work going

1 on in quality of life measures, right? So, the  
2 National Academy of Medicine and others like the  
3 Vital Signs project are trying to say well,  
4 there's sometimes disease-specific quality of life  
5 measures, asthma is a great example, but then  
6 there are also more general ones. And so, there  
7 is, you know, not a simple thing, and I want to  
8 separate that out from outcomes for the family,  
9 right? That is different from quality of life  
10 measures.

11 DR. CYNTHIA POWELL: Kyle Brothers.

12 DR. KYLE BROTHERS: This is Kyle  
13 Brothers. I don't want to be dismissive of  
14 quality of life measures. I think they can be  
15 quite useful, especially in research settings, but  
16 for -- conditions have their own unique set of  
17 complications that have implications for quality  
18 of life, but I would rather us, if we're going to  
19 set a priority, let's set what is a specific  
20 complication that we're worried about, and what's,  
21 you know, what's the frequency of that. That is a  
22 more approximate, it's easier to look at, and we



1 can set it as a higher priority. But I think we  
2 don't want to be too wholistic because quality of  
3 life for children is defined as perceived quality  
4 of life from the perception of the parent, which,  
5 there's just a lot of action going on there. It's  
6 difficult to really know what's going on. So, I'd  
7 rather for us to set a second-tier and say first  
8 tier of things like morbidity and mortality that  
9 are sort of universally recognized, and the  
10 second-tier is a set of complications or concerns  
11 that are specific to this condition that we can  
12 measure the frequency of them, and then I'd like  
13 to see quality of life -- perceived quality of  
14 life as reported by the parent -- being a lower  
15 thing -- not, it's not irrelevant, I just don't  
16 think it's a top priority.

17 DR. CYNTHIA POWELL: Shawn  
18 McCandless.

19 DR. SHAWN MCCANDLESS: Two thoughts  
20 that I think are in complete agreement with what  
21 Kyle just said. The first is that I think it's  
22 also important to remember that often times, the

1 treatment that we give to prevent mortality or  
2 intellectual disability actually has a significant  
3 deterioration -- causes a significant  
4 deterioration of quality of life and so that has  
5 to be -- if we're going to assume that quality of  
6 life is an important measure, it gets even muddier  
7 when one considers that possibility.

8           The second thing is that I think  
9 reflecting on what Beth has said about the -- and  
10 Jeff -- about the mandatory nature of newborn  
11 screening programs. It seems to be that it really  
12 does make sense for things to be kept very simple,  
13 that the primary goal of a newborn screening  
14 program should be to intervene pre-symptomatically  
15 when that will prevent death, intellectual  
16 disability, or permanent physical disability. One  
17 could add a few other things, maybe, but at the  
18 end of the day, if you can't show that what you're  
19 going -- if it doesn't matter whether you identify  
20 the condition pre-symptomatically for those three  
21 criteria, what would be the justification for  
22 newborn screening -- for mandatory universal

1 newborn screening?

2 DR. ALEX KEMPER: And so, if I can  
3 try on that point, that would also argue for  
4 having the kind of prespecified list as well, the  
5 investigators and advocacy groups and funders and  
6 that kind of thing could look at when they're  
7 setting up outcomes for the various studies  
8 they're putting together related to newborn  
9 screening. Is that fair to say?

10 DR. SHAWN MCCANDLESS: Yes.

11 DR. ALEX KEMPER: I love consensus.

12 DR. CYNTHIA POWELL: Robert  
13 Ostrander.

14 DR. ROBERT OSTRANDER: Robert  
15 Ostrander, AAFP. I'm going to push back a little  
16 bit on some of this discussion, and I absolutely  
17 think that if we can't show a medical benefit for  
18 screening, you know, that should be a -- an  
19 exclusion, I mean, we shouldn't approve something  
20 simply because of the family life and quality of  
21 life measures.

22 But I got pulled into this world 20

1 years ago, I guess, when NICHQ did a learning  
2 collaborative on Children and Youth with Special  
3 Health Care Needs, and one out of three people at  
4 learning collaborative were parent partners, and  
5 their priorities were very different from the  
6 clinicians in the room, and I really wonder if we  
7 shouldn't, as part of our process between now and  
8 2020, think about convening some parent partners  
9 and finding out what they say about benefits and  
10 harms, because the thing that I learned long  
11 before getting into this newborn screening  
12 community was that what the parent partners were  
13 telling us then is the diagnostic odyssey was a  
14 huge deal, that children and families, I mean,  
15 these are -- this is family-centered medical home  
16 not payer-centered medical home like we're doing  
17 now, but family-centered medical home and to  
18 separate the benefits to the child and benefits to  
19 the family -- the immediate family is artificial,  
20 and the things that we heard that were very  
21 important were avoiding diagnostic odysseys,  
22 getting plugged into a coordinated medical home-

1 type situation where right from the start, you are  
2 hooked up with people who understood your child's  
3 special conditions, you had a relationship with  
4 them, so they understood your expertise as a  
5 parent, and you knew who to call on your bad days  
6 so that on your good days you weren't sitting  
7 there wringing your hands, what if my kid gets  
8 sick today. And I think we'd be doing a  
9 disservice to the people that we're here to serve  
10 if we don't find out what they -- what benefits  
11 they think are the most important and somehow take  
12 them into account. Now, we always tend to value  
13 and prioritize things, and this is a huge issue  
14 with governmental management in health care right  
15 now. We tend to prioritize and value things that  
16 are easy to measure as opposed to what might or  
17 might not be most important, and I think we have  
18 to resist that temptation to say we're not going  
19 to deal with this because it's really hard to  
20 measure, and I think we probably can, and I think  
21 Jeff touched on it. There are general -- there's  
22 general information about chronic conditions in

1 kids with special needs about what's important and  
2 what we can measure, and I think we probably can  
3 measure just in general kids who are extreme  
4 versus kids that are usual case, what is -- how --  
5 what's the difference in the timing of the start  
6 of the diagnostic odyssey and the duration of the  
7 diagnostic odyssey. What's the timing from the  
8 establishment of medical home and, you know, how  
9 quick do they get into surveillance? I don't  
10 think we should discount that at all, and I don't  
11 think -- I don't think you can de-link the family  
12 from the patient.

13 DR. CYNTHIA POWELL: Beth.

14 DR. BETH TARINI: Beth Tarini. I  
15 want to be clear. I believe we could have an  
16 evidence base for quality of life. I believe we  
17 could create an evidence base for family outcomes.  
18 I raise the concern of where that bench -- where  
19 that line is that allows us to invoke parens  
20 patriae and have a mandatory screening test.  
21 That's not to say it can't be a secondary piece of  
22 once one meets the, you know, you can now be a

1 mandatory test outcome evidence-based, you cannot  
2 use this secondary -- I'm calling it secondary --  
3 but, this additional evidence base and look at it  
4 for does this give us more benefit than this  
5 disorder? It doesn't seem like we have -- have  
6 needed that, because we have -- it -- it doesn't  
7 seem like we've thought that there's been too many  
8 disorders added so that we have to distinguish  
9 among two if both meet the medical outcome,  
10 because we're barely scraping by, it seems to meet  
11 the medical outcome.

12                   That being said, I think again, there  
13 is an evidence base. It can be broadened and  
14 developed for quality of life. It can be done for  
15 outcomes. The question I have is, do we intend to  
16 continue to do so under the mandatory requirement  
17 and the legal requirements that has at the state  
18 level. That's all.

19                   DR. ALEX KEMPER: Annamarie, were you  
20 --

21                   MS. ANNAMARIE SAARINEN: Annamarie  
22 Saarinen. So, this has been such an interesting

1 discussion, and I really didn't want to take up  
2 extra time again. But I think what you're trying  
3 to decide here is what's the threshold and what's  
4 the criteria for how we look at things during  
5 evidence review and move things forward, and if  
6 that's the case, I really go back to Jeff's  
7 suggestion of like how -- is there -- is there a  
8 very standardized way that we can incorporate  
9 language in the nomination process and in the  
10 review process that does consider the -- the  
11 parental -- the exact things that -- that you just  
12 mentioned, Dr. Ostrander, the diagnostic odyssey,  
13 the real parent-family experience and what their  
14 priorities are and that they're -- that we're  
15 weighing those and recognizing those, and it's not  
16 just clinical evidence, and I will point to -- we  
17 have a baby in Minnesota that was picked up by  
18 screening -- and I'm sorry to use another  
19 congenital heart disease example -- but this baby  
20 died two days ago after spending ostensibly 630  
21 days that she has been on the planet in the unit.  
22 She never went home. She had multiple congenital



1 heart surgeries to try to repair her very complex  
2 heart disease, but what I do know -- because I  
3 know the mother -- is that they wouldn't for a  
4 minute have not wanted to have known that their  
5 baby had a heart defect and then been sent home  
6 and she died one or two weeks after birth, because  
7 that would have happened had it not been picked up  
8 for the screening test. But those two years --  
9 many of them on a ventilator -- I think many of us  
10 from a clinical perspective would argue what kind  
11 of quality of life was that for their child. But  
12 I really like us all to continue to think about  
13 those things as we put forward these uses of  
14 language that can be more -- I don't know -- help  
15 us to a better job of measuring what moves  
16 forward.

17 DR. ALEX KEMPER: And so that --  
18 that's actually a great point for me just to  
19 remind everyone too that this particular topic  
20 sort of blurs the line between evidence review as  
21 well as the decision-making process. We're going  
22 to revisit both of those issues in the future, so

1 it's not -- obviously, we're not coming to  
2 consensus now about anything. But I -- I, you  
3 know, did hear that the, you know, Advisory  
4 Committee is interesting in digging into these  
5 issues more and that there are clear things that  
6 everybody would agree that the evidence review  
7 ought to look for, because they're so fundamental  
8 to what would allow something to be on newborn  
9 screening, but have it consider these other things  
10 is still a work in progress.

11                   So, I have -- can I just -- yeah?

12                   DR. CYNTHIA POWELL: I'm sorry. Is  
13 there anyone on the phone who has any comments?

14                   DR. ALEX KEMPER: Okay, hearing none.  
15 So, from an evidence standpoint, we're going to  
16 continue looking at the full range of benefits and  
17 harms as we've done in the past. One of the  
18 things -- actually this goes to your comment  
19 before -- is we really need to make sure that  
20 we're clear about the comparison groups, and when  
21 we're talking about differences in morbidity or  
22 mortality, you know, what is that difference

1 compared to? We didn't talk about this too much,  
2 but I will raise the issue of the time horizon  
3 again. So, again, we're not going to be able to  
4 resolve this, but this is a question for the  
5 Advisory Committee. Is there some minimal period  
6 of time that you want to wait for before you know  
7 what the outcomes are? So, if you look at  
8 something where you just have six-month outcomes,  
9 for example, how much does that -- how much does  
10 that help you versus, you know, is there some  
11 threshold? Then again, it's a complicated issue,  
12 and it's going to depend upon condition, but I'm  
13 going to raise that as something that we're going  
14 to need to talk about. And then, of course, we  
15 need to, you know, it's my last point is it's just  
16 a work in progress.

17 I do hear -- maybe because I want to  
18 hear it -- but that there's this interest in  
19 coming out with some sort of tiered list at least  
20 as a way for us to -- to begin to think through  
21 those issues. And so, again, I'll follow with  
22 that as well as everything else that I have here.

1 All right. Should we move onto  
2 another topic? I'm looking at my timekeeper too.  
3 How much more time do I have? I've lost track.  
4 So, they'll light that when I've run out of time.

5 So, let's talk about treatment. So,  
6 treatment is complex as well. Typically, when we  
7 have done our evaluations, we've focused on the  
8 FDA-approved indication when there's, you know, a  
9 new drug that's available for treatment like  
10 nusinersen would be a good example of that. One  
11 of the challenges that we have is, how should we  
12 consider therapies that are in development? How  
13 should we consider supportive therapies, so non-  
14 targeted supportive therapies for an affected  
15 individual or maybe some sort of, you know,  
16 supportive therapies for the family? And the  
17 final point that we're wrestling with is how  
18 should availability of the treatment be weighed in  
19 the evidence review component, or is that  
20 something that's for the impact assessment side of  
21 things? At what point do we look at whether or  
22 not treatment is available?

1                   So, I'm going to open this slide up  
2 for comments.

3                   DR. CYNTHIA POWELL: Shawn.

4                   DR. SHAWN MCCANDLESS: Regarding  
5 therapies in development, when we -- those of us  
6 who have lots of gray hair or little hair left who  
7 have been involved in lots of clinical trials know  
8 that they don't always work, and we always tell  
9 our potential subjects in our clinical trial that  
10 if we knew that it worked and if we knew that it  
11 was safe, we wouldn't have to do the clinical  
12 trial. I think it would not be wise to make  
13 decisions about newborn screening based on  
14 therapies in progress. I think there needs to be  
15 confirmed documented efficacy of the treatment.

16                   That doesn't necessarily mean FDA  
17 approval, but there needs to be a body of evidence  
18 confirming that the treatment or the therapy is  
19 safe and effective.

20                   DR. ALEX KEMPER: So, one of the  
21 examples of this going back to the SMA examples,  
22 as we were doing the review, more and more

1 information came out around gene therapy, although  
2 certainly those studies weren't, you know, fully  
3 available. But, you know, that's an example of  
4 the kind of thing that we struggled with during  
5 the review process.

6 DR. SHAWN MCCANDLESS: And I would  
7 just say that that relates to the -- to the time  
8 horizon, that if it's -- if the data about the  
9 therapeutic efficacy and safety are premature,  
10 then it seems to me that making a decision using  
11 those data is premature.

12 DR. ALEX KEMPER: Other thoughts  
13 about therapy? Maybe we have like a postprandial  
14 low, I think. Anything else there? Yeah, Kyle.

15 DR. KYLE BROTHERS: I was just going  
16 to comment on the idea of incorporating things  
17 like supportive therapies and issues like that. I  
18 completely agree. I think FDA approval is  
19 probably not the -- the right standard, especially  
20 as we're not -- we're unlikely to keep adding in  
21 more common conditions. Likely, the conditions  
22 that we add are going to be less common, and

1 that's just going to continue to compound the  
2 complication there. So, I agree with keeping an  
3 open mind about whether FDA approval is, in fact,  
4 the standard. But I would like to see some  
5 evidence that it works.

6                   From the perspective of supportive  
7 therapies or other types of things, I think it  
8 makes sense to tie the tiers of treatment with the  
9 tiers of the outcomes. So, therapy or some other  
10 intervention that improves one of our lower-tier  
11 outcomes is probably not relevant or it's less  
12 relevant. But, if we can -- if there's some sort  
13 of intervention that helps with one of those  
14 higher-tier outcomes, then that really starts to  
15 be where I think the importance comes in.

16                   DR. ALEX KEMPER: That's great. I  
17 hadn't really made that connection with the tiers.  
18 But I think that will be helpful in the evidence  
19 review process moving forward.

20                   DR. CYNTHIA POWELL: Sue Berry.

21                   DR. SUSAN BERRY: So, I'm going to  
22 throw another spanner in the works, as they say.

1 DR. ALEX KEMPER: Very British.

2 DR. SUSAN BERRY: Availability --  
3 yeah, very British -- availability of treatment  
4 can be a relative thing. Available to whom? Is  
5 your insurance company going to pay for that  
6 \$500,000 therapy? Is the therapy available only  
7 to people who have a certain mutation but not  
8 others? These are all going to be things I know  
9 we're going to struggle with, and it's only going  
10 to get more obvious with time, because many of the  
11 therapies that are going to emerge are going to be  
12 extraordinarily expensive and very specific in  
13 their targets.

14 UNIDENTIFIED FEMALE SPEAKER:

15 [Inaudible, speaking off mic.]

16 DR. SUSAN BERRY: Same. So, will  
17 that be something else? I'm going to put it under  
18 availability is more than just -- is there a  
19 treatment at all, but how available is the  
20 treatment to individuals and what's the burden on  
21 society if we have those treatments?

22 DR. ALEX KEMPER: And that gets to



1 accessibility and so forth.

2 DR. SUSAN BERRY: Um-hum, access is  
3 maybe another -- another piece that deserves to be  
4 in this is access beyond availability.

5 DR. ALEX KEMPER: And should that be  
6 part of the evidence review process?

7 DR. SUSAN BERRY: Well, I -- I  
8 suspect if you're thinking about -- we had, you  
9 know, there's always this conversation you have.  
10 You spend a half a million dollars on this and you  
11 could give five hundred thousand children  
12 vaccines. It -- it's a relative value thing, and  
13 I'm not sure that there's a price that -- a price  
14 tag that we place on good outcome. But if we  
15 don't even bring it up, I think we're  
16 irresponsible.

17 DR. CYNTHIA POWELL: Kellie Kelm.

18 DR. KELLIE KELM: Kellie Kelm. I  
19 think that we have considered it, just not  
20 formally. I mean, I think, obviously for SMA, we  
21 knew that Spinraza was out there, but we didn't  
22 really know a bunch about coverage at the time,

1 and I'm sure that it may have changed. But we  
2 haven't really talked about that. That was also  
3 after we were talking about SCID at the time, I  
4 think everybody had to go to Duke for the process,  
5 but then we knew that kids on Medicaid, right,  
6 weren't going to probably be able to travel and  
7 get it covered. So, you know, we all sort of knew  
8 that in the back of our minds, but I guess we  
9 could more formally think about it and capture it  
10 and consider it in our decision-making. I think  
11 it's obviously easier, for example, if it's  
12 something available in Europe that that you can't  
13 get here or something like that. But I think, you  
14 know, we'd have to -- that would be something new,  
15 because we didn't consider the cost of Spinraza in  
16 our discussions. We just said it was available  
17 and we said that that was -- that checked the box.  
18 So, I think it could get more difficult, but I  
19 don't know. We seem to avoid it most of the time.

20 DR. CYNTHIA POWELL: Deb Freedenberg.

21 DR. DEBRA FREEDENBERG: I was just  
22 going to say that if you're considering therapies

1 in development, for most of those, we really don't  
2 know the long-term outcomes. They haven't been  
3 around for a while, and I don't know how you  
4 assess their efficacy outside of the short term  
5 that the studies done -- that were needed for FDA  
6 approval. You know, they're phase 2 or 3 stuff.  
7 I'm not certain how you would weight that, because  
8 you really don't know five or ten years down the  
9 line what the outcome is going to be. I mean, you  
10 know what it is if they're not treated, but you  
11 don't know what -- what it's going to look like.

12 DR. ALEX KEMPER: I guess the  
13 duration of therapy or duration of outcomes and  
14 that kind of thing, which like when we looked at  
15 SMA, we only had really a year or two worth of  
16 data.

17 DR. DEBRA FREEDENBERG: Right, and  
18 you may be changing the more severe disease into  
19 something that's a more chronic -- needs more  
20 chronic management.

21 DR. CYNTHIA POWELL: I think for the  
22 sake of time, Alex, do you want to go ahead?

1 DR. ALEX KEMPER: Yeah. So,  
2 basically, this is just basic, this slide says it  
3 for now. We're going to keep doing what we're  
4 doing. So, what I do want to do -- how much do I  
5 have left, another ten minutes? Yeah.

6 So, I'm going to go through quickly  
7 some slides just talking to you about our plans  
8 for assessment of the peer-reviewed published  
9 evidence, and this requires less weigh-in from you  
10 all. But I do want to be clear about this, and  
11 this comes from the in-person meeting that we had  
12 and some other work related to GRADE, which is a  
13 standardized process for evaluating the quality of  
14 literature. So, things that we're going to  
15 summarize for screening treatment studies include  
16 the number of studies and observations for each  
17 study design, summary of findings, consistency or  
18 precision, estimates of potential reporting bias,  
19 overall study quality, body of evidence  
20 limitations, applicability, so do these things  
21 apply to babies that might be picked up through  
22 newborn screening, as well as a summary of overall

1 strength of the evidence and there are different  
2 ways -- GRADE has one way -- but different ways  
3 that have been developed for putting these into  
4 tables and moving forward, I think that we really  
5 ought to just mirror what they do.

6                   So, when we think about questions  
7 related to adequacy of the evidence for screening  
8 and treatment, we're going to be looking at  
9 specific questions. Do the studies have the  
10 appropriate research design? So, are they  
11 clinical trials, population-based observational  
12 studies, and so forth? To what extent are the  
13 existing studies of sufficient quality? The key  
14 to that is whether or not there's a comparison  
15 population. To what extent are the results  
16 generalizable to newborn screening? How many and  
17 how large are the relevant studies, and are they  
18 precise? For example, are the intervals  
19 appropriately narrow? How consistent are the  
20 results of studies? So, if you have, you know, a  
21 handful of studies and they're all finding  
22 disparate things versus the same things, then your

1 level of certainty about it would be different.  
2 And then, are there additional factors that would  
3 assist in drawing conclusions? So, does it make  
4 sense? Does it fit into our understanding of the  
5 disease?

6                   What I put up here is the ultimate  
7 rating of quality of evidence used by GRADE, which  
8 breaks things into high, moderate, low, and very  
9 low. But the interesting thing is that GRADE  
10 doesn't really have a process for assessing the  
11 kind of small case series that we often times use.  
12 It's just -- it's just not there. Interestingly,  
13 it does seem like they're trying to develop  
14 methods to do that, but we're just not there yet.  
15 So, you know, clearly, we will be able to assess  
16 the quality of evidence for the trials and  
17 observational studies where they find them. We're  
18 obviously also going to keep our case series, but  
19 we're just going to have to summarize their  
20 quality in a more quantitative way instead of  
21 being able to assign it a quality rating.

22                   With that, I'd like to just touch on

1 the gray literature as well. So, there are two  
2 areas where we've really used the gray literature.  
3 One is around the accuracy of screening and the  
4 process for diagnostic confirmation. So, you  
5 know, some of these previous reviews such as like  
6 I have Dr. Caggana and her team on speed dial on  
7 my phone. That's an example of unpublished  
8 literature, but, you know, sort of up-to-date  
9 related to screening outcomes. And because we've  
10 looked at conditions where the treatment is still  
11 in development, we've looked at gray literature  
12 related to that. So, I have here examples of gray  
13 literature including newborn screening program  
14 data, documents that have been submitted for drug  
15 approval -- that's what I mean by regulatory  
16 documents -- study protocols, and research that's  
17 in progress, which important to recognize is that  
18 there's a bunch of different places where you can  
19 find the gray literature ranging from trial  
20 registries or information submitted to the FDA, as  
21 well as conference and abstract proceedings, as  
22 well as talking to authors and study sponsors and

1 looking at other registries. So, some gray  
2 literature is, you know, we have methods where we  
3 can find them through searches of electronic  
4 databases and other things where we have to reach  
5 out to individuals and figure out how we're going  
6 to get things.

7                   We had very helpful comments from one  
8 of the directors of GRADE, who says that when they  
9 look at gray literature, they have a standardized  
10 form that gets sent out broad and wide for  
11 individuals who might have relevant unpublished  
12 data to submit. We've not done that before, but  
13 it makes the most sense in terms of really being  
14 able to catalog what unpublished data are out  
15 there and also to have a more formal way of  
16 requesting unpublished data.

17                   So, in terms of assessing it, there's  
18 obviously the data that we get directly from the  
19 newborn screening program, I consider to be the  
20 lowest-risk of bias, and by that I mean, you know,  
21 that's just, you know, following through the  
22 algorithm in terms of how many babies were



1 screened and how many babies tested positive and  
2 negative, and who ended up in the diagnostic  
3 confirmation process and what their outcomes are.

4           But, you know, for the other parts of  
5 gray literature, there's not easy ways to assess  
6 the risk of bias. So, let me just end this part  
7 by saying that we're going to continue to review  
8 the registries and so forth, and I think that we  
9 ought to mirror what's been developed for GRADE in  
10 terms of developing a standard way to collect  
11 relevant literature from those in the field. I  
12 think it's -- it'll just be a more transparent  
13 process, not to say that it will not be free from  
14 risk of bias, but I think it's just a more  
15 replicable process.

16           So, let me just open things up to  
17 questions and thoughts about the assessment that I  
18 just went through.

19           DR. CYNTHIA POWELL: Kellie.

20           DR. KELLIE KELM: I just -- Kellie  
21 Kelm. I just want to -- I understand the lowest -  
22 - your statement about the data from newborn

1 screening labs, then obviously the caveat from  
2 generally what is that literature or even trying  
3 to get information from drug trials where they  
4 tend to be silent is often you only hear about the  
5 positive things, that things that are negative or  
6 even neutral, we often can't get that information  
7 or they just don't make it available, and that  
8 makes it extremely difficult to understand the  
9 quality of it.

10 DR. ALEX KEMPER: I would say I'm  
11 especially concerned when we look at conference  
12 proceedings, and it's, you know, especially when  
13 you just have an abstract, and it's really hard to  
14 figure out what went in there and sometimes we get  
15 all excited and we find out that what's in there  
16 is really different than what was in there.

17 One of the things I jumped past that  
18 I'd like to ask you about is when I was talking to  
19 Dr. Bocchini, apparently the Advisory Committee on  
20 the Immunizations Practices uses data submitted to  
21 the FDA for approval of vaccines, but I'm not sure  
22 what your thoughts are about us being able to get

1 similar stuff that's been submitted to the FDA for  
2 approval.

3 DR. JOSEPH BOCCHINI: So, let me just  
4 add to that a little bit. What sometimes happens  
5 is that it's sort of like a drug that's been  
6 licensed by FDA, vaccines are licensed by FDA, but  
7 the studies that were done -- that were submitted  
8 to the FDA for that licensure have not yet been  
9 published. But the manufacturers are often  
10 willing to provide that data to ACIP workgroups  
11 confidentially to review that information so that  
12 they can prepare for a decision at the time of  
13 licensure about a vaccine recommendation. And I  
14 just wonder whether that's a similar process that  
15 could potentially be utilized here if a drug has  
16 been -- the trial data has been submitted to the  
17 FDA, the FDA is looking at the packet or has  
18 already decided to license the product, whether  
19 that might be helpful to the committee to have  
20 that data.

21 DR. KELLIE KELM: Kellie Kelm. I --  
22 I actually -- yeah, I don't know -- if you've seen

1 it -- talk a little bit more about specifically  
2 the method and how you do that -- how you  
3 communicate with the agency and the companies and  
4 see whether or not that's possible, because I  
5 currently don't know of the way to do that. But  
6 you might want to use that and see if we can -- if  
7 we can do that. So, because yeah, they --  
8 obviously there's information that winds up in,  
9 for example, the drug label. There are post-  
10 approval commitments like some of the studies, I  
11 think, for SMA that we talked about in the post-  
12 year was a post-rule commitment and I don't -- I  
13 think in a lot of cases, they may not actually  
14 need to provide that publicly at all, and, you  
15 know, there may not be a decision actually as to  
16 drug label or not, and that depends. So, that  
17 might be something where we might want to talk to  
18 the agency and say hey, you know, we know in the  
19 letter you've obligated the company to do this, is  
20 there some way for us to get that data? But maybe  
21 we can talk about how the other committee  
22 communicates with them and see if that's possible,

1 because I don't know.

2 DR. CYNTHIA POWELL: Beth Tarini.

3 DR. BETH TARINI: So, I -- when we  
4 went to the SMA review and started to use the gray  
5 literature, I thought that this would be our way  
6 sort of to help fix the issue of not having enough  
7 data. And then, I became concerned that we didn't  
8 know what we didn't know, and so -- and that --  
9 there were two issues. There was that, and there  
10 were others that knew something we did not. Now,  
11 we could have a conversation about whether or not  
12 in that meeting when the public comment period  
13 raised data about cases we knew nothing about and  
14 whether or not that was data we should have had  
15 access to or couldn't because of the way the trial  
16 was being done is one piece. The other is I think  
17 if we use gray literature, especially that around  
18 ongoing trials, that we have a sense of what we  
19 are getting and what we're not getting, so at  
20 least we know what we have may or not be complete,  
21 so -- so we don't make that assumption.

22 And then, I think we -- we don't

1 delude ourselves that just because it's being done  
2 in a trial that it will one day undergo the rigor  
3 of peer review, because my understanding is we've  
4 not yet seen the SMA data in peer review format,  
5 which I'm not saying does or does not make it less  
6 valid, but it certainly underlies an assumption if  
7 we assume it is always going to go to peer review  
8 and then we'll have this secondary validation  
9 coming.

10                   So, I'm not saying we shouldn't use  
11 it, I'm saying we should be clear about what we're  
12 getting and what we're not getting, and what  
13 assumptions we're making based on it. And I think  
14 your forum will be helpful in that regard, and I  
15 think the more we become familiar with it, these  
16 kinks may eventually be worked out at least to  
17 some degree.

18                   DR. CYNTHIA POWELL: Shawn  
19 McCandless.

20                   DR. SHAWN MCCANDLESS: Shawn  
21 McCandless for SIMD. Just to follow up on that  
22 and the earlier point, if one were going to get

1 access or ask for access to FDA data, I think it  
2 would be unwise to use that before the FDA had  
3 made a decision about a novel therapy because one  
4 would hate to initiate newborn screening based on  
5 our interpretation of the data that the FDA is  
6 looking at and then later have the FDA decide that  
7 -- that there's not -- that there's not going to  
8 be an approval for that drug. That puts us all in  
9 a very awkward position.

10 DR. ALEX KEMPER: Point well taken.

11 DR. CYNTHIA POWELL: Dr. Bocchini.

12 DR. JOSEPH BOCCHINI: Yeah, I'll just  
13 say it's typically after the FDA has made the  
14 approval so that compound is licensed and yet that  
15 data is not in the literature yet. It's been  
16 submitted, and FDA has utilized that data, but it  
17 has not yet been published.

18 DR. CYNTHIA POWELL: Alex, do you  
19 want to have the last word?

20 DR. ALEX KEMPER: Thank you. No, no.  
21 this is really helpful, and it's a work in  
22 progress to be continued, and I appreciate

1 everyone's level of engagement.

2 DR. JOSEPH BOCCHINI: So, now this  
3 meeting gets turned over to Catharine.

4 ACKNOWLEDGEMENTS FOR DR. JOSEPH BOCCHINI

5 DR. CATHARINE RILEY: Well, thank  
6 you, Dr. Bocchini. So, as you can see on the  
7 agenda, we wanted to reserve some time this  
8 afternoon to acknowledge Dr. Bocchini's many years  
9 of service as the Chair. So, we've put together a  
10 series of activities for the remainder of the  
11 meeting to honor you and say thank you. Before I  
12 introduce them, I just want to take this  
13 opportunity to personally say thank you. It's  
14 really been an honor and a privilege to work with  
15 you, and I truly value your wisdom, your passion  
16 for the field, your compassion for the populations  
17 that we serve, and I could go on. But I want to  
18 get to the actual festivities, so.

19 I would like to invite Alaina Harris  
20 up to the podium. So, Alaina is one of the HRSA  
21 staff members that has actually known and worked  
22 with Dr. Bocchini the longest, and if you don't



1 know Alaina, I wanted to take this opportunity  
2 also to acknowledge and highlight the incredible  
3 work that Alaina does for this committee. So, I  
4 want to say these meetings would not run the way  
5 they do without the hard work of Alaina. So,  
6 many, many thanks to her as well. So, with that,  
7 I will turn it over to you for the festivities.  
8 Thank you.

9 MS. ALAINA HARRIS: Great. Thank  
10 you. That was very nice of you, Catharine. Yeah,  
11 thank you for that kind introduction. So, Alex,  
12 do you want your -- okay. Now we can begin. As  
13 Dr. Riley noted, I have known -- part of our staff  
14 to have known Dr. Bocchini since the beginning of  
15 his time on our committee with myself and Jill  
16 here at the time, and I just want to say that at  
17 the time that I got to know him, Dr. Bocchini and  
18 I were basically just young children, right? So,  
19 today we'd like to honor Dr. Bocchini's Chair as -  
20 - I'm sorry -- tenure as Chair of the Advisory  
21 Committee on Heritable Disorders in Newborns and  
22 Children, and I just want to let you know since

1 Dr. Bocchini is from Louisiana and every February,  
2 he brings the committee Mardi Gras beads, that's  
3 why we're wearing beads today to celebrate them.  
4 So, I hope everybody has them and if not, I'm not  
5 a member of the Mardi Gras krewe, so I'm not going  
6 to throw them your way, but you can get them like  
7 out at the registration desk. Okay, now then.  
8 Over the next hour, we are going to have a number  
9 of people who have been impacted by Dr. Bocchini's  
10 leadership to acknowledge the work that he's done  
11 for the committee as Chair, and I'd first like to  
12 call on Joan Scott, the Director of the Division  
13 of Services for Children with Special Health Care  
14 Needs to say a few words on behalf of Dr.  
15 Bocchini, and we need you up here too.

16 MS. JOAN SCOTT: So, Dr. Michael  
17 Warren who is the Associate Administrator for the  
18 Bureau was unable to be here today. So, his loss  
19 is my gain, and so I get to make this presentation  
20 on behalf of the committee of HRSA and HHS to the  
21 benefit of all the nation's children. You have  
22 led the committee since 2011, and over the last

1 eight years, your dedication has been incredible.  
2 You have, over those eight years, led the  
3 committee through a lot of very difficult and  
4 important discussions, not just about conditions  
5 that get added onto the Recommended Uniform  
6 Screening Panel but how the committee does its  
7 business and how we can improve newborn screening  
8 through the nation to benefit all of our children,  
9 and you've done that work and led this committee  
10 not just with skill and expertise but with  
11 compassion and wisdom and kindness, and those are  
12 the qualities that we will remember you best for.

13                   So, on behalf of the committee and  
14 HHS, I'd like to present this to you and what it  
15 says is the Advisory Committee on Heritable  
16 Disorders in Newborns and Children to you as the  
17 Chair from 2011 to 2019, you have made a  
18 difference in the lives of newborns and their  
19 families with your wisdom, compassion, and  
20 generous spirit. Thank you for your many years of  
21 service in leadership to help the nation's infants  
22 and children. So, I want to give this to you, and

1 we have a little letter of commendation to go, and  
2 we can ship this to you, so you don't have to  
3 carry it. Thank you, Dr. Bocchini.

4 [Speaking off mic.]

5 MS. ALAINA HARRIS: Perfect. Okay.  
6 In the meantime, we are also going to hear from  
7 some committee members and some organizations reps  
8 who would like to say a few words. So, first up  
9 is Cindy Powell. And you can sit at the table if  
10 you want to or get up here, whatever.

11 DR. CYNTHIA POWELL: Thank you.  
12 Well, I certainly would like to thank Dr. Bocchini  
13 for sharing his wisdom and advise to ensure a  
14 smooth transition as we change committee Chairs.  
15 I think I mentioned to you on one of our first  
16 phone calls after the announcement was made that I  
17 was the incoming Chair that, you know, you have  
18 such a low-key approach but enable everybody to  
19 speak their mind and, I think, feel that they've  
20 participated in the discussions and final  
21 decisions being made, and I think, you know, my  
22 two years serving on the committee, that's not an

1 easy thing to do. I will continue to value your  
2 input as we go forward, especially as we have to  
3 consider some conditions for which you're  
4 extremely knowledgeable. And so, you know, we  
5 look forward to that, and although I know you  
6 still will have a lot of other responsibilities at  
7 your university, you know, understanding a little  
8 bit better now what the tremendous amount of work  
9 that you do behind the scenes and in between the  
10 meetings, I hope that now that you won't have to  
11 do as much of that, that you'll be able to spend  
12 more time with your grandchildren and enjoy  
13 Louisiana basketball and other activities. So, to  
14 use a common phrase from Louisiana, Laissez les  
15 bons temps rouler.

16 MS. ALAINA HARRIS: Next up is Dr.  
17 Brosco.

18 DR. JEFFREY BROSCO: So, you're  
19 probably going to hear themes from all of us about  
20 your style of leadership, because I think all of  
21 us have learned so much from, you know, there are  
22 different ways that you can lead a group, and I

1 think that yours is characterized by being clear,  
2 being calm, and being compassionate, right? So,  
3 we've had so many discussions over the years where  
4 someone thinks this and someone thinks that, and  
5 we're all over the place. At the end, you've done  
6 a wonderful job of sort of pulling it together and  
7 being clear about who we are, what matters to us,  
8 and where we're going. And we've also had some  
9 discussions that have been less than calm.  
10 There's been a lot of emotion, a lot of real  
11 deepfelt ideas about where we have to go and what  
12 we have to do next. And because of your calm  
13 presence there, it's always helped like we're  
14 still in control, things are not falling apart.  
15 We're having an appropriate discussion. We're  
16 coming back to a good place. And the compassion I  
17 think we've all heard and seen every time, and one  
18 of the things I've noticed is that I don't think  
19 there's ever been someone who has come to the  
20 podium to give a public comment that you haven't  
21 met with them afterward and talk to them, and it's  
22 so obvious that it's general caring. It really is

1 a wonderful example for all of us. So, thank you,  
2 Joe, for all that you've done for us.

3 MS. ALAINA HARRIS: Dr. Shone.

4 DR. SCOTT SHONE: So, Dr. Bocchini, I  
5 echo what Cindy and Jeff just said in terms of  
6 your -- your patience and your calm leadership.  
7 Throughout my time working with you both on the  
8 committee and prior, I always felt that you valued  
9 my opinion, my perspective, and what little  
10 experience I did bring to this topic, especially  
11 when we didn't agree on a topic, and I appreciate  
12 you always calling on me, even when I know you  
13 hoped my mic didn't work. And in response, I  
14 think you routinely challenged me with some  
15 controversial and difficult topics at times. But  
16 I appreciate you helping me reflect on newborn  
17 screening, the system, my own personal views, and  
18 how they -- how they overlap. I also appreciate  
19 in return us continuing talking newborn screening  
20 as a system as opposed to a test. And I'll just  
21 end by saying being on this committee was a career  
22 bucket list item for me. I was able to achieve it

1 a little earlier than I ever anticipated, and I  
2 appreciate you helping to facilitate that. And  
3 it's really been an honor to serve on this  
4 committee under your leadership. So, thank you  
5 very much.

6 MS. ALAINA HARRIS: Dr. Beth Tarini.

7 DR. BETH TARINI: So, I'm going to  
8 echo much of what was said. Dr. Bocchini, I can  
9 remember sitting for many years in the Chairs of  
10 the organizational representatives and, you know,  
11 having the opportunity to feel that the Chair of  
12 the committee who is focused admittedly so on the  
13 committee also cared about what the org reps  
14 thought and also allowed the org reps their  
15 opportunity to offer their perspective. That  
16 meant a lot to me. That's not how committees that  
17 can go hierarchically, if you will, often  
18 function. But I think that was emblematic of your  
19 leadership. So, I appreciate that. And I also  
20 appreciate the same care extending outside of the  
21 confines of the conference room when you would  
22 come up and see how I was doing and ask me about



1 what had transpired in my life in the interim.  
2 So, in my time as an org rep to a committee  
3 member, I felt that same level of respect and  
4 grace, and so I thank you for that, because I  
5 think that speaks a lot about your character.

6 MS. ALAINA HARRIS: And now, we're  
7 going to hear from Sue Berry. Is she here?

8 DR. SUSAN BERRY: Wow, that's a lot  
9 to follow, people. And I ended up writing some  
10 things down because I -- it's easy to get off  
11 task. But I -- I really just want to say thank  
12 you. Thank you for kind and respectful management  
13 of our discussions and for always listening,  
14 making sure everyone can be heard, for making the  
15 formality of these meetings almost seem normal,  
16 which is a difficult task indeed, and for always  
17 being compassionate and an attentive respondent to  
18 the families and others seeking the attention of  
19 the committee. That has been so key to the  
20 example that you -- you make for all of us. It's  
21 not always easy to herd this particular group of  
22 cats, but you keep us mindful of the goals, which

1 are the children and the families, and the persons  
2 affected with these conditions, and for that, we  
3 should all be grateful. And I just appreciate all  
4 you've contributed to the advancement of care for  
5 this people. So, thank you.

6 MS. ALAINA HARRIS: Next up, we're  
7 going to hear from Scott Grosse.

8 DR. SCOTT GROSSE: So, I came up with  
9 one word to describe you, avuncular. What does  
10 avuncular mean? Like an ideal uncle. Dictionary  
11 definition, someone who is affable and kind and  
12 supportive of those who are younger or less  
13 experienced, and you have manifested that to me  
14 personally and to many, many others. Thank you.

15 MS. ALAINA HARRIS: Next up is  
16 Natasha Bonhomme.

17 MS. NATASHA BONHOMME: A part of me  
18 just wants to say ditto to everything that's been  
19 said, but I won't be -- I won't be that short. I  
20 really just want to say thank you so much for  
21 really everything that you've done. You really  
22 have brought a type of leadership that comes from

1 kindness and empathy to this committee, which I  
2 really appreciate, and you know, you don't only  
3 say that families are important, but your actions,  
4 your being when families are speaking really show  
5 that, the way that you've followed up with  
6 families both after they've spoken here as well as  
7 families or advocates I've sent your way to say  
8 can you explain a little bit more about the  
9 committee and really have a conversation with  
10 them, all the way to just a couple of weeks ago  
11 giving up your Saturday to come and speak to a  
12 group of advocates. It's -- it's been impressive  
13 and inspiring to me, and so just thank you for  
14 that, and kind of on behalf of the different  
15 groups that we represent that attention and that  
16 respect hasn't gone unnoticed. So, thank you.

17 MS. ALAINA HARRIS: Next up, we're  
18 going to hear from Andrea Matthews, who was a  
19 member of our committee back in the day. She is  
20 not able to be here, so she has sent us a video,  
21 and if I can figure out how to get out of here.  
22 Yes, it was.

1 MS. ANDREA MATTHEWS: Hi, Dr.  
2 Bocchini. I just wanted to take a moment to say  
3 thank you for all the work that you've done on the  
4 committee and the great work leading us all to  
5 take care of the nation's babies. I want to  
6 personally thank you for making me feel welcome  
7 and always making me feel a part of the  
8 conversation. All that you do, you so fiercely  
9 led this committee and kept that babies and the  
10 families at the forefront. So, thank you so, so  
11 very much. I wish you all the best.

12 MS. ALAINA HARRIS: That's cute. All  
13 right. While I'm getting the slide set back up  
14 again, we are going to hear from the ACHDNC Chair  
15 Emeritus -- is that the right way to spell that --  
16 say that? Dr. Rodney Howell.

17 [Speaking off mic.]

18 DR. RODNEY HOWELL: I was told to  
19 write something, which I did. I'd like to make  
20 some comments about my dear friend, Joe Bocchini,  
21 but I'm also commenting a little bit about the  
22 committee since it seems an opportune time to say

1 a few words. I'm very pleased to be here today to  
2 recognize Dr. Joe Bocchini for his outstanding  
3 work as Chair of the Advisory Committee on  
4 Heritable Disorders of Newborns and Children on  
5 this occasion of your last day as Chair. It was  
6 my great pleasure to serve as the Founding Chair  
7 of this committee during its first eight years,  
8 and I am very much aware of the enormous amount of  
9 time and effort that Dr. Bocchini has devoted to  
10 this important work. He has exemplary diplomacy,  
11 which is essential to this position, as he and the  
12 committee have found now, and his leadership and  
13 judgment have been responsible to the advancement  
14 of this committee. His leadership has provided  
15 the committee to add many additional important  
16 conditions to the Recommended Newborn Screening  
17 Panel.

18                   It is also important -- very  
19 important for me at this time to emphasize the  
20 extraordinary value of the work of this committee  
21 and its distinguished leader in assessing the  
22 current state of our life-saving and life-changing

1 Recommended Uniform Panel. This could not have  
2 been possible without the very hard work, effort,  
3 and talent of all the staff at HRSA. It is clear  
4 that lives every day are saved as a direct result  
5 of the work of this committee.

6           As I travel around the world as  
7 President of the International Society of Neonatal  
8 Screening and work in many different countries on  
9 their newborn screening efforts, this program  
10 established by the United States is recognized as  
11 the standard for all the rest of the world. The -  
12 - we must also recognize the foresight and support  
13 of the United States Congress and our Presidents  
14 for developing and funding the Newborn Screening  
15 Saves Lives Act, and I think we're obviously  
16 thinking about that at the current time.

17           I wish to extend my very best wishes  
18 to the incoming Chair of this committee, and I'm  
19 sure that she will continue to provide outstanding  
20 leadership. We find ourselves at a time when  
21 there are many new life-saving drugs and programs  
22 which will provide the possibility of saving even

1 more babies when coupled with effective newborn  
2 screening. At such a time, we must discover and  
3 employ new patterns to carry out excellent  
4 evidence reviews, conduct pilot studies of panels  
5 of conditions instead of single conditions in  
6 order to increase the number of conditions for  
7 which newborn screening is extended to the lives  
8 of even more babies. I commend Dr. Bocchini for  
9 his outstanding leadership of this committee and  
10 feel assured that the vital committee will  
11 continue in its excellent work. Thank you very  
12 much.

13 MS. ALAINA HARRIS: Thank you so  
14 much, Dr. Howell. Next up, I'm going to call on a  
15 couple of people. If you just want to go ahead  
16 and come up to the microphone now. Marci Sontag,  
17 Alex Kemper, Michelle Puryear, Jelili Ojodu, and  
18 Nancy Green. Come up here.

19 [Speaking off mic.]

20 All right. So, we're going to hear  
21 from Dr. Sontag.

22 DR. MARCI SONTAG: Dr. Bocchini, I'm

1 going to be short and sweet. It seems hard to  
2 believe that you've been the leader of this helm  
3 for eight years. You have guided us and this  
4 committee and really the whole community through  
5 some tough discussions and some controversial  
6 decisions, and you have done so with  
7 professionalism and humor and grace, and I thank  
8 you for that. I think we've all learned so much  
9 from the grace that you've shown to this committee  
10 and this community. So, thank you very much for  
11 your leadership, and you will be very much missed.

12 DR. ALEX KEMPER: So, Dr. Bocchini,  
13 it's great to be able to come up here and make my  
14 remarks. So, let me begin by saying that many but  
15 not all of you may not know that in addition to  
16 the work that he's done on the Advisory Committee,  
17 Dr. Bocchini is one of the longest-serving  
18 Pediatric Department Chairs and he's also an  
19 Infectious Disease expert, and as I mentioned  
20 earlier too, he previously served on the Advisory  
21 Committee on Immunization Practices. So, with  
22 your infectious disease background in mind, I



1 appreciate the opportunity to inject my comments  
2 about you, and it only gets worse. So, I think we  
3 can -- I'll agree that your time spent leading the  
4 Advisory Committee has been spore-tacular, that  
5 you've maintained the culture -- culture, see --  
6 of respect for individuals and for the importance  
7 of evidence while also serving as a booster for  
8 public health through evidence-based  
9 recommendations. It's great to work with you  
10 because you make the importance of newborn  
11 screening contagious. I know that others in this  
12 room, including those who staph -- I'll say that  
13 again -- the Advisory committee feel the same way.  
14 I don't need to cell anyone in the room about your  
15 importance to newborn screening, but I'd like to  
16 add on personal note that you're really a fun guy.  
17 It's not -- I can keep going, right -- so, it's  
18 not a strain to put together these comments to  
19 thank you for your time and I'm sad that your time  
20 as Chair has come to the end. The time just flu  
21 by. And although we're excited to work with Dr.  
22 Powell when she becomes the next Chair, the fact

1 that you're agreed to offer to stay on to help us  
2 really augers for the future -- that's -- I  
3 thought that was pretty good. So, it's really --  
4 it's hard for me to capture respect for you in  
5 these measly words, so I just want to say a  
6 deepfelt thank you.

7                   Dr. Michele Puryear: Hi. So, before  
8 I came here, Joe knows this, I was part of the  
9 National Vaccine Program. And I'm not sure why  
10 Marina Weiss put an infectious disease  
11 representative in the Newborn Screening Saves  
12 Lives Act of 2008, but she did, and I thought of  
13 Joe. I -- I don't know if you know this, but I  
14 had received a grant from CDC to write about or  
15 educate physicians on risk communication about  
16 vaccines, and I -- somebody introduced me to Terry  
17 Davis, who worked with Joe, who was a health  
18 educator, and she said, "Screw physicians. What  
19 you need to do is educate parents." And she was a  
20 health literacy expert, and that was -- that began  
21 my relationship with Joe. So, when I saw  
22 infectious disease representative, I knew who to

1 nominate for this committee because he came with a  
2 view about family centeredness, understood the  
3 importance of families, of course, and understood  
4 the importance of education. And so, I'm going to  
5 miss you. Anyway, good luck. Hugs to Terry.

6 DR. NANCY GREEN: Well, Dr. Bocchini,  
7 actually I had -- I was wondering if I could make  
8 a comment about the discussion about harms. But,  
9 since I have the opportunity, I promise I won't do  
10 that. I just -- you got me, yeah. It's hard to  
11 do. Anyway, I wanted to thank you. You know, it  
12 never fails to strike me how much the workings,  
13 the personality of a committee really does come  
14 under the influence of the Chair, and we've had  
15 two very different styles, having been on this  
16 committee or associated with the committee for a  
17 long time, and your style has just been marvelous,  
18 and really -- as has Dr. Howell's -- and, you  
19 know, it's been very thoughtful and I think if I  
20 reflect on the -- the theme of the committee over  
21 your tenure, I would say it's thoughtful, which  
22 is, you know, really quite a remarkable aspect of

1 this committee and how it's gone and where it's  
2 going. So, thank you very much, and we'll talk  
3 about harms another time.

4 MR. JELILI OJODU: Dr. Bocchini,  
5 thank you so much for your leadership over the  
6 years. We, as a community, certainly appreciate  
7 everything that you've done. A number of things  
8 come to mind when thinking about your leadership,  
9 how you are able to show empathy as a number of  
10 folks have echoed, and I don't want to echo a  
11 number of things that have been echoed already,  
12 but it's remarkable. No one will accuse you of  
13 not giving them an opportunity of not only  
14 speaking their minds but being able to share their  
15 thoughts as well. So, thank you selfishly for  
16 allowing us, the newborn screening community, to  
17 be able to have a public health impact as part of  
18 everything that is being done here. I know that,  
19 as Joan Scott said, that there have been a number  
20 of things that we have worked behind the scenes to  
21 make sure that a number of voices get heard, and  
22 we certainly appreciate it. And then, to Dr.

1 Powell, congratulations. We look forward to  
2 working with you, and thank you for many years of  
3 service, Dr. Bocchini.

4 MS. JANA MONACO: Thank you. I'm  
5 glad I got a moment to just say how much I  
6 appreciate your leadership with this committee on  
7 behalf of all families and parents who need a  
8 voice and who can't be here, and I know they would  
9 if they could. But as you know when you're  
10 dealing with rare diseases, especially these  
11 kinds, sometimes, like me, just to drive up the  
12 beltway can be a little bit of a challenge. But  
13 through it all, I think you came into your  
14 position right when things were really tumultuous  
15 at our home with Stephen and having a lot of his  
16 surgeries at the time, but through it all, you  
17 have been such a profound voice. You continued  
18 what Rod did, and you definitely made families'  
19 voices very important, and you listened. And I'm  
20 such a proponent of families and patient- and  
21 family-centered care, and you exhibited that here,  
22 and I always appreciate that. I hope that my

1 children will always be a reminder for there can  
2 never be complacency, because one of my fears as  
3 I've watched as a parent over the years and seeing  
4 the changes in newborn screening, the expansion,  
5 and all the babies that are caught and thriving  
6 and living life, sometimes I fear that the  
7 Stephens of the world are going to be forgotten or  
8 the capacity of these conditions and what they can  
9 do might be forgotten. So, thank you for letting  
10 them be a reminder of where we never want to go  
11 and where we want to look forward to and for the  
12 rest of those conditions that are just waiting.  
13 Thank you for tweaking everybody's brains and  
14 getting everybody to think and really look within  
15 themselves and what's out there and enabling us to  
16 take what textbooks say and what families say and  
17 trying to bring them together. So, I wish you all  
18 the best and I thank you, and I just have the  
19 utmost pride and gratitude to this committee and  
20 for the families that don't even know it exists  
21 but they're benefiting, and that's why we're all  
22 here. So, thank you, and good luck, and I look

1 forward to meeting you, Cynthia. Thanks.

2 MS. ALAINA HARRIS: Those were really  
3 nice, which obviously makes sense. So, I also  
4 want to let you know, Dr. Bocchini, that we have  
5 made you a scrapbook, and it includes photos from  
6 over the years that you've been a member of this  
7 committee and Chair of this committee. It also  
8 includes previous committee members' notes to you,  
9 including Dr. Don Bailey, Dr. Charlie Homer, and  
10 Dr. Ed McCabe, who also submitted their thoughts  
11 to you -- about your service here. We've also  
12 included notes and pictures of people in the  
13 audience today. FYI, if you have not had a chance  
14 to thank Dr. Bocchini in the scrapbook, we're  
15 going to keep it out there with the Polaroid  
16 camera and some notes for you to do. So, do that  
17 please. But there's a lot in here too.

18 All right. And then just be -- also,  
19 we've got cake. So, maybe I should have started  
20 off with that. So, I hope you all stick around to  
21 have some cake after this part until the workgroup  
22 meetings start at 3:30. And I do just want to

1 say, Dr. Bocchini, thank you. I have a personal  
2 thanks for you. I'm sorry, okay. My personal  
3 thanks to you for your compassion with -- with me  
4 and other people and also for your service to the  
5 families, clinicians, the laboratorians, policy  
6 makers, but especially to newborns and children in  
7 our country. So, I am just so grateful for having  
8 known you since we were children and for being  
9 part of your tenure as the ACHDNC Chair. So,  
10 thanks. And now I'm turning it back over to you.

11 DR. JOSEPH BOCCHINI: All right.  
12 Well, first of all, I'm overwhelmed, so I just  
13 want to thank you all for all your kind words and,  
14 I mean, this is really very special to me. I want  
15 to tell you that this has been a real honor and a  
16 privilege to be a part of this committee, and I am  
17 so happy that Rod and Michele are here today,  
18 because I wouldn't be here without them. And I  
19 will tell you that following the Inaugural Chair  
20 of this committee, who did so much to establish  
21 the way it worked and to make it so successful was  
22 a real -- kind of gave me pause, because the only



1 thing I could think of was don't mess this up  
2 because Dr. Howell had really established the way  
3 this committee operated and established with  
4 Michele how effective it has been, and so my task  
5 was really to build on their success and to kind  
6 of grow the committee based on things that were  
7 changing in the newborn screening community and so  
8 I took on that task knowing that if I messed up at  
9 all, Dr. Howell would call me. So, I figured that  
10 I'd be okay.

11                   So, I do want to say that I agree the  
12 committee has many accomplishments over these past  
13 years, but I don't view them as mine. I view them  
14 as the committee's accomplishments, and I think if  
15 I was able to play a role in making things happen,  
16 it was because my goal was to enable everybody to  
17 weigh in and use whatever we could put together to  
18 make the best decisions about whatever topic we  
19 were dealing with, and I think my goal always is  
20 to consider what's the right thing to do, and then  
21 to find the way to do that. And I think one of  
22 the most important things, because as a federal

1 committee working with states, working with  
2 different stakeholders and different groups, it's  
3 really important that we work together and that we  
4 collaborate and that we try and find ways to  
5 understand what the -- what issues each group is  
6 facing and find ways to try and overcome them  
7 together. So, I think one of the main things that  
8 I think is important to me was developing  
9 collaborations and building on relationships and  
10 working together in such a way that we would  
11 accomplish what we -- what we wanted to over time.

12 I've got to thank a lot of people. I  
13 mean, I think Dr. Howell, Dr. Puryear, I mean,  
14 both of you were really essential in building this  
15 committee, but also giving me this opportunity,  
16 and I really thank you both for that, because it  
17 think that -- that's been a good part of what I  
18 feel has been really fun and -- and really  
19 rewarding for me. And there's another term in  
20 Louisiana, it's called lagniappe, and so when you  
21 -- when something happens and it's good and then  
22 you get something extra, that's lagniappe. And

1 so, these eight years have been lagniappe. So, I  
2 really appreciate that.

3 I think Dr. Howell said it best.  
4 There's no better group of people to work with. I  
5 think that. So, from the beginning with Dr. Lu,  
6 Dr. Warren, and then Joan Scott, Debi Sarkar,  
7 Catharine Riley, Alaina Harris -- these are  
8 skilled professionals who are passionate and  
9 committed to their work, and the reason these  
10 committee meetings run so well is because of them,  
11 and I think that they've been very effective in --  
12 in building what we need to make this -- this  
13 committee work. So, I owe a great deal of thanks  
14 to all of you for everything that you have done.

15 Committee members, I mean, this is a  
16 wonderful committee. I think the expertise that's  
17 demonstrated across the table here is just  
18 incredible. I mean, between public health,  
19 laboratorians, clinicians, all the people who are  
20 on this committee, the different federal partners,  
21 everybody has contributed effectively to decisions  
22 that are made by this committee. And, Annamarie,

1 you're one of the long list of families --  
2 representing families who have really given -- and  
3 Jana is another one who have given great depth to  
4 this committee and an understanding of the real  
5 focus of what we're going, which is the newborn  
6 infant. And so, I think that's been really a very  
7 special part of this committee. So, it's really  
8 the work that you've done that has made this  
9 committee so successful, and so I need to thank  
10 you all for doing that. You have provided  
11 support. You've provided guidance. You've  
12 provided advise, and most importantly, your  
13 expertise to help make decisions work.

14 I also think that the organizations  
15 representatives have played an incredible role in  
16 the effectiveness of this committee because you  
17 each have brought a perspective that has been  
18 important. In many cases, it's included the  
19 public, like with Natasha over the years, and the  
20 different organizations that are relevant to have  
21 relevant interest in newborn screening, and I  
22 think that's really helped the committee. Many of

1 you have participated in discussions that the  
2 committee has had and have helped frame some kinds  
3 of things that the committee has done. You've  
4 worked on the workgroups, and so, I think that's  
5 all been very beneficial to us, and I think it's a  
6 good model for how a FACA committee should operate  
7 and how it can be effective in reaching its goal.

8           And it's really interesting that  
9 we've certainly seen a tremendous change over the  
10 last eight years on how based on in part the work  
11 of the committee and understanding of things, as  
12 things have changed, that there are more resources  
13 being put into understanding how to bring a  
14 condition up for newborn screening, how to provide  
15 research opportunities, pilot study opportunities,  
16 and other things that have all been part of what  
17 this committee has considered important and  
18 necessary to bring a condition forward. And so,  
19 that's been a really nice transition to see. So,  
20 I think that's been really another good part of --  
21 of the -- of the committee.

22           Cindy, I think you're a great choice

1 to continue this committee. I think you have all  
2 of the skills needed to continue this in a  
3 Dr. Howell tradition, and so I'll look forward to  
4 helping you in any way I can, but I think that you  
5 will put your stamp on this committee and move it  
6 forward in a very nice way. So, I think the  
7 future is really strong for this committee and  
8 this -- and in meeting the mission that it has.

9           And lastly, I've used this quote in  
10 multiple talks that I've given about newborn  
11 screening because I think Dr. Howell wrote this in  
12 an article that -- that he published a number of  
13 years ago, and I think this is a key thing is that  
14 we need collaborative efforts between parent  
15 advocates, advocacy groups, professional  
16 organizations, investigators, Federal Advisory  
17 Committees, and state public health programs.  
18 These are needed to successfully improve the  
19 health of newborns and children to newborn  
20 screening. And that's what we're all about. And  
21 so, Rod, this is still as true today as when you  
22 wrote it.

1                   Again, I want to thank you all very  
2 much. I'm overwhelmed. Thank you.

3                   [Applause.]

4                   DR. CATHARINE RILEY: Okay. Good  
5 afternoon. This is Catharine Riley. So, thank  
6 you all for participating in the festivities. And  
7 like Alaina mentioned, there is cake, so please  
8 stick around for a bit and partake in that. I'll  
9 be putting a slide up here in a minute that lists  
10 the workgroup -- where the workgroups are meeting.  
11 For those of you that don't have that information  
12 yet, we'll get a slide up that has the room  
13 numbers if you'd like to join one of those  
14 meetings. I would also like the committee members  
15 and org reps to stick around just for a few  
16 minutes. We're going to try to get a couple of  
17 group photos if we can before we disperse to the  
18 workgroup meetings this afternoon. And then, of  
19 course, we'll be back for day 2 tomorrow, so we  
20 look forward to seeing everyone again. Thank you.  
21 [Whereupon the meeting was concluded.]