

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN
NEWBORNS AND CHILDREN
IN-PERSON/WEBINAR

HRSA HEADQUARTERS 5600 FISHERS LANE
ROCKVILLE, MARYLAND 20852 (Pavilion)
Thursday, May 4, 2023
10:01 a.m.

Table of Contents

1

2 COMMITTEE MEMBERS 3

3 EX – OFFICIO MEMBERS 5

4 ACTING DESIGNATED FEDERAL OFFICIAL 7

5 ORGANIZATIONAL REPRESENTATIVES 7

6 Welcome and Roll Call 11

7 Newborn Screening and Early Intervention 23

8 Research on Benefits and Harms from Uncertain Results 74

9 Federal Agency Collaboration to Improve Newborn Screening

10 Data Integration 113

11 Sickle Cell Data Collection (SCDC) Program 114

12 Implementing the Blueprint: Implications on Newborn Screening 121

13 CDC’s Ed3N Project 132

14 Public Comments 175

15 Kathleen Smith 175

16 Anna Grantham 178

17 Vanessa Werner 182

18 Stacy Pike-Lagenfeld 185

19 Joanne Kurtzberg 189

20 Matt Blum 194

21 Pamela Jinsky 197

22 Danae Bartke 201

23 Dean Suhr 203

24 Niki Armstrong 207

25 Paul Melmeyer 211

26 Elisa Seeger 214

27 Kim Stephens 218

28 Lesa Brackbill 221

29 Annie Kennedy 225

30 Acknowledgments and Awards 238

31
32

COMMITTEE MEMBERS

Kyle Brothers, MD, PhD

Endowed Chair of Pediatric Clinical and
Translational Research

Associate Professor of Pediatrics
University of Louisville School of Medicine

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD

Deputy Director, Division of Genetics
New York Department of Health

Jannine D. Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President
The Chromosome 18 Registry & Research Society

COMMITTEE MEMBERS

(continued)

Jane M. DeLuca, PhD, RN

Associate Professor

Clemson University School of Nursing

Metabolic Nurse Practitioner

The Greenwood Genetic Center

M. Christine Dorley, PhD, MS

Assistant Director, Laboratory Services

Tennessee Department of Health

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

COMMITTEE MEMBERS

(continued)

Shawn E. McCandless, MD

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Health care Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

EX - OFFICIO MEMBERS

(continued)

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food & Drug Administration

Kellie B. Kelm, PhD

Director, Division of Chemistry and Toxicology Devices,

Office of In Vitro Diagnostics and Radiological Health

Health Resources & Services Administration

Michael Warren, MD, MPH, FAAP

Associate Administrator

Maternal and Child Health Bureau

National Institutes of Health

Diana W. Bianchi, MD

Director, Eunice Kennedy Shriver National Institute of Child

Health and Human Development

ACTING DESIGNATED FEDERAL OFFICIAL

LCDR Leticia Manning, MPH

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

ORGANIZATIONAL REPRESENTATIVES

American Academy of Family Physicians

Robert Ostrander, MD

Valley View Family Practice

American Academy of Pediatrics

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics, Community

Health Improvement Texas Department of State Health Services

American College of Medical Genetics & Genomics

Robert Best, PhD, FACMG

Interim Chief Executive Officer

1 **ORGANIZATIONAL REPRESENTATIVES** (continued)

2
3 **American College of Obstetricians & Gynecologists**

4 Steven J. Ralston, MD, MPH

5 Chair, OB/GYN Pennsylvania Hospital

6
7 **Association of Maternal & Child Health Programs**

8 Karin Downs, RN, MPH

9 Maternal and Child Health Director (retired) Massachusetts

10 Department of Public Health

11
12 **Association of Public Health Laboratories**

13 Susan M. Tanksley, PhD

14 Manager, Laboratory Operations Unit

15 Texas Department of State Health Services

16
17 **Association of State & Territorial Health Officials**

18 Scott M. Shone, Ph.D., HCLD(ABB)

19 Director

20 North Carolina State Laboratory of Public Health

1 **ORGANIZATIONAL REPRESENTATIVES** (continued)

2 **Association of Women's Health, Obstetric and Neonatal Nurses**

3 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

4 Health Board Director

5 Vice President, Research Officer

6 University of North Carolina Health

7
8 **Child Neurology Society**

9 Margie Ream, MD, PhD

10 Associate Professor

11 Director, Leukodystrophy Care Clinic

12 Director, Child Neurology Residency Program

13 Nationwide Children's Hospital, Division of Neurology

14
15 **Department of Defense**

16 Jacob Hogue, MD

17 Lieutenant Colonel, Medical Corps, US Army

18 Chief, Genetics, Madigan Army Medical Center

19
20 **Genetic Alliance**

21 Natasha F. Bonhomme

22 Vice President of Strategic Development

1 **ORGANIZATIONAL REPRESENTATIVES** (continued)

2 **March of Dimes**

3 Siobhan Dolan, MD, MPH, MBA

4 Professor and Vice-Chair, Genetics and Geonomics Department of
5 Obstetrics, Gynecology, and Reproductive Science

6 Icahn School of Medicine at Mount Sinai

7
8 **National Society of Genetic Counselors**

9 Cate Walsh Vockley, MS, LCGC

10 Senior Genetic Counselor

11 Division of Medical Genetics

12 UPMC Children's Hospital of Pittsburgh

13
14 **Society for Inherited Metabolic Disorders**

15 Susan A. Berry, M.D.

16 Professor, Division of Genetics and Metabolism

17 Department of Pediatrics

18 University of Minnesota

Welcome and Roll Call

1
2 DR. CALONGE: If everyone could try to find
3 their seat, we will get started. I'd like to welcome
4 you all to the second meeting on the Advisory Meeting
5 on Heritable disorders in Newborns and Children in
6 2023.

7 OPERATOR: Recording in progress.

8 DR. CALONGE: This is our first in-person
9 meeting this year and it really is great to see so
10 many folks in the room and I forgot my slide.

11 As we gather in person at 5600 Fishers
12 Lane, I would like to open the meeting by
13 acknowledging that the land and water on which we are
14 meeting is taking--where our meeting is taking place
15 was and still is inhabited and cared for by the
16 Susquehanna Tribe and Piscataway Tribe peoples--the
17 Piscataway peoples including the Piscataway-Conoy
18 Tribe and the Choptico band of the Piscataway Indian
19 Nation.

20 We are grateful for their past and
21 continued stewardship of this land, and we pay our
22 respects to Maryland's indigenous community and their
23 elders, both past and present as well as future
24 generations.

25 I'm also excited to welcome a new member
26 who is joining us virtually today and we look forward
27 to when she can join us in person. Dr. Christine

1 Dorley is the Assistant Director of the Newborn
2 Screening Laboratory for the Tennessee Department of
3 Health Division of Laboratory Services. She has been
4 with the laboratory for 28 years, serving in
5 different capacities. Dr. Dorley has been
6 instrumental in migrating the NBS laboratory to a 7-
7 day work week, with a significant decrease in
8 turnaround time.

9 Under her leadership, the laboratory has
10 been an early adopter of screening for disorders
11 under the Recommended Uniform Screening Panel. She is
12 a member of the Association of Public Health
13 Laboratories, serving on several Committees including
14 new steps in hemoglobinopathies laboratory workgroup.

15 She received the APHL Everyday lifesaver
16 award in 2021. She is also a contributing faculty
17 member at Waldon University, teaching masters and
18 doctorate level students courses on epidemiology and
19 public health. We welcome her and can't wait for her
20 to be sitting at the table with us.

21 I also want to acknowledge and welcome
22 a new Organizational Representative, but I want to
23 start by thanking Dr. Gerald Barry for serving on the
24 Org Rep for this Society for Inherited Metabolic
25 Disorders and welcome Dr. Sue Berry as the new
26 Organizational Rep for SIMD. She will be joining us
27 in person tomorrow and virtual today. She is a
28 Professor of Pediatrics at the University of

1 Minnesota and a member of the Division of Genetics
2 and Metabolism.

3 She is a Fellow of the American Academy of
4 Pediatrics and a Founding Fellow of the American
5 College of American Genetics and Genomics. She is a
6 current President of the SIMD and a member of the
7 Steering Committee for the newborn screening
8 translation research network. She is a member of the
9 Board of Directors for the National Organization for
10 rare disease and for the National PKU Alliance and is
11 currently PI of their PKU patient registry. She has
12 been a longstanding liaison to the Newborn Screening
13 and Genetics and Public Health Committee for the
14 Association of Public Health Laboratories and was
15 honored to receive the clinician champion award in
16 newborn screening from that organization at their
17 2022 annual symposium.

18 She has a particular interest in providing
19 management for persons with inborn errors in
20 metabolism and has a longstanding interest in
21 improvement in their care through early diagnosis and
22 treatment and so we welcome her and glad you are
23 joining us virtually today and Susan I look
24 forward to seeing you in person tomorrow. With that,
25 I'm going to turn things over to Leticia for the
26 rollcall.

27 MS. MANNING: Good morning everyone. So, I'm
28 going to start off with the roll-call. From the

1 Agency for Healthcare Research and Quality, Kamila
2 Mistry, I believe she's virtual.

3 DR. MISTRY: Yes, I'm here. Thank you.

4 MS. MANNING: Kyle Brothers?

5 DR. BROTHERS: Here.

6 MS. MANNING: Michele Caggana?

7 DR. CAGGANA: Here.

8 MS. MANNING: Ned Calonge?

9 DR. CALONGE: Here.

10 MS. MANNING: Carla Cuthbert?

11 DR. CUTHBERT: I'm here.

12 MS. MANNING: Jannine Cody?

13 DR. CODY: I'm here.

14 MS. MANNING: Jane DeLuca?

15 DR. DELUCA: Here.

16 MS. MANNING: Christine Dorley, I believe
17 she's virtual.

18 DR. DORLEY: Here.

19 MS. MANNING: From the Food and Drug
20 administration, Kellie Kelm?

21 DR. KELM: HERE

22 MS. MANNING: From the Health Resources
23 and Services administration, Michael Warren?

24 DR. WARREN: Here

25 MS. MANNING: Jennifer Kwon?

26 DR. KWON: Here.

27 MS. MANNING: Ashutosh Lal?

28 DR. LAL: Here.

1 MS. MANNING: Shawn McCandless?

2 DR. MCCANDLESS: Here.

3 MS. MANNING: From the National Institution of
4 Health, Melissa Parisi?

5 DR. PARISI: Here.

6 MS. MANNING: Chanika Phornphutkul? I think
7 she's not here.

8 And for our organizational representatives.
9 From the American Academy of Physicians, Robert
10 Ostrander? I believe he's virtual.

11 Dr. OSTRANDER: Here.

12 MS. MANNING: From the American Academy of
13 Pediatrics, Debra Freedenberg?

14 DR. FREEDENBERG: Here.

15 MS. MANNING: From the American College of
16 Medical Genetics, Marc Williams. He's notified me he
17 will be attending later this morning. From the
18 American College of Obstetricians and Gynecologists,
19 Stephen Ralston.

20 (No Response.)

21 From the Association of Maternal and Child
22 Health Programs, Karin Downs?

23 MS. DOWNS: Here.

24 MS. MANNING: From the Association of Public
25 Health Laboratories, Susan Tanksley.

26 DR. TANKSLEY: Here.

27 MS. MANNING: From the Association of State
28 and Territorial Health Officials, Scott Shone.

1 DR. SHONE: Here.

2 MS. MANNING: From the Association of
3 Women's Health, Obstetric and Neonatal Nurses,
4 Shakira Henderson.

5 (No response.)

6 From the Child Neurology Society, Margie
7 Ream.

8 DR. REAM: Here.

9 MS. MANNING: From the Department of
10 Defense, Jacob Hogue.

11 DR. HOGUE: Here.

12 MS. MANNING: From the Genetic Alliance,
13 Natasha Bonhomme.

14 MS. BONHOMME: Here.

15 MS. MANNING: From the March of Dimes,
16 Siobhan Dolan.

17 DR. DOLAN: Here.

18 MS. MANNING: From the National Society of
19 Genetic Counselors, Cate Walsh Vockley.

20 MS. VOCKLEY: I'm here.

21 MS. MANNING: And for the Society of
22 Inherited Metabolic Disorders, Sue Berry. All right.
23 Thank you for bearing with me through rollcall.

24 Okay, I just want to go over, just as a
25 reminder for folks around Ethics and Conflicts of
26 Interest. Please remember we are Advisory to the
27 Secretary of HHS and if you receive inquiries about--
28 you may receive inquiries about the Committee and so

1 you have to consider when to recuse yourself and in
2 all matters likely to affect the financial interest
3 of any organization with which you serve as an
4 officer, a director, trustee, or general partner,
5 unless you are also an employee of the organization,
6 or unless you have received a waiver from HHS
7 authorizing them to participate.

8 So that's just a reminder for folks. Okay.
9 In regards to meeting participation, all Committee
10 meetings are public and open to the public, meetings
11 and agenda and topics are announced in the Federal
12 Register so that the public has the opportunity to
13 participate in meeting discussions. If the public
14 wishes to participate, they can do so by following
15 the instructions within the Federal Register. Only
16 with advanced approval of the Chair or DFO may public
17 participants question Committee members or other
18 presenters. Public participants may submit written
19 statements. Also, public participants should be
20 advised that Committee members are given copies of
21 all written statements submitted by the public.

22 As a reminder, it is stated in the FRN as
23 well as the registration website that all written
24 public comments are part of the Official Meeting
25 Record and are shared with Committee members. Any
26 further public participation will be solely at the
27 discretion of the chair and the DFO, the Designated
28 Federal Official.

1 So now I'm going to do, you know, kind of the
2 visitor's talk for those of you that are physically here
3 in the building at 5600 Fishers Lane. Please note that
4 you only have access to this room, the pavilion area
5 outside of this room, the restrooms and if there's any
6 meeting rooms which we won't be using today, okay.

7 So, don't try to go up the elevators or wander
8 around the building. You are permitted to stay within
9 this vicinity. If you need to leave, outside of the
10 building, outside--you will be required to go through
11 security screening again and you'll require a HRSA
12 escort. We do have HRSA staff that are here to help you.
13 They have the escort badge that they're wearing but
14 please allow for time to get back into the building
15 because it may take now up to 15 minutes to go through
16 security and come back in within the building.

17 Okay, visitors are not allowed to take any
18 video or photography in the building. In case of an
19 emergency please exit through the front door from
20 which you came in. Cross the street and there's a
21 parking lot and there will be an area where we will
22 be meeting to make sure, you know, everyone is safely
23 out of the building. Please do not take any
24 nonessential items with you if there is an
25 evacuation, as it could delay your reentry into the
26 building. But you will need to take that ID so you
27 can get back in, so remember that.

28 Okay and this is just a map of the building.

1 There's that parking lot and you'll just cross over
2 to the parking lot but you'll see all of us. We'll
3 all be going in the same place.

4 So, this slide maybe should have been
5 presented earlier but I can see that you all figured
6 out how to work the mics so very good. Remember when
7 you're speaking, turn it on and speak at the nearest
8 microphone on the table. I believe everyone has their
9 tent cards. And we do have folks participating in
10 virtually and so we will be looking for raised hands
11 during Committee discussion and we'll call on you
12 during that time. A reminder for those folks that are
13 participating virtually, the audio will come through
14 your computer speakers. There's no call in option,
15 unless it was sent via the email. If you are unable
16 to access the audio or microphone through your
17 computer, a conference line has been sent to you
18 through your email.

19 Please speak clearly. Remember to state your
20 name to ensure proper recording for the Committee
21 transcript and minutes. Please remember to use the
22 "raise your hand" feature when wanting to make
23 comments for questions. If you're having technical
24 difficulties, please reopen the webinar using a
25 different browser and we also have wonderful folks
26 that are handling, I say that the special hands
27 behind the screen and so if you are having technical
28 issues, please feel free to reach out to them via the

1 email that was sent to you.

2 Okay, this is just a reminder, all right. I
3 do want to take a moment to remind folks of future
4 meetings. They are posted on the website. Our next
5 meeting is scheduled for August 10th through the
6 11th. It will be virtual for all participants. In
7 November, November 2nd-3rd it will be in-person with
8 telecast options so similar to what we are doing
9 today. And then in February of 2024, I know you guys
10 are like "2024!" February 8th-9th it will be virtual,
11 and you can find that on our Committee website. And
12 now, I'm going to turn it back over to Ned.

13 DR. CALONGE: Thanks, Leticia. I'm going to go
14 through Committee business quickly and give you a
15 preview of today's meeting. Just to remind you, in
16 February's meeting the Committee voted on whether to
17 recommend to the Secretary to include Krabbe disease
18 on the RUSP. I wanted to acknowledge this was a
19 difficult vote and ultimately the Committee did not
20 recommend including Krabbe on the RUSP at this time.
21 I've had the opportunity to meet with the Krabbe
22 disease nominators to discuss the items in the Chair
23 letter. The Chair letter is available in your packet
24 and briefing book and in the ACHDNC website. Also, at
25 our last meeting the Committee voted whether to move
26 DMD to full evidence review and did not recommend
27 that full evidence review at this time.

28 I also had the opportunity to meet with the

1 DMD nominators to discuss the items identified in the
2 chair letter. The additions to the Muscular Dystrophy
3 Chair Letter can be found in your briefing book and
4 on our website. In each of the Chair Letters to our
5 nominators and on our calls, information was provided
6 on the process to resubmit nominations and what is
7 needed to inform future votes. The Krabbe disease
8 nominators or the DMD nominators choose to resubmit
9 the Committee's evidence review group with a
10 nomination prior authorization workgroup will be
11 reconvened to review the new evidence and tomorrow we
12 will talk a little bit about what an expedited review
13 process might look like when a number of items in the
14 requests looks like they could be completed within a

15 As far as the minutes for the meeting, I want
16 to thank the Committee members and organizational
17 reps for reviewing the February 2023 minutes. We've
18 made--we've had some Committee members provide some
19 comments which we are working to include in the
20 minutes, and we will review those and vote on them
21 tomorrow. So, I wanted to announce a National Academy
22 of Science, Engineering, and Medicine Meeting.

23 On June 7th there will be a meeting workshop
24 on next generation screening, The Promise and Perils
25 of DNA Sequencing of Newborns at Birth. This will be
26 a hybrid workshop that will examine the utilization
27 of DNA sequencing as a supplement to newborn
28 screening for treatable but not clinically evidence

1 conditions in the newborn phase. The overarching
2 goals of the workshop are to explore the current use
3 of newborn DNA sequencing as well as the known
4 expected benefits, potential harms, ethical and data
5 security and ownership issues and equity and access
6 to screening. The workshop is open to the public.
7 Registration is required and in your briefing book is
8 a link to the workshop registration.

9 To look at today, this morning we're going to
10 look at some broad newborn screening topics,
11 including research and policy implications that can
12 improve our work. We're going to talk about newborn
13 screening and intervention and research on benefits
14 and harms from uncertain results. And after lunch
15 we'll focus on a federal agency collaboration to
16 improve newborn screening data integration including
17 a discussion of the sickle cell data collection
18 program, a talk on implementing the blueprint and
19 implications on newborn screening and then CDC's Ed3N
20 Project, Enhancing Data-Driven Disease Detection in
21 Newborns Project. And we'll end the day with public
22 comments which we think will be a great segue to
23 tomorrow's discussions.

24 Tomorrow our goal will be to address a
25 variety of Committee policies and procedures that
26 have arisen in the last year or so, including an
27 update from the Prioritization and Capacity
28 workgroup. We're going to revisit and discuss our

1 changes to the ACHDNC Decision Matrix. We're going to
2 talk about ad hoc topic group ideas as this is where
3 we're moving from standing workgroups to more task-
4 oriented workgroups and discuss where we want to put
5 our efforts that could include, or should include
6 conflict of interest, work, and then topics that were
7 identified by the Committee at previous areas and
8 then we will finish with new business.

9 Newborn Screening and Early Intervention

10 So, we're going to start our day learning
11 about newborn screening and early intervention and
12 we're pleased that Dr. Don Bailey, a former Committee
13 member and Dr. Elizabeth Reynolds, both of whom are
14 from RTI International, are going to describe how
15 early intervention and newborn screening have similar
16 goals and the benefits of integration and
17 coordination of the two systems.

18 Dr. Bailey is a distinguished fellow at RTI
19 International where he is a member of RTI's Genomics
20 Translational Research Center. Prior to joining RTI
21 in 2006, he was on faculty at the University of North
22 Carolina in Chapel Hill. From 2011 to 2017, he served
23 as a voting member on the ACHDNC. He has a
24 significant record of publications on a variety of
25 topics related to disability, early identification,
26 early intervention, newborn screening, and family
27 support and currently his work focuses on the future

1 of newborn screening, having published several papers
2 recently on how newborn screening can prepare for a
3 future of transformative treatments and genome
4 sequencing.

5 He and his team have developed a partnership
6 with the North Carolina State Laboratory of Public
7 Health and their signature initiative is Early Check,
8 a statewide research project to help prepare newborn
9 screening for new conditions and new technologies
10 with a current focus on whole genome sequencing.

11 Then Dr. Elizabeth Reynolds is a research
12 public health analyst in the Genomics and Translation
13 Research Center at RTI where her interests include
14 rare diseases, patient registries and early
15 developmental outcomes. She leads a project examining
16 linkages between early intervention and newborn
17 screening and contributes to projects related to rare
18 disease databases, electronic health record
19 integration and longitudinal follow up.

20 She is also the founder of the CHAMP
21 Foundation. This is a patient advocacy group focusing
22 on supporting research to find treatment and care for
23 single, large-scale mitochondrial DNA deletion
24 syndromes, such as Pearson Syndrome. She received her
25 PhD in Applied Developmental Science from the
26 University of North Carolina at Chapel Hill and I
27 will turn things over to Dr. Bailey and Dr. Reynolds.

28 DR. BAILEY: Okay, thank you so much, Ned and

1 it's really great to see everybody here again. It was
2 a pleasure and honor serving on the advisory
3 Committee a number of years ago and that was some of
4 the highlights of my career. I very much appreciate the
5 work that this Committee is doing and all the advocates
6 who are supporting and challenging the Committee. It's
7 really great work.

8 So we're going to be talking about a topic
9 here related to early intervention and newborn
10 screening and we don't, we're not used to calling
11 each other Dr. Reynolds and Dr. Bailey. So we may say
12 Elizabeth and Don but I think that will work okay.

13 So our goals are to, first of all we're going
14 to provide a brief overview of what early
15 intervention in the United States is all about. So
16 we're going to use early intervention in two ways.
17 There's a lower case early intervention which refers
18 to you know, anything that you're doing in an
19 organized kind of way to help support early
20 development of children. And I'm going to use a
21 capitalized Early Intervention to refer specifically
22 to what's called a "Part C" program which is a
23 component of Individuals with Disabilities Education
24 Act and I'll describe some more about that program in
25 a minute. We're going to present findings from a
26 study that we've partially completed now because
27 there are several components of it and here to
28 determine which current newborn screening conditions

1 should be eligible for Early Intervention and in what
2 states. And then we're going to suggest some next
3 steps for you know, how this might be relevant both
4 to newborn screening, to early intervention programs
5 and actually to this Committee.

6 So this work was all funded by the John Merck
7 Fund. The John Merck Fund is a private family, a
8 small family foundation that has really been very
9 supportive of our work over the years. Woops, whoa,
10 hello. Any questions?

11 Let's see. How are we getting back here.
12 Somebody else will do it for us, okay.

13 Okay, great. Is this the next slide? So we
14 have a good project team, so Elizabeth and I
15 collaborated with this group in doing this project
16 and so I just want to make the point that three of us
17 have a background in either early childhood special
18 education or applied developmental sciences so we're
19 really focused on early development of young children
20 and how that development can be impacted by a variety
21 of different factors.

22 And then we have two physicians on the team
23 as well, Pranesh Chakraborty who's from Canada and
24 very much an integral part of the Newborn Screening
25 Program there, Elizabeth Jalazo who is a professor
26 and UNC Chapel Hill and is a pediatric geneticist and
27 so we had both perspectives here as we were working
28 on this project.

1 All right, maybe I need to do something else
2 here. All right, so I'm going to couch this
3 discussion and we're going to couch it in the context
4 of net benefit because that's really something that
5 the Committee talks about a lot and I just want to
6 make a point that net benefit is really thought about
7 in a lot of different ways. It's not in just this
8 Committee. You can look at the FDA has a net benefit.
9 You can look at Social Security and figure out what
10 your net benefit is--benefits are. You can look at
11 financial decisions and so forth. So net benefit is a
12 broad construct that usually brings in a variety of
13 different factors. Some of them are very specific
14 like Social Security benefit is a formula, we know
15 exactly what that is and how to get to it.

16 Other kinds of net benefit are much more
17 complicated and that's certainly the nature of this
18 Committee's work. So I can go back and look at the
19 matrix and where I've highlighted net benefits
20 throughout the just, in every category of discussion
21 here and it's up to you to decide. There's no formula
22 for you, right, to determine net benefit. So each of
23 you, in your own minds need to think about well okay,
24 what is the net benefit. Weighing the pros and cons and
25 the data that Alex and his group had brought in. What's
26 the net benefit of the screening? And you can make an
27 individual decision about that and then you
28 collectively have to make a decision, a Committee

1 decision about how that is.

2 So I've actually, we've been thinking about
3 early intervention as a potential newborn screening
4 benefit for a long time. In 2005, 18 years ago I
5 published a paper called "Newborn Screening for
6 Developmental Disabilities, Reframing Presumptive
7 Benefit" and I've talked about a variety of different
8 things, beyond just a dietary medical treatment for
9 children.

10 Just to back up a minute and give a little
11 bit of personal history, so when I first started
12 studying Fragile X Syndrome, found out from my
13 interviews with parents about how long it took them
14 to get a diagnosis, I very naively said "well, we can
15 fix that through newborn screening." I really didn't
16 know the newborn screening system at all. I really
17 didn't know what the criteria were for including and
18 so people would tell me "Well there's no treatment".
19 And as an early childhood special educator I'd say
20 "well of course we have a treatment. We have early
21 intervention programs." And I was always told well,
22 do you have evidence, specific evidence that early
23 intervention makes a difference in the lives of
24 children with Fragile X Syndrome, and would it make a
25 difference if you identified them earlier and
26 providing that kind of service.

27 So that's why I wrote this particular paper
28 but in the meantime I've kind of immersed myself in

1 the newborn screening world and we've thought about
2 it in every frame, I'm thinking, a good bit here but
3 we're coming back to it now.

4 So what I earlier mentioned--is important we
5 can think about it from a broad perspective. You
6 know, this is an older publication but from the
7 National Institute of Medicine on "From Neurons to
8 Neighborhood" and this was a big argument. Melissa, I
9 see you're nodding your head on this. This was a very
10 well-known document that came out that really made
11 the pitch going from brain development to actually
12 functional early childhood development, a variety of
13 things impact early development but it made the point
14 here that the first three years maybe not be a
15 critical period in the biological stance, but they
16 are especially formative in that time. They are
17 foundational time in human development.

18 And of course during this time parents
19 provide essential care and support for their children
20 and advocate for their children but there are also
21 formal and more informal programs that can support
22 families and children by providing access to
23 specialized interventions and therapies. And so early
24 intervention and provide an additive benefit to
25 medical or dietary treatments. And so I'll just give
26 this one--this is an old study, but it makes a point
27 I think.

28 So this is a study of stunting and what you

1 can help to prevent stunting in children. And so
2 there was one treatment that was a dietary supplement
3 as you could imagine would be necessary there. As a
4 second treatment there is an early childhood
5 stimulation program but without the dietary
6 supplement and our third group got both.

7 And you can see that an additive effect of
8 the stimulation program to nutritional program. And
9 that's the point we're trying to make here is that
10 early intervention without the supplemental diet
11 didn't make much difference, it wasn't different. But
12 combined of those two things it did make a big
13 difference.

14 So a couple years ago I wrote a paper called
15 "Early Intervention in Newborn Screening Parallel
16 Roads or Diversion Highways" and I was trying to
17 decide, you know, how are these two programs
18 different and alike. So they all start with the
19 basic--they both have the same basic assumption. That
20 is if you identify children early and you provide
21 services for them they're going to be better off than
22 if you wait. It's kind of a simple colloquial way of
23 saying it.

24 They both are longstanding. They are both
25 state-based programs with guidance--you know,
26 guidance from the Federal Government. Both have well-
27 established ways to identify children and provide
28 services otherwise fundamentally different,

1 differences in of course, as you can imagine,
2 approaches and services and so forth. And from what
3 we've been able to garner now from interviews that
4 we're doing which we will report on another time, two
5 programs operate in virtual independent spheres and
6 so one of our questions is well would there be some
7 benefit, some synergy in some collaboration among
8 these two programs.

9 So for those of you who aren't familiar with
10 the early intervention in the United States, there's
11 Federal legislation that provides guidance to
12 statewide, voluntary statewide programs called Part C
13 and a program as part of the Individuals with
14 Disabilities Education Act, there's a voluntary
15 program that every state now has bought into it.

16 So the Federal Legislation provides guidance
17 to states and a lot of money to states if you provide
18 parts of these services. So based on a per capita
19 basis. To get into services, you have to have a doc--
20 and I will go into more detail about this in a
21 minute. You must have a documented developmental
22 delay or an established condition likely to lead to a
23 delay.

24 Over 400,000 babies are currently enrolled in
25 this, what's called a birth to 3 year early
26 intervention program. The services are determined by
27 what they call an individualized family service plan,
28 so this is a set of goals and objectives that drive

1 what's happening in early intervention programs. This
2 is intentionally called an Individualized Family
3 Service plan because it realizes--it recognizes that
4 children, especially very young children, they all
5 live in the context of their families. And family
6 context is critical to early childhood development so
7 it's not only about supporting children and providing
8 things like occupational therapy or physical therapy
9 that are now family support as well. So but--only
10 about a third of the children in early intervention
11 programs enter before age-- before 12 months of age.

12 So because most of them enter because of this
13 criteria for having an established condition. I'm not
14 going to go through this slide, this is in the paper--
15 the first paper that we published but it shows that
16 these two programs differ both in history and
17 entering eligibility characteristics models outcomes
18 family components and so forth.

19 The eligibility categories for early
20 intervention is just as important for the rest of our
21 discussion and I'll just focus on these first two.
22 You can get under early intervention primarily in two
23 ways. You have a developmental delay. It's actually
24 documented through a test. You're behind development
25 in some--in some way. But the Federal Government
26 doesn't tell you what the definition is for
27 developmental delay so every state has its own
28 criteria.

1 So here's some examples of states, in one
2 state we'd have two standard deviations below the
3 mean in one area of development. Another state has
4 one and a half standard deviations below the mean in
5 two or more areas of the illness. So there's not
6 great consistency across states in developmental
7 delay but they are required to come up with a
8 specific definition. Most disorders that we're--
9 you're discussing in newborn screening and also the
10 ones that would offer to start early intervention at
11 birth, they don't have a developmental delay.

12 So you either have to wait until they prove
13 they are having a problem or that someone recognizes
14 it and does some developmental assessments. So the
15 legislation added a second category called
16 established conditions. So this would be a disorder
17 that a child doesn't test. They're hard to test a
18 week-old baby anyway in terms of developmental delay.
19 But you don't have a documented developmental delay,
20 but you have a condition that's likely to lead to
21 developmental delay.

22 And so the legislation describes some broad
23 categories like chromosomal abnormalities or genetic
24 conditions, hearing or vision impairment, fetal
25 alcohol syndrome. Those would be examples that were
26 there but also, we'll make the point that every state
27 is to decide what their established condition list
28 is. So some of them have very specific categories,

1 they name exactly the disorder that are on there.
2 Some might have a broader category like a chromosomal
3 disorder. Some of them will have even broader
4 categories and some may not have any at all and they
5 leave it up to local providers to help make that,
6 make that determination.

7 So from our perspective, we think we all
8 collectively should be interested in the
9 intersections between newborn screening and early
10 intervention because we believe, or at least we did
11 before we started this study and now, we firmly
12 believe that many children identified through newborn
13 screening could benefit from early intervention but
14 the path from getting to newborn screening to early
15 intervention is really not clear. And that's the
16 point of the second study that we're doing that we
17 won't be able to report on today, but typically
18 newborn screening labs don't see that as their job to
19 refer children directly to early intervention
20 programs, it's often the pediatrician that does that.

21 So the linkages, how we make those linkages
22 happen could be interesting. So parents obviously are
23 caught in the middle, they may feel like their
24 children need early intervention services but the
25 medical system doesn't always link them to those
26 services and early intervention doesn't always link
27 them to medical programs. So we think that
28 integration and coordination of those services could

1 enable faster entry into early intervention assure
2 families of the system's level support. But we didn't
3 know that for sure and so this series of projects
4 that we're engaging in have tried to add some
5 information about that.

6 So I'm going to turn it over to Elizabeth.
7 She's the one who really ran the project, did all of
8 the core work to make it happen and so she's going to
9 describe what we actually did and then I'll come back
10 at the end and get some wrap-up comments. So handing
11 over the baton to you.

12 DR. REYNOLDS: All right. Thank you very much.
13 Okay so even after children with newborn screening
14 conditions are diagnosed and receive appropriate
15 medical clear, many children can still experience
16 developmental delays, but the rates—we don't know
17 necessarily, even the frequency and processes by
18 which these children are then enrolled into early
19 intervention. And the exception here is hearing loss.
20 So if a child is identified with hearing loss during
21 newborn screening, the National Early Hearing
22 Detection and Intervention Program facilitates the
23 link between newborn screening, diagnostic
24 evaluation, early intervention referral, and
25 enrollment into early intervention. But conversely
26 this is not known whether this is true for the other
27 conditions and whether there is a national program,
28 policy or guideline to streamline the refer--the

1 identification, referral or eligibility for the other
2 dried blot-spot conditions.

3 Our team at RTI conducted a series of
4 projects to examine these two programs that share a
5 common goal and we set out to examine these—the
6 linkages with four projects. In the first project,
7 our team examined each condition to determine the
8 extent it was included on the state's early
9 intervention established conditions list which auto-
10 qualified children for early intervention.

11 Next, we examined whether a condition should
12 be on a state's automatically eligible established
13 conditions list because they put children at a high
14 probability of resulting and developmental delay. Our
15 second project is a survey study of state early
16 intervention coordinators and newborn screening
17 coordinators and the third project was--is a
18 caregiver study that's ongoing and the 4th project
19 aims to develop a template of benefit to assess
20 whether early intervention could be considered as
21 part of the net benefit equation.

22 So as part of the first project, we wanted to
23 know which one of the newborn screening conditions
24 are on state's established conditions list. And early
25 intervention--and we explored each state's list and
26 specifically counted the number of RUSP conditions
27 that are included. This table shows the results or
28 the number of times each RUSP condition was included

1 on state's established conditions list. And you can
2 see that spinal muscular atrophy was included the
3 most frequently on 29 states' lists and MPS and Maple
4 Syrup Urine Disease were the next frequently included
5 conditions and were included on 25 states' lists.
6 Holocarboxylase Synthetase Deficiency and SCID were
7 included the least frequently and were included on
8 only two states' lists and the states that included
9 these conditions were Michigan and North Dakota and
10 these were the only two states that explicitly said
11 all children that were diagnosed with a newborn
12 screening disorder were automatically eligible for
13 early intervention.

14 So here's a map that shows how many newborn
15 screening conditions are included on states'
16 established conditions lists and at the time of
17 analyses most states did have established conditions
18 lists but you can see that there are some states that
19 did not necessarily include any newborn screening
20 disorder. Thirteen states have between 1-5
21 conditions, nine states have between 6-10 conditions,
22 another nine have between 11 and 15 conditions and
23 six states have 16 or more. Georgia had 23. Virginia
24 and Maine had 29 and Michigan and North Dakota again
25 listed all 34 RUSP dried-blood spot conditions that
26 we were examining.

27 So after we had conducted which conditions
28 were on states' established conditions lists, we

1 wanted to know which conditions should be on states'
2 lists because they have a high probability of
3 resulting in developmental delay. But we recognized
4 pretty early on that there were significant
5 challenges for defining and rating conditions on
6 probability of resulting and developmental delay.
7 First these conditions vary considerably and for some
8 the delays may be related to the underlying pathology
9 of the disease, even after treatment but for others
10 it's the medical complexity of the condition or the
11 intervention that puts children at a higher
12 probability of delay. And for some conditions there
13 was a risk for episodic decompensation.

14 Second, the clinical severity of all of these
15 newborn screening conditions fall on a spectrum,
16 severity may be related to disease genotype,
17 responsiveness to treatment or unknown factors.
18 Individual outcomes can be related to early
19 detection, access to medical care, timely treatment
20 and treatment compliance.

21 Third, we realize that the natural history
22 and the treatment alter natural history and
23 developmental trajectories of many of these newborn
24 screening conditions is very limited and studies
25 frequently included very few children and did not
26 necessarily include standardized developmental
27 assessments.

28 And finally as Don mentioned there was very

1 limited guidance from early intervention on how to
2 define a high probability of resulting in
3 developmental delay. So we created this matrix to
4 categorize each newborn screening disorder and we
5 characterized risks of developmental delay, the
6 medical complexity and the likelihood of episodic
7 decompensation.

8 So here's the final matrix of our RUSP
9 conditions and to place each condition, we conducted
10 a literature review to identify all documented
11 neurodevelopmental outcomes and medical risks. These
12 studies reported on standardized developmental
13 measures, so we summarized studies that reported on a
14 wide range of outcomes including cognitive, physical,
15 behavioral, neurological, special education, hearing
16 and vision loss and disability.

17 Next, we documented the medical complexity of
18 each condition and for each we described the
19 effectiveness of available treatment, the treatment
20 burden on children and families, the risk of episodic
21 decompensation and neurological complications of the
22 disorder and whether the disorder was a multisystemic
23 disease.

24 Lastly, there are two pediatric metabolic
25 geneticists categorize each condition and after their
26 initial classifications all of the authors together
27 discuss each disorder to finalize the presented
28 classifications.

1 So I'm going to present three conditions as
2 examples. Children with biotinides deficiency without
3 treatment can develop vision loss, hearing problems,
4 respiratory problems, hypertonia, lethargy, seizures,
5 and coma and premature death can occur. However,
6 early detection and treatment has dramatically
7 improved survival and health in their developmental
8 outcomes.

9 The treatment is relatively straightforward
10 and effective and studies of children who were
11 treated early were found to have no differences in
12 developmental and behavioral outcomes compared to
13 their unaffected peers. And we determined that
14 biotinides deficiency had a low medical complexity
15 and low risk of delay and treatment altered natural
16 history.

17 And while it may be appropriate to refer
18 specific children, for example, children who are
19 identified or treated late, this disorder was not
20 necessarily considered an established condition.
21 Children with SCID present early in life with
22 infection, diarrhea and failure to thrive and without
23 treatment, SCID is often fatal in the first year of
24 life. Newborn screening and early detection has
25 dramatically improved survival for children with
26 SCID.

27 However it's a complex medical diagnosis with
28 a high burden. Families and babies must isolate to

1 prevent infection and treatment frequently includes a
2 stem cell transplant and there's evidence that this
3 transplant is related to slower gain and
4 developmental skills and developmental delays for
5 children with SCID and the consensus statement from
6 the Pediatric Blood and Marrow Transplant Consortium
7 suggested that developmental delays are likely a
8 result from chronic infections, conditioning regimes,
9 prolonged hospitalizations and isolation from other
10 children and significant family stress.

11 So post-treatment children with SCID could
12 benefit from specific early intervention services,
13 such as physical and occupational therapy to regain
14 functional skills after weeks to months in hospital.
15 Additional services may include speech and feeding
16 therapy because of mouth sores, nausea, GI pain and
17 taste change that can result in feeding and
18 swallowing disorders during and after transplant. And
19 lastly, transplants carry significant risk of
20 emotional and psychological consequences for children
21 and their families and cognitive and behavioral
22 interventions through early intervention may provide-
23 -may benefit children's social and emotional skills.

24 And so we determined that SCID was associated
25 with high medical complexity and we concluded that it
26 should automatically be qualifying all children for
27 early intervention. Lastly, propionic acidemia is an
28 inborn error of organic acid metabolism and clinical

1 symptoms often begin at birth within a few weeks and
2 include poor feeding, vomiting, low appetite and
3 hypotonia. Without treatment, children can experience
4 episodic decompensation and coma--that can lead to
5 coma and death.

6 So early detection and long-term management
7 have reduced mortality but have not necessarily been
8 linked to better neurological outcomes and the
9 treatment is not curative and children can experience
10 developmental ophthalmological and neurological
11 complications prior to age 2. Children could benefit
12 from a variety of early intervention services.
13 Occupational and physical therapy may support
14 children who have hypotonia and other movement
15 disorders. Behavioral and psychological services may
16 be beneficial given the emotional disturbances and
17 conduct problems and hyperactivity, inattention and
18 peer relationship problems and speech and
19 occupational therapy may support feeding.

20 Lastly, because hearing problems and vision
21 problems can occur in children with propionic
22 acidemia, audiological and vision services may be
23 beneficial. So we determine that propionic acidemia
24 has high medical complexity and high risk of delay,
25 even after treatment and we should--we believe that
26 it should be an established condition and
27 automatically qualify children for early intervention
28 services. So after completing this project, our team

1 wanted to know whether early intervention could be
2 considered as part of the net benefit equation as new
3 conditions are added to newborn screening panels. And
4 we think that early intervention could be considered
5 as part of the net benefit but a mechanism to assess
6 whether each would be eligible for early intervention
7 is necessary.

8 So here is the template that we have
9 developed. And we are awaiting the probability of
10 developmental delay, the medical complexity after
11 treatment, the number of states where the condition
12 is currently automatically eligible for early
13 intervention and whether there are published
14 materials that recommend early intervention, or early
15 intervention-related services indicate or
16 developmental monitoring.

17 So 9 is the highest possible score,
18 indicating that children would be very likely to be
19 eligible for early intervention and 0 is the lowest
20 possible score, indicating children would be unlikely
21 to be eligible for early intervention.

22 So using this template with these same three
23 exemplar conditions, we show that biotinidase
24 deficiency has a relatively low score of 2 indicating
25 that children be less likely to be automatically
26 eligible for early intervention. There is low
27 probability of delay, relatively low medical
28 complexity and six states included on their

1 established conditions list. Clinical care guidelines
2 do recommend monitoring for hearing loss and
3 developmental delay. SCID scores of 5 indicating a
4 moderate likelihood of being eligible for early
5 intervention. SCID has a low probability of delay but
6 a high medical complexity and is only listed on two
7 states' established conditions lists but both
8 clinical care guidelines and patient advocacy groups
9 recommend formal monitoring for developmental delay
10 and potential use of early intervention services.

11 And lastly, propionic acidemia scored and
12 indicating a high likelihood of early intervention
13 eligibility. It presented as a high probability of
14 developmental delay, a high medical complexity and is
15 currently included on fourteen states' established
16 conditions lists. Clinical area guidelines and
17 patient advocacy groups both recommend early
18 intervention services. And now I send it over to you.

19 DR. BAILEY: Okay, we blasted through that
20 pretty quickly and so I'm just going to wrap up with
21 some inclusions, we are a little over time but I
22 would like to make some concluding comments and then
23 we can open it up for discussion.

24 So, what'd we just tell you? Well, first
25 considerable variability exists across states and
26 their definitions of established conditions and the
27 newborn screening conditions we examined, we think
28 that 29 of them should be considered as established

1 conditions given the criteria that we've looked at
2 right now.

3 In comparison with what we've found is about
4 7.8 of these conditions on average are included in
5 the states' conditions list. So our general
6 recommendations are that newborn screening and early
7 intervention programs could and should build two-way
8 communication channels. Of course newborn screening
9 interventional programs have something called Child
10 Find, it's a formal way of finding children and
11 newborn screening could be built into early
12 intervention Child Find Programs. We think that early
13 intervention needs to adopt definitions and standards
14 so that all appropriate newborn screening conditions
15 are considered established conditions. It's hard to
16 imagine that will happen on a state-by-state basis.
17 It could but it would take a long time and it'd be
18 nice if there was some Federal guidance to help make
19 that happen.

20 I know the Committee can't make
21 recommendations for Federal guidance but we can since
22 we're not on the Committee. We do think that it would
23 be ideal for early intervention and newborn screening
24 would collaborate, to collect and track data. Both
25 systems have a strong need for long-term follow up
26 data and why develop two different systems when they
27 actually work together to help make that happen.

28 And so we, you know, personally think that

1 this Committee could consider likely eligibility for
2 early intervention in weighing that benefit. And
3 having said that, I don't think that early
4 intervention alone is the criteria for benefit--
5 determining as a condition for newborn screening.
6 Because if there's no medical or dietary treatment
7 that will help a child, then I don't think it's ready
8 for newborn screening yet. Early intervention is not
9 going to move the needle like some of these others
10 would.

11 On the other hand, we think that early
12 intervention can provide an added benefit when these
13 treatments are provided and in fact for when some
14 discussions are controversial and there's a close
15 decision on what could happen it might be that adding
16 this net benefit, this discussion about early
17 intervention could help build the case for whether
18 this should be under RUSP or not.

19 If we said that early intervention was the
20 only criteria--was enough, was sufficient well
21 there'd be hundreds of that then could be immediately
22 added on to the RUSP because they are all--so many
23 non-RUSP conditions could be eligible. So that's
24 probably not a practice--that's not a practical
25 situations so we think that combined with the work
26 that you're doing it could be potentially helpful.
27 I'll make some caveats at the conclusion.

28 So it--again, as I just said, at the present

1 time early intervention is unlikely to be the primary
2 benefit. It is likely to be an added benefit to
3 almost any medical or dietary treatment. Having said
4 that, it's going to be almost impossible to conduct
5 an evidence review of the benefits of early
6 intervention for any particular condition. So if you
7 went to Dr. Kemper and said "in your evidence review
8 for GAMT or Duchenne or Krabbe or any disorder, go
9 out and find all the evidence on whether early
10 intervention benefits children for that particular
11 disorder, our data won't be there.

12 And it's really not going to be possible to
13 answer that question in the short run so we think
14 it's really the access to services that we know are
15 appropriate for children with disabilities and
16 established conditions that--that's the criteria here
17 And it changes the equation, doesn't it, from away
18 from a standard evidence review to access to other
19 kinds of services.

20 We do recognize that early intervention is
21 not as comprehensive or as intensive as we would like
22 it to be. It's a program that could need tremendous
23 boosting and growing but nevertheless it exists and
24 it's available for every child in every state. It
25 enjoys wide support in almost every survey done.
26 Families report high satisfaction with services and
27 outcomes. So it's a good program and it could have
28 benefit for children with newborn screening.

1 So again, this project was funded by the
2 John Merck Fund. This is mentioned in my disclosures
3 of other sources of support for work that I'm
4 currently engaged in. This is our campus at RTI
5 International. We have a very large presence in North
6 Carolina but we have offices around the US and around
7 the world and with that, we thank you for your
8 attention and I look forward to some questions.

9 [Applause]

10 DR. CALONGE: Thanks so much and I'm hoping
11 that you're willing to stand up there as we move to
12 questions and discussion, that would be great. I just
13 want to say it was a great presentation. I think the
14 issue about additional benefit provided in terms of
15 developmental therapy and screening-detected diseases
16 is an important consideration for the Committee
17 moving forward. I have questions as well but I'm
18 going to start with committee members and then our
19 organizational representatives and I'm happy to
20 acknowledge folks who want a question and Jennifer,
21 you get to start.

22 DR. KWON: Hi, Jennifer Kwon Committee member.
23 I--that was an amazing talk. Thank you so much for
24 looking into EI practices around the country. I--I
25 think of other conditions that ought to qualify for
26 EI such as premature birth or you know, neonatal
27 encephalopathy. Is there evidence of benefit in more
28 common conditions? Because I--I guess I've not

1 personally seen that but it's not necessary a
2 literature I follow.

3 DR. BAILEY: Right. So is this still one for
4 us? Yes.

5 So in general the answer is no, on a
6 specific, on a condition basis and there are
7 certainly conditions where there are--so it's very
8 hard to do gold-standard randomized standards. You
9 can't randomly assign children with one of those--
10 some of those children with one of those conditions
11 to get no early intervention and then others to get
12 early intervention. It's just a very difficult, both
13 ethical and practical kind of study to do so you have
14 to build in, build other cases for that.

15 So again, there certainly are studies that
16 look at, you know, does speech therapy help a child
17 with a particular condition if you do this model
18 versus that model of the more comparative treatment
19 models but whether early intervention is beneficial
20 than no early intervention, very, very difficult to
21 answer in a kind of gold standard way that we would
22 expect--the Committee would expect.

23 DR. KWON: And I think that that's important
24 because we--we know that this early stimulation is
25 important and it could be a great leveler when you
26 have so many disparities but what you haven't talked
27 about are the families that can't really take
28 advantage of early intervention because they work,

1 because their children are cared for in settings that
2 maybe EI staff are not comfortable going to. That are
3 many families whose children do okay without early
4 intervention services and I think that it would be
5 helpful to sort of understand, that may be a helpful
6 group to look at in terms of understanding the
7 impact.

8 But one of the things that strikes me about
9 intervention is it's a poor man's way, it's--our
10 society's sort of like way of helping children early
11 on when we don't give parental leave after child
12 birth and you know when we don't have other
13 standardized policies in place to really help our
14 children make progress.

15 DR. BAILEY: So I think I'll comment on the
16 broader national setting on that, but you make some
17 very good points and I think that--the assist--the
18 discrepancies and disparities are true for almost
19 anything besides early intervention, right? And so--
20 but it's available and it's supported and a lot of
21 early intervention try to go into what they call
22 "natural environments".

23 And so it's--there's a big emphasis on
24 providing the services in the places where children
25 spend the most time, so it could be in a childcare
26 center and working primarily with the childcare
27 center staff and families as they can, and sometimes
28 it's in the home. So there is some flexibility in

1 there for sure.

2 DR. CALONGE: Melissa?

3 DR. PARISI: Melissa Parisi, NIH. I want to
4 thank you, Don and your team for this really
5 important work and you know having a little bit of a
6 background but probably not as extensive knowledge as
7 you do, I had a couple comments and then a question
8 for you. One of them is, you know, we understand I
9 think a lot about the value of early intervention
10 from some of the early work in the 1960's on infants
11 with Down's Syndrome, which really established I
12 think the case for how valuable early intervention
13 services were for improving developmental outcomes
14 for these infants and young children.

15 And I think that was really the basis for the
16 legislation and for the programs that we currently
17 have today. So although we don't have comprehensive
18 data, I think for all populations such as the ones
19 that we were mentioning, Jennifer, I do think that
20 there has been some establishment of paradigms for
21 the value of these services. And even if we did have
22 parental leave and those kinds of other safety net--
23 supports in place, I think they're also is the
24 likelihood that the value of early intervention
25 services is beyond just giving parents time off. It's
26 teaching them skills to help support their infants
27 and children in ways that they might not have had
28 opportunities to learn about.

1 And so I think that there are a lot of values
2 to early intervention. The disparities certainly
3 exist but if we wanted to really make a difference in
4 society, I mean I think we would have availability,
5 universal early child care and early Pre-K programs,
6 I mean that would probably make the biggest
7 difference you know universally. But that's a side
8 point. I think that the work that you're doing is so
9 important and it could in fact serve as One of the
10 topics for one of the ad hoc activities of this group
11 because I do think the connections between newborn
12 screening programs and early intervention screening
13 services are untapped and certainly if you've done so
14 much of the background research to really establish
15 this is an important topic that could be pursued by
16 this Committee.

17 And then my final thing is a question about
18 PKU and why it ended up in the Yellow Zone. And the
19 one thing I didn't hear included in your analysis was
20 the challenges of adherences to the treatment
21 paradigms, and you know given that PKU is one of the
22 first conditions identified in many of the state
23 screening programs and that many states actually do
24 have programs for early intervention for kids with
25 PKU in clinics where they're following the
26 development and recognizing that there are now more
27 pharmacological treatments aside from just dietary
28 interventions it still seems to me like this is a

1 condition that is at risk for developmental delay so
2 I was surprised it wasn't recommended for automatic
3 inclusion on EI list and that's it thank you.

4 DR. BAILEY: Would you like me to start with
5 an answer to that?

6 DR. REYNOLDS: I will say that it was one of
7 the conditions that we had to go back and discuss. I
8 think we ultimately decided to leave it where it was
9 because of the treatments that were available and the
10 availability of them to-- I'm not a medical expert
11 but I think that that you know the success of the
12 dietary treatment was why we would left it where it
13 was--but I think that is definitely you can make the
14 case for almost all the conditions that you know you
15 would want them all to be automatically eligible
16 because I think that for specific children in
17 specific cases they would still need services.

18 DR. BAILEY: And just to add to that I
19 think that if the primary problem is adherence to a
20 treatment regimen and that's not what early
21 intervention typically defines as their goal because
22 it's really helping the children with particular
23 delays or problems or helping the family with coping
24 with this now helping the family follow the medical
25 regimen, making sure about the diet is there is a
26 little that's why we had kind of this debate about
27 whether that's really an eligibility criterion so
28 that's why we didn't include it.

1 Going back to your very first point, if I
2 may, the question I think is do we need to do a
3 comprehensive evidence review of the benefits of
4 early detection for every disorder or are there
5 enough prototype examples that we have confidence at
6 least that they're probably or is a high likely would
7 because that's the only major there is that is there
8 a high likelihood, right. I think if we have high
9 confidence there's a high likelihood of benefit from
10 early intervention for this condition to me you can
11 make that assessment and that judgment even without a
12 randomized trial for that particular disorder, but
13 that's my perspective on it.

14 DR. CALONGE: Thank you. Shawn

15 DR. MCCANDLESS: Thank you, Don, Elizabeth,
16 that was very fascinating. It seems to me though
17 that, one of the things we've learned about biology
18 is that there's no simplification. The more you try
19 to break things down, to components to simplify the
20 more you recognize that there's new levels of
21 complexity there. But with that said, I've always had
22 this very simple-minded idea as a geneticist that
23 people are born some sort of genetic potential for
24 their achievement. And I think this supports what
25 you're saying which is that it doesn't matter who you
26 are or what your underlying condition or whether you
27 don't have an underlying condition, you have some--
28 you have a range of genetic potential that--that

1 maximizing and optimizing the environment in which
2 you are raised will allow you to achieve your highest
3 genetic potential.

4 So my question is, does that resonate with
5 sort of what, where you've gotten to over the years
6 in your thinking and secondly--I think it's really--
7 if that concept is, if we believe that that's true
8 then by definition your statement that early
9 intervention should be generally recognized as
10 beneficial to anyone who's--who has an underlying
11 developmental issue or medical problem should be
12 beneficial and then we don't need to do the case-by-
13 case work so I totally agree with that. But I'm
14 curious about that thought of sort of the--when do
15 you agree that that's true and then the corollary
16 might be for the purposes of newborn screening should
17 we be focusing primarily on things that are going to
18 either protect the range of potential or enhance the
19 range--prevent it from deteriorating like the case of
20 PKU or try to enhance it like some of the novel
21 therapies that are being developed with gene
22 therapies and things.

23 So a lot there and I apologize.

24 DR. BAILEY: Yes, that's a big question, a lot
25 to unpack there. I think that in general, as you well
26 know there are controversies about in general
27 populations about genetic potential and limitations
28 and so forth and so that's a whole, whole other

1 discussion and I believe that in general the
2 environment can--can overcome any of the kind of
3 physical challenges that people have and in fact with
4 the constant changes in--not only in our environment
5 but in our biology that there's a lot of potential
6 there.

7 Here if you have a child with a particular
8 genetic disorder, and I'll just take Fragile X
9 syndrome as my example, so the many studies we've
10 done shows the average development trajectory of a
11 male with Fragile X syndrome is about half of normal
12 development, right and so, an IQ of 50 and as you
13 move--but there's wide variability around that.

14 So what's the genetic-- and some boys with
15 Fragile X can read and live independently and work
16 and others are nonverbal and not toilet trained even
17 as adults and so you've got that wide range. So what
18 does "genetic potential" mean when you've got such a
19 wide variety and a particular single gene, single
20 gene disorder.

21 So lots of other things and it's certainly
22 not just environment that causes that, so there are
23 biological caps, but it could be modifying genes, it
24 could be any other you know, biological component
25 there. The patient me, and looking at the data that
26 we have. If I said would early intervention move the
27 needle for children with Fragile X Syndrome from half
28 to normal development, I don't think that's going to

1 be the case, at least early intervention as we know
2 it today. So in that sense I think that there is a
3 cap that a genetic disorder--a single gene disorder
4 like that places that will make it very hard to move
5 way beyond that. On the other hand, I think we can
6 move the needle enough and we can improve quality of
7 life in significant ways.

8 So I kind of hate to frame it in the context
9 of that kind of cap because I don't think that in my
10 mind is especially helpful. But I hear, I hear what
11 you're saying. I don't know if that's a sufficient
12 answer but it's a really complicated question.

13 DR. MCCANDLESS: Yeah, I know that, I think we
14 agree about this that the level of complexity of the
15 factors that impact what a person is capable of
16 achieving sort of as a baseline are going to be so
17 complex and variable that you can never study them
18 efficiently. You know, you could--you would have to,
19 there's no way to find a control for every
20 individual.

21 DR. BAILEY: Only individual for every
22 individual, any treatment. So you think about early
23 intervention. That's a package, right? There's a lot
24 of things that would be in there from different
25 therapies to family support systems to curriculum A
26 versus curriculum B. There's so many factors that
27 would go into that and it would be impossible to
28 study all of those things individually. It's really

1 that package that comes--comes together.

2 DR. CALONGE: Michael?

3 DR. WARREN: Thank you all for that wonderful
4 presentation. I'm struck in thinking about the lists
5 that you all provided in thinking about this kind of
6 matrix approach that states already have lists of
7 some sort, when they talk about eligibility and we
8 already have programs in place. I think about our
9 Title V maternal and child health block grants, I
10 think about the state EHDI grants for newborn hearing
11 and the grants that are about to be released with the
12 newborn screening support that can already do this
13 kind of connection for things that are already on the
14 list.

15 So I'm curious. I always think about what's
16 our role to advance this and technical system and
17 figure that we're leaning on our grantees. As you all
18 were doing this, did you identify exemplar states or
19 approaches? You may not want to call out specific
20 states but there are --models that are doing this
21 really well that we could lean into from a TA
22 standpoint or even in terms of requiring that of our
23 grantees?

24 DR. BAILEY: Do you want to start with

25 DR. REYNOLDS: Yeah. I mean I think that looking
26 at the two states that automatically qualify all
27 children that were diagnosed with the newborn
28 screening disorder. I think that digging in and

1 finding out, you know, how they got to that point
2 would be really interesting. Like I think there's
3 some component that we just need the state early
4 intervention and newborn screening coordinators to
5 talk and communicate. And so I wonder if that has
6 specifically happened in that state you know has, two
7 states that has already documented that all newborn
8 screening are eligible.

9 So I think that that would be a great place
10 to start and then we did ask coordinators, you know,
11 what is the status of your collaboration. And I think
12 that we found that there's a lot of states that said
13 you know, we're developing passage communications.
14 We're developing data share agreements and data
15 transfer agreements and I think that that, you know,
16 hopefully over the next couple of years, hopefully
17 really demonstrates that by doing so there can be an
18 outcome that shows now that states now are tracking
19 whether kids are being referred after newborn
20 screening diagnosis. But I think right now we just
21 don't know what the--what the relationships are.

22 DR. BAILEY: We're also doing a study
23 Elizabeth is leading on caregiver experiences with
24 newborn screening and early intervention and trying
25 to understand how the path that they got to and we're
26 guessing it's a--with 800 families we're going to not
27 find 800 paths but we're going to find a lot of
28 different pathways to that.

1 So lessons learned from that. I think it will
2 be really important and then maybe taking a state or
3 two that's not doing it right now as a pilot and
4 saying "okay, let's take State no. 27 and see how--
5 what would it take to move it? Is it legislation? Is
6 it more training of staff? Is it pediatrician? What
7 would make that happen? So there's a lot of work.
8 There's still work to be done, potentially from the
9 national level on down.

10 DR. CALONGE: Online we have Debra
11 Freedenberg.

12 DR. FREEDENBERG: Hi. Good morning. Thanks for
13 that great presentation. I really have two questions.
14 A little bit more practical. One is we know that
15 families can self-refer and do you have any feeling
16 for how many newborn families and children with
17 newborn screening conditions are self-referring to
18 the ECI and is there any sort of evidence of
19 outcomes difference versus a straightforward referral
20 by diagnosis?

21 And then my second question or point is that
22 in many areas there is a paucity of ECI providers and
23 sometimes families are on long wait lists to receive
24 services. I did note that backing into the thinking
25 that we're going to consider using those that we
26 should also consider the resources that are available
27 out there for the families.

28 DR. BAILEY: Right. Two very good questions.

1 I'll get some very quick answers to each one. So the
2 self-referral component is definitely there. Families
3 can do that but the child still has to be determined
4 eligible. And so if their child's condition is not on
5 the established conditions list, they may have to
6 make a pitch for that and make a case for it and will
7 have to be told well we'll do surveillance for your
8 child until your child shows the development delay or
9 not. So there's some complications with that. Plus, I
10 think families, my guess is after newborn screening
11 are so concerned about their child's medical
12 development or what they can be doing there that
13 they, and many don't even know about early
14 intervention as a possible set of services and so
15 yes, that is one path that might be available but I
16 wouldn't see as the primary--primary path. It could
17 be part of the educational component for families
18 with newborn screening conditions.

19 The other one that you're asking about of
20 course is the challenges that early intervention
21 faces. Well like a lot of fields, getting enough
22 staff, enough trained staff to do what they need. If
23 you look at a lab, Scott's told me how challenging it
24 could be to hire you know people to work in a State
25 Lab. These are State run community-based programs.
26 They're not paying you know a ton of money to be
27 working in early intervention so you do it for a
28 variety of other different reasons and so--and there

1 are financial constraints as well and so the programs
2 are not as intensive or extensive as we would like
3 them to be. I certainly acknowledge that. It's not a
4 good answer but--

5 DR. CALONGE: Natasha?

6 MS. BONHAMME: Thanks, Natasha Bonhomme,
7 Genetic Alliance. I have two questions. One is when
8 it comes to the scoring that you did. Mind you, I
9 wasn't wearing my glasses so I may have interpreted
10 something incorrectly. Can you talk a little bit
11 about how the clinical side got two points and the
12 parent or patient advocacy got one and kind of the
13 difference in that, the level of the scoring there.
14 Knowing especially in early intervention as you spoke
15 in benefit to families, both from a clinical
16 perspective but also as Melissa was saying, all the
17 other supports and just how you came to that type of
18 scoring?

19 DR. BAILEY: So Elizabeth, I'll answer that--
20 just a quick answer. Those are not about benefit.
21 It's about something else. You want to--

22 DR. REYNOLDS: So I think that you know that
23 we originally only had clinical care recommendations,
24 so documented and we assume those were based off of
25 published studies of kids that have said, okay, they
26 do better with these services but I think that when
27 we recognize that there's a lot of the diagnoses
28 don't have any clinical care recommendations and

1 certainly a lot that don't have any developmental
2 recommendations and so I think that we've found some
3 patient advocacy group materials, you know reports.
4 But not necessarily things that have been published
5 but you know, has been put on their websites that
6 they recommend to families after early diagnosis and
7 we thought that was really critical to include but I
8 think your point saying why are we, if we're
9 considering the families and the parents as experts,
10 maybe they do know more about the developmental
11 outcomes that might not be included in published
12 materials. That's a really good point but I think for
13 now we have left it as different, different ratings
14 but I think what you're saying is really important.

15 DR. BAILEY: So in terms of a Committee
16 decision-making process, if there was no clinical
17 guidelines for--no guidelines for what early
18 intervention should be doing for this particular type
19 of child and there is no patient advocacy group that
20 had any--anything published anywhere, websites or
21 anything then that would get a zero because there is
22 no guidance out there, maybe potentially beneficial
23 but there's nothing in existence. You know you can
24 say that we're prioritizing clinical recommendations
25 as opposed to advocacy recommendations but we did
26 want to make sure we recognize and value what patient
27 advocacy groups were saying or publishing. Sometimes
28 those are not as evidence-based as maybe some of the

1 clinical care guidelines, although they may not be
2 evidence based either.

3 Anyway, that's how we came up with the
4 scoring system, trying to reflect the value of each.

5 MS. BONHOMME: That's helpful, that
6 explanation and also knowing that, you know, there's
7 some communities where the clinical groups would make
8 a decision without patients or families in the room
9 and some do, so just noting those differences and
10 then my second question is if you could speak a
11 little bit to, you know, what are the levers for
12 change in early intervention? So is that more a
13 legislative advocacy approach? Is it more something
14 else, a Committee approach to add that context to? If
15 there are changes, we would want to see how that
16 actually happens.

17 DR. BAILEY: Well, that's a complicated, well,
18 all the questions are complicated. I mean, the
19 simplest, quickest change would be a change in
20 legislation that said an established condition that
21 includes any disorder that's included on the RUSP is
22 an example of an established conditions list but the-
23 -the states, even then they couldn't really require
24 states to include those conditions, it could be one
25 of the strong suggestions. And that's kind of like
26 what this Committee does, right? You can't require
27 that a state screen for a particular disorder, you
28 recommend it and so I think that would be kind of the

1 first way to harmonize things across the nation.

2 We can have an Advisory Committee, we can
3 make that kind of recommendation, but it wouldn't be
4 this Committee and you can make that recommendation
5 but there's no comparable committee for early
6 intervention programs. Once you go beyond that you go
7 to the state level and so there are some national
8 technical assistance programs. We can have workshops
9 around those and have a building process on a state-
10 by-state basis. Certainly, parent advocacy groups
11 could get engaged and say "wait, why does our state
12 only have, you know two conditions on the established
13 conditions list"?

14 So, I think there are other scenarios. A
15 lot of different things that need to be tried out and
16 tested. Probably a combination of it.

17 DR. CALONGE: Jannine?

18 DR. CODY: Jannine Cody, Committee member.
19 Thank you for a fascinating presentation but I'd like
20 to point out to my knowledge EI isn't a one and done.
21 Children can be enrolled, catch up and be dismissed
22 from the program before they hit three, so from my
23 way of thinking the bar should be very low for entry
24 into the program for evaluation because they can
25 catch up and it's not a three-year commitment. And
26 plus, parents don't--as you said, parents don't
27 always know about it. They don't know such a thing
28 exists. Thank you.

1 DR. BAILEY: Well, so it's like a sunset law.
2 You know, it's very hard to kick a child out of early
3 intervention. You have to document that they don't
4 have a developmental delay or they don't have an
5 established condition anymore. So if you have an
6 established condition, even if your delay is not
7 evident by age 2 or 3, it still could be a condition
8 that might likely lead to a developmental delay so
9 they could stay in it. Once you reach age 3 to get
10 into preschool programs, which are more public school
11 oriented programs for children with disabilities, you
12 have to have a documented developmental delay. And
13 once you get to age 5 of course you can fit into the
14 categories of learning disability or speech and
15 language impairment or the other, all kinds of autism
16 spectrum disorders.

17 Those are more the categories when you get
18 into public school but in that 3-5 year age range
19 it's really more in the development. You can have
20 some diagnostic categories as well. So I don't think
21 that's a huge problem but I do think that's you know,
22 you can have a tail-off for sure and then children
23 have to "prove that they need services in other ways"
24 than you have to for getting into early intervention
25 programs. But lifelong care of kids with disabilities
26 is a huge, huge issue that we won't solve through
27 newborn screening but it can start from the
28 beginning. Set the foundation correctly.

1 DR. CALONGE: Jennifer?

2 DR. KWON: Thank you for letting me talk
3 again. I just can't help but think about how distinct
4 these two programs are. So we--we hear a lot about
5 newborn screening programs and how this state
6 administers their newborn screening programs, but
7 when I think of early intervention, I know that it's
8 Federal and the money goes to the State, but I really
9 think of it as a county-based program, right. All of
10 the personnel are county-based so I guess I really
11 like the term Natasha used like what are the levers?
12 So these are two very important programs for our
13 children who are identified and how have this
14 potential for developmental disability. So how can we
15 use our resources wisely? So we want to set the bar
16 low as Jeanine said but not so low that they're
17 flooded with kids who don't have developmental needs.

18 So for example I was surprised to see XALD on
19 that list because we don't think that under age 3
20 there are a lot of developmental needs. But yea, I
21 just think that to me the finances are, I think I
22 alluded before I was really curious about the
23 finances. I just wonder about how these programs
24 really can be joined. I think it would be great if
25 they could be but I sort of wonder about that and so
26 I'd like to hear more.

27 DR. BAILEY: I'll give a relatively quick
28 answer. So in terms of, you're right. most of the

1 programs operating under county health departments or
2 under school systems as well as about half the
3 states, early intervention is under the Department of
4 Education. About half of them is under health and
5 human services so it's devolved under local groups to
6 actually provide the services. State does set the
7 standards so for what established condition is. It'd
8 be unlikely that Davidson County would have a
9 different list of established conditions than
10 Mecklenburg County. I'll just give you two examples
11 in North Carolina. So they have to use the same
12 standard there for the most part.

13 In terms of financing, in some ways what
14 we're talking about would have very little financial
15 implications. The number of children identified
16 through newborn screening that would go into early
17 intervention programs is miniscule compared to the
18 number of children that are actually in early
19 intervention so it wouldn't be a dramatic like
20 overloading the system any more than it's overloaded
21 already.

22 I think if you had efforts to try to
23 systematically combine them there would be some kind
24 of coordination work that would need to be happening
25 especially if you tried to do a combined data system
26 which is a, you know, huge goal need but I think a
27 tremendous opportunity for both fields. So I think
28 there's some ways to maximize the synergy here.

1 DR. CALONGE: So, people have managed to ask
2 five of my nine questions. I'm going to just jump in
3 and ask a few more. And one is around funding. So you
4 said per capita, is that before or after? Is that a
5 responsive per capita funding and tied to that, is
6 funding sufficient? In other words, does it need to
7 be supplemented if it's at the state level, which in
8 Colorado would require a decision item which is kind
9 of the death to our bill in any state?

10 DR. BAILEY: Right, and from what I understand
11 like the Medicaid expansion would be an example. And
12 early in the federal supplement for newborn screening
13 is not sufficient to support any newborn screening
14 programs. So it's usually a combination of insurance,
15 private insurance, Medicaid, state supplementation
16 with state funds and with sometimes with parent co-
17 pays. So but it has to--but it's very, wildly
18 variable in cost across states. That's a whole other
19 study that can be done is what's the--how's--it's
20 kind of like paying for newborn screening, right.

21 Every state has a different kind of model
22 for how they do that and it's the same with early
23 intervention. The allocation to states in my
24 recollection is based on state, the number of
25 children in the birth age 3 age range rather than the
26 number of children served, otherwise you'd be
27 serving--you know, you kind of play that game in
28 different ways.

1 DR. CALONGE: And that's what I would have
2 guessed but I think, it's not been mentioned that
3 financial barrier at a state level, state Medicaid
4 level is a significant barrier for many states and
5 something I think we need to continue to think about.

6 DR. BAILEY: I just made an editorial comment
7 if I may that in one of the papers we wrote about
8 what we were trying to think what some system level
9 changes could be and if newborn screening had a
10 similar model that there is a net--because there are
11 hundreds of millions of dollars that go into early
12 intervention programs and newborn screening, these
13 are all project-specific kind of funding but there's
14 no core per capita funding from the national level.
15 It could potentially accelerate change but what it
16 has to be paired with that is some expectations.

17 So states cannot get early intervention money
18 unless you document that you have, that you've done
19 individual family service plans, you've provided
20 these kinds of services. You have case management
21 services and so forth. So you can have that kind of
22 support and expectation for newborn screening
23 programs that could potentially move things along and
24 help harmonize things. That's not your Committee's
25 decision.

26 DR. CALONGE: The next questions are kind of
27 related. So the medical complication category that
28 upgraded conditions was of interest to me because I

1 fully bought into the concept that complexity
2 increases the risk of developmental delay and I found
3 myself wondering what is the evidence that
4 developmental delay treatment is more effective or
5 effective in the setting of medical complexity?

6 DR. BAILEY: You wanna?

7 DR. REYNOLDS: I think that's super
8 interesting. I don't think that we have, I haven't
9 looked at any specific research that has looked at,
10 you know specific developmental services for
11 developmental complexity and have proved, you know,
12 to be beneficial.

13 DR. CALONGE: All right. Well that got me into
14 the area of worrying about publication bias and
15 people publish negative studies about interventions
16 for developmental delay, but that's an evidence
17 issue. There is a category of evidence called analogy
18 and I think this fits right into the analogy setting,
19 but, I don't see Alex, but if we think about where
20 this might fit, evidence by analogy approach is
21 something that could help inform the Committee.
22 Because the thing that I'm kind of left with
23 wondering how would you bring in the availability of
24 services into the calculation of the magnitude of net
25 benefit?

26 DR. BAILEY: So it's the magnitude part of
27 your question that I think is the kicker, right. So
28 I'll just give an example of a very different kind of

1 disorder that wouldn't be picked up through newborn
2 screening. So we've been studying babies and actually
3 NIH funded a study of babies affected by the general
4 Zika Syndrome in Brazil. So those babies have, if you
5 talk about medical complexity, these are babies with
6 profound intellectual disabilities, profound medical
7 problems that are going to be lifelong for sure.
8 Families are left--you know, without early
9 intervention the families are just left hanging there
10 and so the supports that early intervention can
11 provide families like that are really remarkable, not
12 just to help the children but really help the family.

13 I know the family benefit a core part of this
14 Committee's decision but I actually think that
15 helping families can help children and so that's how
16 I would make that kind of link.

17 DR. CALONGE: Thanks, and we're a little bit
18 over time and so Bob, Robert, I'm going to ask you to
19 hopefully be quick in your question or comment.

20 DR. OSTRANDER: If you can hear me at all. My
21 Internet is scrambled. Quick question is, regarding
22 how much weight we should give benefit of EI and
23 similar services when we determine the ability for
24 the RUSP and questions specifically about the timing
25 of early intervention as opposed to whether it has
26 benefit or not.

27 You mentioned with Fragile X case in the
28 beginning and the key developmental time in the first

1 three months of life, and it occurs to me a lot of
2 our identified conditions might eventually get to
3 early intervention through clinical pickup but the
4 diagnostic odyssey, the diagnostic pick up of
5 developmental delays would come sooner if clinicians
6 were to screen.

7 Do you think there's a way to study the
8 benefit of the timing? For instance in families with
9 Fragile X where you have an index case and then a
10 subsequent sibling gets picked up early or Duchenne
11 in this case, picked up earlier where you could get
12 some data to help inform the evidence review.

13 DR. BAILEY: Right, certainly you can do some
14 sibling studies, right. So in Fragile X we have a lot
15 of studies where we know that about 30-35% of
16 families who have one child with Fragile X have a
17 second child before the disorder, before the first
18 child is diagnosed. So you could do a sibling kind of
19 study then for sure and look at whether the,
20 obviously there's other factors that you'd have to
21 control but within families you could see if the
22 younger child who got early intervention services or
23 the older child didn't, it would be one way to
24 provide that kind of data. I'm not sure what the
25 other components of your question are?

26 DR. CALONGE: And actually, I think, I hate to
27 cut us off prematurely but in respect to the time per
28 speaker, Robert will hold on that. Margie we will

1 have to move on at this point. I really appreciate
2 the great presentation.

3 [Applause]

4 **Research on Benefits and Harms from Uncertain Results**

5 DR. CALONGE: We could talk about this for a
6 long time. So we've invited Dr. Beth Tarini from the
7 Children's National Hospital in DC to speak to the
8 Committee about research on benefits and harms from
9 uncertain results and I'd like to welcome Beth. She's
10 an associate professor of Pediatrics at George
11 Washington University and serves as the associate
12 director of the Center for Translational Research at
13 Children's National Hospital, where she conducts
14 research and optimizes delivery of newborn screening
15 services to families and children.

16 Dr. Tarini's research has been funded by the
17 NIH, HRSA and RWJ Foundation and the Cystic Fibrosis
18 Foundation. She's been actively engaged in newborn
19 screening policy at the state and federal level and
20 has previously served on the advisory Committee.
21 She's a practicing general pediatrician, a graduate
22 of RWJ Clinical Scholars Program and the immediate
23 past President of the Society for Pediatric Research,
24 which is the largest U.S. pediatric research and its
25 focus society. She's leveraging her recent MBA,
26 congratulations, to improve the training and
27 diversity of the research workforce and we're really

1 excited to have you today.

2 DR. TARINI: Thank you, Ned. Thank you for
3 having me. So I'll get right to it. So today I've
4 been asked to come here to talk with you about
5 benefits and harms of newborn screening and the
6 evidence that exists. So given we have 20 minutes
7 we're going to go very high level as to what's
8 generally there and what's missing and how my team
9 and the states I'm working with are trying to close
10 that gap. I have no conflicts of interest to disclose
11 as I have served as a member of this Committee and as
12 the AP liaison and importantly, any opinions
13 expressed here do not reflect those of NIH or those
14 of Children's National Hospital.

15 And so the goals for today are to illustrate
16 the data gaps for the impact of false positives and
17 uncertain prognoses with newborn screening. I'm going
18 to pause because I know the title says uncertain
19 prognoses only, that's going to come at the end. So
20 there's going to be a little bit of delayed
21 gratification and you might be a little bit depressed
22 by the end too. So I'm just warning you on what's
23 lacking. And then we're going to summarize the active
24 research projects we have that are in to fill these
25 data gaps.

26 So I don't have to go thankfully much into
27 detail about newborn screening and the various ways
28 in which it's delivered and the various services.

1 Most of our work has focused on the experiences after
2 the blood spot. That's not to say that there aren't
3 others, it's just a matter of scope and funding and
4 this is what we have focused on. And I want to be
5 very clear with this slide. Newborn screening is a
6 successful program and it should continue yet it is
7 important to note that all screening has harms and it
8 is unethical to ignore them. And failing to examine
9 them counts as ignoring them. And so really when you
10 look at a screening program as this Committee's well
11 aware. It is about making decisions between the
12 balance of benefits and harms.

13 This is based on Harris, et al. about the
14 harms of screening. And everything is relative and
15 everything is in balance. As Harris has defined harm
16 and I know this has come up I believe in this
17 Committee, the definition that they provide is any
18 negative effect perceived by patients or significant
19 other's resulting from screening compared with not
20 screening.

21 And so it is in the eyes of those who
22 undergo the process, generally form this definition.
23 This is the definition we have used and the domains
24 of harm that they set forth are listed here. They set
25 them into physical, psycho, social, financial strain
26 and opportunity costs.

27 Now of course if I had the entirety of the
28 NIH budget at my disposal, we could study all of

1 them, but we do not. And so this is just to simply
2 say there are broad categories that you can delve
3 into when you want to discuss harms. I'm starting
4 with harms. We will get to benefits later. And we
5 have focused, our group is focused on the
6 psychosocial, which has been, I would argue what has
7 consumed discussion over the years.

8 We have focused our work on the false
9 positive results on the, what we call "uncertain
10 prognoses" which we'll get to in a minute. And so
11 first false positive results and a little bit of
12 orientation, many in the room are well aware of this
13 but I just want to make sure we're all on the same
14 page. So the collection of the sample happens. It is
15 sent for processing.

16 You have within range and out of range. And
17 then when you have an out-of-range screening result
18 you then move on to confirmatory testing and
19 evaluation, in which you can get one of three
20 options. You can be deemed a false positive, meaning,
21 you are cleared, you are told that your child does
22 not have the disorder in question, of
23 which where was a potential risk, given this is a
24 screening test when the out of range occurred. You're
25 inconclusive meaning we can't definitively say
26 whether or not your child has the disorder in
27 question based on the information at hand or your
28 child does in fact have the disorder. And when the

1 false positive occurs, the child and the family are
2 released, if you will, from the newborn screening
3 system, sent forward to say congratulations there is
4 no disorder. Your child is without any concerns
5 regarding newborn screening disorders.

6 So this false positive, we'll start here,
7 has consumed newborn screening to some degree since
8 its inception. I put this here so that we know we're
9 talking about something we've been talking about for
10 50 years. And this is an excerpt from a journal
11 talking about what happened in '66 at the Bronx
12 Municipal Hospital Center when they said they had
13 parents coming with what they called PKU anxiety
14 syndrome, presenting as acute and chronic anxiety,
15 ranging in degree from mild period bouts to acute
16 anxiety hysteria. They persist in the belief their
17 babies are or will become mentally retarded.

18 Again, the era dictates the language.
19 Despite negative tests and considerable reassurance
20 and support from physicians, etc, etc, etc. That is
21 sort of the crux of some of the conceptual model of
22 false positives. We can go into others but this slide
23 is here to say this is not a new topic. Historically
24 much of the conceptual model based on the false-
25 positive harm to a parent and the family is based
26 around the idea that the child is somehow after the
27 fact viewed as persistently vulnerable.

28 This is based on a phenomenon that Green and

1 Solnit first observed decades ago when children were
2 admitted to the ICU, previously healthy, had a near
3 fatal experience, recovered and the parents persisted
4 in the idea that the child still remained vulnerable.
5 The definition of that vulnerability being that they
6 would over interpret threats to the child's health.

7 As an example, a cough could be seen as
8 instead of an upper respiratory infection could be
9 seen as a pneumonia. Everything became heightened.
10 That is one of the concerns that has existed around
11 newborn screening and we can go into the conceptual
12 models and have hours of conversation about whether
13 this is actually going on, if it's going on at all or
14 whether there is some traumatic stress going on, if
15 at all in newborn screening such that we have created
16 a sort of traumatic stress event in an early stage of
17 parent/child bonding when the child is apparently
18 well and new, days to week old and this then becomes
19 a lens through which parents may go back to as almost
20 like a posttraumatic stress affect when the child's
21 health seems to be threatened in the future.

22 So instead of over interpreting risk in the
23 vulnerable child model, the traumatic stress model
24 might say parents go back to this moment in time when
25 there are threats in the future. So symptoms of
26 vulnerable child, you might say "parents get
27 stressed. It's part of the job. You signed up for
28 it". Some that we have seen in literature. In other

1 cases, difficulty with separation from the child,
2 infantilization, body over concerns, school
3 underachievement.

4 This is very different, I should say, from
5 Munchausen's by proxy. This is not what's going on. I
6 just wanted to clarify that. And so here's the slide
7 I think we all really should focus on. This is a
8 slide that tells us how much we have, how much data
9 we have on false positives on newborn screening and
10 what does it look like. It's a busy slide and I'll
11 take you through it one by one.

12 So this is 1980-2010 on the timeline so you
13 have 30 year here of newborn screening and first you
14 have colors that you see right up front and those
15 colors show you the different types of disorder that
16 have been studied because some might argue your
17 experience depends upon what disorder you might have
18 had a false positive with and we can go into that as
19 well.

20 So you see we have a variety. We have hearing
21 in orange, metabolic disorders in blue and there have
22 been a few more since 2010 but they all share certain
23 limitations. Hypothyroidism, cystic fibrosis and
24 multiple newborn screening disorders. So we have a
25 range of different disorders studied. The next thing
26 to look at is the type of research we have,
27 qualitative versus quantitative. You might not be
28 able to see this as well but--but we have a mix, we

1 have some quantitative and some qualitative. You see
2 that? You see that right here.

3 And the next thing that we have, where this
4 was done. Most have the U.S. you have some here
5 outside the U.S. You've had some done outside of
6 Canada since this timing. And then you see the
7 numbers and they're quite small. So this is part of
8 the problem too are small numbers. And then the last
9 piece, which is important and we'll get to data types
10 here which is this PSI, a standardized instrument. So
11 when we have quantitative data it's important that we
12 can compare it.

13 So if you use the same outcome, you can
14 compare it. If you don't, we're sort of comparing
15 different scales and it becomes more difficult to say
16 apples to apples until you see here it has this, this
17 scale which is standardized and validated and is just
18 recently begun to be used and we have it only in
19 small populations.

20 So I would say to you that the literature on
21 the psychological effects of false positives in
22 newborn screening on parents is limited. It is
23 limited in scope. It is inconsistent at times. There
24 are certain signals that appear and our biggest
25 challenge is that we have a preponderance of
26 qualitative data and a minimum amount of—relative
27 minimum of quantitative data of large numbers for
28 which you can really understand what's going on from

1 a quantitative perspective.

2 And that gets to well, what is the data? And
3 I sat on this Committee and "is there data? Is there
4 data?" and the question really is "is there data and
5 what does it tell us and what can we learn from it?"
6 So yes, there's data on false positives and you'll
7 see there's data on certain, it's just a matter of
8 what type of data you have, who's it coming from and
9 what is it telling you?

10 So quail--and this is very important,
11 qualitative data and quantitative data are both very
12 important. They tell you different things and they
13 are both valuable in their own right. They can be
14 abused in their conclusions and overextended. But
15 it's like much of the world. And the use of data. So
16 I think it's an important distinction. Qualitative
17 data like interviews, focus groups is what we call
18 "hypothesis generating". You generate hypotheses. You
19 try to figure out the phenomena that are going on,
20 sampling a wide range of individuals to ensure that
21 you are getting all views of the elephant, if you
22 will.

23 These separate data are rich in their
24 experiences. These are details about experiences,
25 they're limitations are that they are not
26 generalizable. My experience is not the same as Dr.
27 Brothers, nor as Ms. Brown. So we can say our
28 experience is our experience. My experience may

1 represent and have similarities to others, so hence
2 the hypothesis generating piece.

3 However it also cannot calculate prevalence.
4 This has been a conversation I think where--I think
5 it's very important to note you cannot say that
6 because one person has it that the rest of the
7 population has it, this experience. You cannot give
8 any sense of what is the prevalence or burden of
9 that--of that experience. That is a significant
10 limitation of qualitative data.

11 So to say it never happened to me doesn't
12 mean it's not a phenomenon that exists. To say it
13 happened to you is not to say it is a significant
14 burden to population. That is what we get to
15 quantitative data which gets to the hypothesis
16 testing piece. We are lacking in detail in
17 understanding because we tend to ask specific closed-
18 ended questions for which you have a set of responses
19 that are very narrow but we can calculate prevalence
20 and we can look to see what are the proportions of
21 the population at hand that might be affected. And
22 this potentially generalizable based of course On
23 caveats of sample size in composition and this kind
24 of Data comes from questionnaires and administrative
25 data sets.

26 So I'm going to breeze through this because I
27 want to get to the uncertain. So we have attempted to
28 fill this gap of quantitative--what we would call

1 quantitative data gap in this project unresolved
2 issues in newborn screening quantifying the harms of
3 false positive result, And I thank my funders the
4 Eunice Kennedy Shriver National Institute of Child
5 Health and Human Development and acknowledge my
6 colleagues at Children's National as well as my state
7 colleagues at Virginia and Iowa newborn screening
8 programs. And I'm just going to breeze over this I
9 can go back to the day later Just to give you a sense
10 of what we're trying what we have done what we are
11 trying to do.

12 This is to answer the question who's
13 experiencing stress related to false positives
14 compared to those who have normal results so we have
15 a multi-site perspective observational cohort study.
16 parents of children enrolled through both of those
17 newborn screening programs, the time of their
18 categorization, they'll close out of their case at 2
19 years of age. An exposure group and a comparison
20 group false positives and normals and again these two
21 states, with this outcome as I mentioned in the
22 parents stress index, validated 120 questions
23 focusing on three major stress domains widely used
24 scale

25 I may have these additional measures as well
26 as vulnerable child parentings and child development.
27 And here is the study just at large and breathing
28 through this. We recruit consent and then begin

1 participation in the first six months of life and
2 then the children go through six to 24 months of age.
3 I say the children- really the parents are the
4 participants, and they received an initial contact
5 and survey, in which they fill out demographics and
6 then at 6, 12, 18, and 24 months. They take repeated
7 measures as we've noted here the PSI, the promise for
8 anxiety the vulnerable baby scale etc, etc.

9 I didn't the initial demographics, we have a
10 very detailed exploration of demographics child
11 health screening experience and newborn screening
12 household demographics. And then just sort of pat
13 ourselves on the back we have 998 parents enrolled
14 You saw the slide with the colors. The highest I
15 could get was 150 maybe so we have 998 parents. Our
16 attrition rate is somewhere around 10%. That is split
17 about half between parents of children with false
18 positives, parents of children with normal results
19 and many as you can see here, common median age of
20 the parents almost 32 at the start of about 4.8
21 months.

22 Important things to note 70% are dyads. Both
23 parents are included in the study. Preponderance of
24 females that is not surprising, a lean towards
25 married and a lean towards only child which is
26 important because that is a theoretical risk. And we
27 do have some diversity we have about almost 10%
28 Hispanic Latino. We have preponderance of a white

1 population.

2 I'm going to pause here to put a plug in
3 because the NIH is constantly asking why can't you
4 get the sample more diverse? I cannot reach out and
5 tailor this study to children of parents who would
6 identify as non-white because either the states don't
7 ask the question on the card or it's not a value--
8 valid data point. So I have no way of doing it unless
9 we link it to the birth records.

10 It's a significant issue if we want to get a
11 diverse opinion in this field. Plug ended. So non-
12 English speakers, etc., etc. So unfortunately, I
13 don't have the data for you. We just finished the
14 final piece of recruitment. The 6-months should be
15 done in the next few months. I can come back with
16 that with those data points. And I'm happy to tell
17 you in the question and answer what I think it's going
18 to be.

19 My sort of theories so after we started the
20 false positive, we marched on to say where else are we
21 having challenge with data newborn screening and it
22 was around this. These uncertain, we call them
23 uncertain prognosis These are children who had out of
24 range results and gone to have inconclusive
25 confirmatory testing evaluation and then they move on
26 to either treatment or surveillance. I'm going to
27 pause and say uncertain prognosis is our term. It has
28 been used, the term diagnostic dilemmas have been

1 used. We understand that. We also understand some
2 people would debate it's not a dilemma you've got a
3 diagnosis. Whether or not we say the prognosis is
4 uncertain is also a matter of lens.

5 If I tell you it's going to onset in
6 adulthood it's that uncertainty. So we have to find
7 some uncertain prognosis to mean there is some degree
8 of uncertainty. When you tell a parent the degree of
9 severity of the condition, the timing of onset and
10 the type of symptoms that they the child makes
11 experience if there's any degree of uncertainty, we
12 will call that an uncertain prognosis.

13 Now, to some degree the question is who
14 defines uncertainty. We will have in our study for
15 almost ready to start recruitment, in our study
16 parents are true positive and parents of uncertain
17 prognosis. So in that way we can test whether our
18 definition of uncertain is really uncertain in the
19 eyes of the parents. And I'm sure you're aware of
20 these terms. This group of patients is I believe you
21 discussed last time they have been referred to as
22 "patients in waiting". This idea of they are not
23 going to imminently or do not have current symptoms
24 but they are waiting if you will for these symptoms.

25 This is not a new phenomenon. This was known
26 in CF very soon after CF newborn screening. So this
27 is not something that appeared on the screen. To see
28 a foundation, cystic fibrosis caretakers have been

1 dealing with this for some time now, at least when I
2 can remember being junior faculty.

3 I'm going to almost wrap up quickly what I
4 can tell you about the data is that it's less than
5 what we have for false positives. So for false
6 positives we have a fair number of studies. Again the
7 challenges it's limited to small qualitative base
8 studies focused on specific disorders. In this case
9 the peer review data few studies they tend toward
10 small single center and majority qualitative but the
11 scope of what we have is far, far smaller than that
12 for false positives, and that was 30 years.

13 So I would say we're starting sooner so good
14 for us. Issues have been raised in the literature.
15 What are the benefits of knowing the avoided
16 diagnostic odyssey. Parents say with reproductive
17 benefits, access to early treatment harms of knowing
18 they have to express anxiety of stress waiting for
19 the disease, The effect on themselves, the effect on
20 their family, the effect on the relationship and how
21 they care for their child and also lost follow-up of
22 whether or not they engage with the health system
23 Which I think is also one of the true losses from a
24 system perspective.

25 We have spent all this time and money to
26 find these children who are at risk and if we lose
27 even one. It is a huge, huge loss if we don't know
28 where they are and they don't come back to care. And

1 so with that we were able to argue to NIH this was an
2 important area for funding and I'm proud to say we
3 have received for this project their highest rank
4 score of 1 percentile from the NIH. They felt it was
5 exceedingly significant. And I'm just going to give a
6 brief view with expanded our team. Collaborators
7 which include Children's National, Case Western and
8 several, five states we have Iowa, Oregon, Missouri,
9 Tennessee, and Virginia. All have signed up to help
10 us with this study.

11 So I'd like to say I think our group has
12 really done for health services a nice job of showing
13 the states can be laboratories important laboratories
14 for understanding the delivery of care in newborn
15 screening and they have just done a bang-up job and I
16 thank them for all of their work and dedication.

17 And this is to show we have a multi-
18 disciplinary team, our team is not simply
19 sociologists, ethicists or at the pediatricians. It
20 includes genetic counselors, geneticists, public
21 health, those with expertise in recruiting diverse
22 populations, healthcare economists. So I think it's a
23 wonderful, wonderful team that really brings it to
24 first perspective and there are states, if you would
25 like to join, please let me know. We may be talking
26 to Illinois soon.

27 So just you understand the goal then of this
28 project is to fill this gap by doing what we call

1 mixed method study. It may have come off that you
2 could either do quantitative or qualitative but you
3 can do both. And in this case, given that we have a
4 posse of qualitative and quantitative research in
5 this area, we're going to do a mixed method study
6 where we do an interview and survey parents from the
7 point of the categorization of the child into one of
8 these groups and move forward for a year at least
9 depending on how much funding we can get to
10 understand how is their experience changing over time
11 and how are they dealing with these situations for
12 better, for worse and then for that we will come back
13 to you for recommendations on what we find. And what
14 that'll stop for questions.

15 [Applause]

16 DR. CALONGE: Kyle.

17 DR. BROTHERS: Thank you so much for that. I
18 just wanted to give you an opportunity to- - well
19 first of all thanks for that really great
20 presentation. I wanted to give you an opportunity to
21 speculate about the results for the false positive
22 group. You know there's some analogous situations
23 such as you know undergoing sequencing and getting
24 some kind of uncertain information. Typically what we
25 see in that context is truly adverse outcomes, is a
26 small percentage around 1% or something like that.

27 And typically it's among individuals who
28 struggle with mental health in their life, separate

1 from this event, but this event occurs in the context
2 of a human- - a person's experience over the course
3 of long period of time. So I just want to give you a
4 chance to talk about that.

5 DR. TARINI: Sure I think that what you see in
6 the literature is we find it in the population we
7 really don't try it in aggregate. We find it in
8 signals in population we don't find it in aggregate.
9 I think that is a clue that there is if this
10 phenomenon, or these stressors exist, or happening in
11 some subgroup that you don't find any aggregate. And
12 so that's the first piece so I think there are
13 subgroups if there are any at risk.

14 I think your point about mental illness is a
15 good one. I would broaden it to say the subgroups we
16 hypothesize, that the subgroups are related to both
17 what the parent brings to the table and what we
18 deliver to them so what we call is signal receive
19 effect.

20 So one parent may have had multiple
21 miscarriages from IVF, a history of anxiety on
22 Zoloft. Okay they may receive a signal different than
23 a parent who has had five children. No pregnancy,
24 disease, no chronic illness in the family. Without a
25 doubt and they're coping may be different as well. On
26 the other side is the message that we give the signal
27 that we give to the parents.

28 If I tell you for instance your child could

1 have, is it risk of having congenital hypothyroidism,
2 it's possible that they have it. There's many false
3 positives that occur in congenital hypothyroidism but
4 even if this is not a false positive your child, if
5 they have this disorder may will require medicine,
6 very easy to take, very few complications, overtime
7 indistinguishable for from their peers. That's one
8 signal.

9 If I tell you your child has a disorder that
10 could be fatal, if they don't - - if they don't fatal
11 - - even harmful, taking the wrong formula, fasting,
12 etc. etc. so we need to watch them closely over the
13 next few days. So we wait to see if that in fact is
14 the case. That's a different signal. When there's an
15 imminent--the urgency and the severity just feel
16 different theoretically to the parent. So what we've
17 done is try to collect information on both of those
18 so that we can examine what is that phenomenon that's
19 going on.

20 Because I think now, I'm going to go on a
21 soapbox here, I think to approach this topic as "it's
22 a problem, it's not a problem" It's a little bit
23 reductionist. I think the issue is--is this a
24 challenge for parents in a program in which they've
25 undergone mandatory screening, and then we have
26 therefore had a hand, for better--for worse, and
27 producing this outcome. Granted with the benefit of
28 those children we've identified and treated.

1 Therefore we don't remove newborn screening.
2 What we do is identify those who might be at the
3 highest risk and then offer wrap around services or
4 interventions of some kind. I would say, the analogy
5 I make is with cancer 30 years ago you got the chemo
6 you're lucky you lived. Now you can't go into a
7 cancer center without touching 12 types of providers,
8 cancer doctors, social workers, the psychologist, the
9 chaplain, I mean there are all these other services.

10 So I would say that I think it's a subgroup.
11 The question is who that subgroup is and the question
12 is what would that subgroup benefit from, to mitigate
13 any experiences, negative experiences that they're
14 having as a consequence from going through false
15 positive or an uncertain.

16 DR. CALONGE: Ash?

17 DR. LAL: Thank you very much. I wanted to say
18 that the uncertainty with diagnostic results is
19 certainly seen in the setting of newborn screening
20 but probably more prevalent outside involvement and
21 because of more extensive use of genome sequencing
22 and not understanding completely how to interpret
23 those results.

24 So the problem is, more than just newborn
25 screening I think the solution probably has to be
26 found and I know people are trying but there's two
27 things. One is the and I believe that's my question,
28 is the fact that--where this news is delivered, when

1 we do genetic testing outside of newborn screening.
2 The patient and parents are already prepared ahead of
3 time with the ordering of tests. It may come back and
4 there's some pre-canceling that goes with it. That
5 isn't the case as far as I know with newborn
6 screening it just happens. It's one of those things
7 that's going to happen with a birth of a child and
8 you'll get the result.

9 The second is how there could be better
10 understanding of the phenotype by the clinicians and
11 can there be something that can prepare clinicians
12 How to convey the news about uncertain results to
13 families? Thank you.

14 DR. TARINI: Excellent question. So the first
15 one is uncertainty is not new to newborn screening.
16 It can occur in any actually facet of life and in
17 fact if you read the sociology literature, there is
18 much more uncertainty in medicine than we may
19 recognize on a daily basis, and certainly there is
20 such in genome sequencing. The point being but you
21 are prepared to some degree. There's a bit of a
22 "blind side" potentially going on in newborn
23 screening. That is true.

24 I can tell you from, remember I told you
25 today from the first survey where we asked about
26 experiences. A significant proportion of the parents
27 knew the newborn screening happened, more than we
28 thought, I don't have the numbers off the top of my

1 head, they can tell you they saw it. Now sometimes
2 they say they saw it and they didn't see it. They saw
3 a heel prick and it was for glucose or for bilirubin
4 or they bought the prenatal testing and that's a
5 whole separate study we had, a separate sub-study we
6 have, but more than we thought remember it being
7 done. Which I see as progress when I sat as a liaison
8 on this Committee people were like "what, what
9 happened in the hospital?"

10 So potentially there seems to be improvement
11 of knowing that it happened. I actually think,
12 something that's not been discussed, is a new problem
13 within that is they know it happened and many will
14 tell us, but they left the hospital and they said it
15 was normal. Which unless you live in Iowa, we know is
16 unlikely or if you're in the hospital for four days.

17 So they--they know it happens but they're not
18 aware that it's not yet over. And I can tell you as a
19 practicing physician who's worked in the nursery,
20 most of the time when the child leaves the nursery we
21 say "make sure you get their yellow and weight-gain,
22 yellow and weight-gain" and we don't say anything
23 about this test that's called the newborn screening.
24 And I think that's an area which we've not really
25 looked at where awareness really needs to go because
26 it carries the "it happened. I'm waiting for it." and
27 so the parents are then aware of these other
28 situations where there's this test outstanding

1 So that's, that's what I believe the next
2 challenge is, that we haven't really discussed that
3 we've seen in our surveys and in our interviews. To
4 your second point, remind me, it was about the
5 severity of the uncertainty?

6 DR. ASHUTOSH: Yeah, I think that there
7 probably needs to be, there's already efforts to
8 prepare the clinicians to--

9 DR. TARINI: Oh, yup, yup. And so this
10 actually and maybe you should sit on the study
11 section, is the basis for our next project, currently
12 under review. Which is who should be telling the
13 parents? What should they be telling them and how
14 should we prepare them? My plug would be we spend an
15 awful lot of time devoted to the testing and how it
16 occurs. We spend a paucity of time related to how we
17 actually communicate the results which I believe have
18 significant impact, sometime rivaling that of the
19 testing accuracy.

20 Of course a test must be accurate but we sort
21 of leave the results of like "anyone can communicate
22 them, it's fine". And we're doing this in a
23 fragmented health system where we've chosen that
24 generally the general pediatrician is the one to do
25 this. That choice I would argue, is based on faulty
26 assumptions currently. Some of which may have been
27 true decades ago but are no longer the case. Primary
28 care continuity is eroded. I can say that confidently

1 as a physician. The likelihood that you see the
2 doctor, first of all the likelihood that you you're
3 with the same practice, you knew the doctor ahead of
4 time, low.

5 The likelihood you see the doctor post your
6 child's birth, your doctor and your child's doctor,
7 low. The likelihood you see a doctor you've seen
8 before, low. So this idea that this trusted Norman
9 Rockwellian physician descends upon you and carries
10 you warmly through this process is a myth, number
11 one.

12 Number two. We started this journey into
13 newborn screening when there were 5 disorders, some
14 of which were unknown, PKU, to the physician but many
15 of them, congenital hypothyroidism, sickle-cell, they
16 may have been rare but they were not unfamiliar to
17 the primary care physician. Now they can barely
18 pronounce them so they are both and unfamiliar and we
19 continued with this idea that they can relay the
20 information to the parent in a way that is accurate,
21 consistent and answer their questions and prepare
22 them.

23 And then we're going to add genomics on top
24 of that, which we already know primary care
25 physicians are not good at and acknowledge that they
26 are uncomfortable with. And so I think we're going to
27 need to take a step back as a community about how we
28 think these results should be communicated because I

1 think you're spot on about the provider's roll in
2 communication and the family's experience.

3 DR. CALONGE: Jeff?

4 DR. BROSCO: So, thank you, Beth. I think we'd
5 all agree that this is critical research for what you
6 think is going to make things a lot better. So thank
7 you.

8 Following up on Kyle's question tying now to
9 the first presentation, it would be hard to do this
10 by condition, by condition, by condition?

11 DR. TARINI: Correct.

12 DR. BROSCO: So do you think as you're
13 presenting your results from both studies, it should
14 be able to sort of figure out, you know, there's
15 some--one of the factors that might lead to greater
16 or lesser harm?

17 DR. TARINI: Yeah, I think this is, in
18 medicine we--we have categories and we believe those
19 categories always following through, like medicines
20 based on organ systems, but that's not how disease
21 really happens and so we then have to adapt.

22 Similarly and understandably and intuitively
23 we think these are based on conditions, right?
24 Because that's how we see it, hypothyroidism, cystic
25 fibrosis, sickle-cell. We learn them in categories in
26 that way. It's not clear that the parents can
27 remember or see them so I don't think that--first of
28 all it's prohibitive unless the NIH is going to give

1 me 20 million dollars to get a sample size big enough
2 for all conditions and it will take like 30 years for
3 some of the most rare.

4 I think instead the issue is that—the factors
5 that you say are at play is what we believe are the
6 messaging to the parents of urgency, of severity.

7 And now we believe we had started to say we
8 were going to use SIMD urgent time/urgent and time
9 sensitive cut, but then we, everything was hunky-dory
10 and then we went to the states and they said "Well,
11 we don't actually use that necessarily." Or there's a
12 disorder that could be on the time urgent, time
13 sensitive or the time critical--it depends on what
14 the level is.

15 So now when you try to apply even that
16 concept at the level of the state, it falls apart. So
17 you have to ask of the state how it has devised it's
18 time urgent, time sensitive, time critical and that's
19 sort of what we've done. That's the factor we've
20 generally used. We could also explore other factors
21 if people have ideas.

22 DR. CALONGE: Melissa?

23 DR. PARISI: Thank you, Beth. And thank you
24 for the shout-out for NIH funding.

25 DR. TARINI: And NICHD.

26 DR. PARISI: We know that this research is
27 really important and appreciate all your
28 efforts in this space and we're certainly looking

1 forward to the outcomes in your study. I was
2 reflecting as you were talking about potential
3 differences. I don't know if this is going to be born
4 out between a mandatory public health screening
5 program and consented research projects and I'm
6 thinking specifically since Melissa Wasserstein is on
7 your advisory board and is leading your Screen Plus
8 Project in New York and even Wendy Chung's Guardian
9 Project in New York state as well, where they are
10 gathering, probably not the same level of data that
11 you are gathering but really trying to survey those
12 families who voluntarily choose to participate in
13 accessory newborn screening projects or in genomic
14 sequencing in the case of the Guardian Project.

15 And you know, whether there are differences
16 in the characteristics of those parents first of who
17 consent and their experiences with getting an
18 uncertain result. Just thought I would throw that out
19 there and ask if you've had any opportunities to
20 compare your study with theirs?

21 DR. TARINI: I do not believe that Melissa
22 uses the PSI in terms of the quantitative outcome but
23 I could check. I think I had a conversation with Dr.
24 Goldenberg who I should mention is the co-PI with me
25 of the Uncertain Project. He is also on Dr.
26 Wasserstein's project.

27 We certainly could compare the qualitative
28 experience certainly. I mean, I think this gets back

1 to the question about preparation, right. I mean and
2 ultimately from a public health perspective these are
3 not going to be, well the question is what is the
4 paradigm in the programmatic implementation and if we
5 do it in a continued mandatory setting then we of
6 course may not have a full understanding.

7 Now that's to say we think when someone
8 consents, that they know what they signed and I could
9 argue that that's not necessarily, I mean, you're
10 incrementally--your likelihood of understanding is
11 better and being aware but it's not of course, full
12 on when you move from a study to a consent in the
13 hospital setting.

14 So you, I also would argue, fall off a little
15 in your likelihood of understanding when you go into
16 a soft consent of a hospital procedure or hospital
17 testing. So it will be interesting seeing, we're more
18 than happy. There's, it is not an accident, she sits
19 on the panel. [Laughs] She has been wonderful.

20 DR. CALONGE: Michelle?

21 DR. CAGGANA: Hi Beth. Michele Caggana,
22 member. Great talk.

23 I'm intrigued by the signal. So from a
24 newborn screening perspective, we do a lot of work
25 within the program in the lab to reduce the number of
26 parents who are in the situations that you're
27 studying. We developed materials for providers. We
28 talk to parents when they call upset.

1 So from a, I guess the question is how do you
2 control, and that's not probably the right word to
3 use, but the signal, right? How are parents being
4 told, you know, with the right urgency, with the
5 right message, at the right time? From a program
6 perspective how can we assist you?

7 DR. TARINI: Yeah, I feel for you. [Laughs]
8 Because, I suspect, and I've talked to a lot of
9 programs, that the faxes end up on the floor. Or the
10 websites are not necessarily clicked on or it's the
11 parents who are clicking on the websites, who are
12 clicking on the websites often and I think the
13 challenges, you are trying to put this control
14 through another human being.

15 As parents know that's sort of not—when
16 you're trying to channel through another person it
17 doesn't necessarily go the way you want. And then
18 you're channeling through, you're trying to control
19 or impact a process that involves at the state level,
20 thousands of individuals of which the likelihood of a
21 repeated event becomes not rare, but uncommon.

22 So if I train Kyle and he's ready to go, he
23 may not have another false positive for three years
24 and then I have Shawn getting one. And then I'm like
25 -- Now Dr. Farrell has tried, and has worked with
26 some success to do an on-demand sort of assistance.
27 So that's one way to do it. We're here for you so at
28 least you can get to the on-demand and then get rid

1 of that, you've lost your edge and your understanding
2 and your implementation skills.

3 Another way, which I know the programmers
4 don't necessarily like to hear is that you do it.
5 Because you are, and you do it for certain things.
6 And I mean, you choose where you believe that it's
7 most impactful that you deliver the message. And if
8 it's a signal issue, for instance from a palsy
9 perspective, if we find that it's those individuals
10 who have these types of false positives and that's
11 the effect, then and that's a risk factor, then maybe
12 the states say "should we be the ones delivering the
13 message" because the risk here is too high and it's
14 not working to give it through the primary care
15 providers.

16 DR. CALONGE: Sorry, I recognize that we blew
17 through the break that you didn't have and I want to
18 extend a little into our lunch period as well to
19 allow for some questions from the organizational
20 reps.

21 I did want to just add a couple of comments
22 myself and one, you know, being someone who spends
23 his professional, academic career in evidence-based
24 recommendations, I'm excited to bring some structure
25 to what seems to be the specter of potential harms
26 that those of us who buy into that concept that
27 you've sealed very early on, that ignoring harms is
28 unethical.

1 Trying to fill it in with evidence and
2 research is very important and can put some shape and
3 some sense of magnitude to that specter, that some of
4 us who raise in almost every discussion so I do
5 appreciate that. I do want to point you to GRADE-
6 CERQual if you haven't.

7 I'm excited about the addition of qualitative
8 information to the evidence base and hope that we
9 think about how to structure that in our evidence
10 reviews going forward because it is data. It should
11 be recognized as evidence and figuring out how to
12 best inform our decisions is important. GRADE-CERQual
13 does that and I would point you to the National
14 Academy's report and our study about the use of mixed
15 method data including qualitative data in decision
16 making as an application, so I appreciate that.

17 So with my couple of comments I'm going to
18 turn, it looks like Robert lowered his hand so I'm
19 going to turn to Marc Williams online.

20 DR. WILLIAMS: Thank you, Ned. Marc Williams,
21 American College of Genetics and Genomics.

22 Hi, Beth. And congratulations on some really
23 excellent research. I concur with the comments that
24 have been made that this is extraordinarily
25 important.

26 I want to build on what Ned had just said
27 about the specter of harms, because I think that this
28 is a really important concept that we have dealt with

1 frequently which is the idea that we elevate harms
2 which we think of as hypothetical to some equivalency
3 with benefits. And in some sense, we've seen that
4 reflected even in this discussion in that the amount
5 of time that we've spent discussing harms and study
6 of harms has basically excluded any discussion of
7 benefits and how we actually balance those out.

8 So I do think it's critically important to do
9 the research that you're doing to try to quantitate
10 harms so that we can actually have a reasonable
11 discussion of apples to apples which is what are the
12 quantitative benefits? What are the quantitative
13 harms so we can achieve a benefit as opposed to
14 pitting hypothetical harms which are inevitably
15 inflated it seems, in the genetics field at least,
16 which I think Kyle had eluded to earlier with the
17 real benefits from these programs?

18 DR. TARINI: Yeah, I would argue—agree 100
19 percent. I would also argue in the false positive
20 sense we kind of know the benefits. We discuss the
21 benefits when the children are--when we discuss
22 what's the benefits of getting screened so I would
23 push a little to say it's not a one-sided discussion
24 of harm, of the false-positive harms to some degree
25 because the benefits are so often scrutinized in the
26 evidence review. Granted the point is well taken. The
27 bones of the harms, if you will, are a little bit
28 thin and osteoporotic if you will. To your point

1 about the benefits, that's why we lean so heavily on
2 the second study on benefits and harms because we
3 felt in the uncertain, that was important to know.

4 That uncertainty is not always a negative for
5 many people. It doesn't have to be. So we have to
6 have a much more balanced piece when we talk about
7 the uncertain experience.

8 DR. CALONGE: Yeah, and I think the only
9 additional thing I would add, Marc is that I
10 understand the concept of elevating it to greater
11 than the benefits but it remains in evidence review
12 as this, this uncertainty measure and remember that
13 deciding where you fit into a matrix, whether it's
14 ours or the USPSTF or the old E-Gap metric, it
15 depends on evaluating that certainty of the evidence
16 so I think that's just an issue to keep in mind, you
17 know, whether or not the decision is certain to be
18 correct and at what level.

19 Natasha?

20 MS. BONHOMME: Great, thank you. Natasha
21 Bonhomme. Genetic Alliance. Great presentation Beth,
22 as always and a number of the points I have were
23 touched on, but one thing I wanted to note is that I
24 hope that this presentation of the work that you're
25 doing doesn't just let our both federal funders as
26 well as others who fund newborn screening initiatives
27 think "Great. Beth has got it".

28 [Laughter]

1 MS. BONHOMME: But to really show that this
2 could be a portfolio of work and delved into a lot of
3 different areas across different agencies and again
4 across other funders who are very invested in newborn
5 screening. So there's a little plug to be able to
6 expand this. You are superwoman but I don't know if
7 you can do all of the research, all at once at least.

8 And then I also wanted to touch on the point
9 about when--about newborn screening when people leave
10 the hospital and then they say oh I had no idea and
11 how interesting it would be to compare that to other
12 situations, right?

13 I think no one thinks that anyone--first off,
14 I think that most parents are like "Wait, you're
15 actually letting me leave with this baby?" even
16 though they look perfectly healthy. There's that
17 component, let alone that there could be something
18 else.

19 Whether that's a newborn screening condition
20 or you know two days later they have to come back for
21 jaundice. So I think there could be some places to
22 compare there. Not just around genetics and genomic
23 screening but just what happens compared to the first
24 thing that is happening with your new baby from a
25 medical or health perspective and we could learn a
26 good bit on that.

27 And lastly, I'm really glad that the concept
28 around the way that I was framing was not just what

1 are the harms or what is causing it but the how. So
2 really back to the communication. We saw that in our
3 studies in terms of people really saying it's really
4 just how I got the information. Once I understood it,
5 I understood you know, this is not great but I could
6 actually deal with that. So that came up.

7 My one question is, have you thought about in
8 terms of the study, I know it goes 'til years of age,
9 what that might look like if we could follow up with
10 those parents or families at four years of age, how
11 might that be to be able to see, you know, maybe--
12 again, hypothesis. Maybe someone says this was a harm
13 or however you're going to contextualize that. In
14 those first few years but then later, maybe that has
15 subsided. Has that come up at all?

16 DR. TARINI: Yes, everyone always wanted an IH
17 renewal and so and once you have all these parents,
18 you know, you hate to lose them because you've spent
19 so much time building the cohort. I do think that
20 that we spend a lot of times focusing on what happens
21 in the infant field, if you will, first year or so of
22 life and then it will be interesting to see if this
23 experience for any of these parents comes back. This
24 is a sort of comeback issue.

25 The example I use as a pediatrician is, for
26 example that parents will come to me with a three-
27 year-old girl who has a urinary tract infection, very
28 common. So a common occurrence. And there's usually

1 no greater specter of why they got this, and some of
2 the general pediatricians may remember, parents will
3 say "Is that related to the ultrasound that said they
4 had big kidneys when they were a baby?" Which is
5 also, incredibly common-ish, right. And so they see
6 these two abnormalities as linked, right, in ways
7 that I thought hmm, I wouldn't have thought of that
8 but I could see how you, how they would. And so they
9 go back to something that was abnormal that I was not
10 even in my--under my radar and that makes them
11 anxious and overly concerned about this very common
12 and treatable issue.

13 And what it portends and so that's the sort
14 of question I think when they're 4ish--plus/minus, do
15 they revisit this for some reason and have we not
16 sort of inoculated them, to say it's done, it's over.
17 And to your point about--to answer your question--and
18 to your point about the--not happy with how it
19 happened.

20 The genetic counselors will say, Kathy
21 Wickland, a former member of this Committee, that
22 once there's a bad experience with the communication
23 for instance, they can go way back to all of these
24 things. This is when it gets difficult because it
25 might not have been a generally--all the things that
26 happened in the process of communicating might not
27 have been awful but once you have an awful element in
28 it, everything bad becomes awful through that awful

1 lens.

2 And so that makes it difficult because some
3 of this, some of the communication may just be just
4 fine but if it's tainted at one point and at the end,
5 then everything becomes up for--being torn at, so it
6 becomes a challenge to sort of figure out what really
7 is the issue.

8 DR. CALONGE: Deborah, you have our last
9 question or comment.

10 DR. FREEDENBERG: Thanks, Debbie Freedenberg,
11 AAP. Beth, thank you for this important research but
12 when you were talking about the variants, not
13 variants but uncertain significance results, it
14 sounds like you were suggesting a paradigm shift in
15 that primary care provider would no longer be
16 responsible for providing information to the family.

17 And you know, I have some concerns, as
18 Michele said, states spend a lot of time mitigating,
19 putting people in those positions and a lot of time
20 in providing education and providing the backup for
21 the primary care physician and often those
22 conversations happen before that primary care doc
23 actually talks to the family, so kind of the "just in
24 time" model and if we were to change that paradigm,
25 you know, I'm concerned about the establishment of a
26 longer term relationship for their primary care.

27 As well as the state would be involved in a
28 limited timeframe and many states don't have the

1 resources to do that right now and how that would
2 work going forward. Would that really not help
3 establish long-term care and also the reality is, if
4 that child were to need more care it's a physician
5 that has to do that referral, based on the way our
6 medical system works now. And if it's okay, you could
7 address some of that?

8 DR. TARINI: Excellent question. Yes, you are
9 hearing that correctly. There is a questioning on
10 having a--are we optimizing the communication process
11 in its current form?

12 I think this is the next area of many
13 opinions, little data. So if you look--because we
14 just submitted this grant, this proposal. If you look
15 into the literature on primary physicians'
16 communication to parents of out-of-range newborn
17 screening results, you will see very little, now even
18 less than the uncertain literature of direct
19 questioning of the primary care physician's
20 experience.

21 Most of what we know from them comes from
22 other people telling us what they do or their
23 experiences with them. Often the states, it occurred
24 to me at the APHL newborn screening symposium,
25 everyone was telling me about--there was no
26 pediatricians in the room and everyone was telling
27 me, appropriately so about all of their conversations
28 and interactions with the primary care physicians.

1 And I was like well we really don't know from their
2 end what's going on, we don't know the processes.
3 It's all these sort of edges of the elephant. So I
4 would argue, we cannot assess the conversation. We do
5 not have the data to assess what's going on, we have
6 that box of the primary care physician's office.

7 We have everyone's perspective on it, but the
8 actual individual carrying out the actual
9 communication. Which is a problem in a national
10 mandated public health program that relies on a
11 primary care physician to--to implement one of the
12 most critical pieces of it. and so I think we need to
13 know from a primary care perspective what's going on.

14 I think your point about research is well-
15 taken. I think if we're doing it--it would cost less
16 but we're doing it worse. I would argue that maybe we
17 should spend a little more money to do it better. But
18 I don't know that. I don't have the data.

19 And to your point about--I agree, to clarify.
20 I don't think the primary care physician should be
21 sent to the corner to sit and face the wall. I think
22 they should be part of the conversation. They
23 necessarily should not be the sole and/or major
24 communicator of that result.

25 And I'll close with the example I use. My
26 primary care physician sends me for a mammogram. They
27 do not report the results to me. The results come
28 from the radiologist. I discuss the results with my

1 primary care physician. They review the results with
2 me. They discuss if it's abnormal and needs a biopsy.
3 They're part of the care team. They are not
4 delivering that service.

5 They are coordinating it in part of the care
6 team and their lack of not being the sole or key
7 communication point does not decrease their value
8 involvement as a member of the team.

9 DR. CALONGE: Well, Beth. I know I'm speaking
10 for everyone in the audience how appreciative we are
11 of a very great presentation. I want to assure Dr.
12 Bailey and Elizabeth that we feel the same way about
13 their presentation. Thanks so much for an incredibly
14 useful and informative morning and Leticia, can you
15 tell us a little bit about lunch logistics?

16 MS. MANNING: Thank you, Ned. So we are going
17 to reconvene here at 1:00 p.m. We'll have a shorter
18 lunch. Just outside of the screen doors is a
19 cafeteria. There are various food items there to the
20 back, through a little hall there. One of the escorts
21 can show you, there's a little store with different
22 snack items and lunch items and drinks in there also.
23 The bathrooms, there's bathrooms there and there.
24 There's bathrooms on this side also and so I'll see
25 you back at 1:00 p.m.

26 **Federal Agency Collaboration to Improve Newborn**
27 **Screening Data Integration**

1 DR. CALONGE: If folks can find a seat, we'll
2 get started again. I know that Shawn is, Dr.
3 McCandless has not returned quite yet, but I think in
4 the interest of time we need to get started. And I
5 see Christine, if you could just unmute Christine
6 Dorley and make sure I know that you're back with us,
7 that would be great.

8 DR. DORLEY: I'm here.

9 DR. CALONGE: Thank you, I appreciate that.
10 Welcome back folks, to the afternoon session. Our
11 next two presentations are going to describe how
12 Federal Agencies are collaborating to improve newborn
13 screening data integration. We have presenters from
14 CDC and HRSA.

15 *Sickle Cell Data Collection (SCDC) Program*

16 We'll first hear from Mary Hulihan from the
17 Centers of Disease Control and Preventions
18 Epidemiology and Surveillance Branch of the Division
19 of Blood Disorders. She will be providing us
20 information on the sickle cell data collection
21 program.

22 She is a Health Scientist in the Epidemiology
23 and Surveillance branch with the Division of Blood
24 Disorders at CDC. She's participated in activities
25 related to sickle cell disease and thalassemia
26 surveillance since 2008. She currently is a project
27 office for the several cooperative agreements

1 connected to sickle-cell, including characterizing
2 the complications associated with therapeutic blood
3 transfusions for hemoglobinopathies and the sickle
4 cell data collection program. And so I'd like to
5 invite Mary to the podium.

6 (Audio interference.)

7 DR. CALONGE: Sorry, Mary I'd like to invite
8 you to the screen, how about that?

9 DR. HULIHAN: Wonderful. Thanks so much and
10 thanks for having me here today to share information
11 about our sickle cell data collection program. Next
12 slide, please.

13 So just to give you a bit of a background
14 About the priorities of SCDC are sickle cell data
15 collection program. It's really multifaceted even
16 though the name may have you think otherwise. You see
17 at the top of your screen certainly data collection
18 is that the heart and is the framework for everything
19 we do but if that was all we were to do, it really
20 wouldn't lead to any outcomes or favorable aftermath.

21 So really data needs to be collected and put
22 into use. That data is used to a number of different
23 means. But community engagement and communications
24 are two of the main ways. And hopefully at the end of
25 the day really what we're striving to do is use this
26 data, work with our partners work with the sickle
27 cell disease community, to improve policies at the
28 federal state local healthcare setting level, to

1 improve the lives of people living with sickle cell
2 disease.

3 And as you see there on the screen there's a
4 few different examples of each of these different
5 priorities Next slide please. That one, great,
6 wonderful.

7 And so what does our SCDC program look like?
8 It is a compilation of data from many sources. On the
9 left side of the screen you can see those listed. So
10 these data sources are each accessed and utilized by
11 the states and territories that are participating in
12 the SCDC program. Those 11 states and territories
13 that are currently participating are showing in the
14 map on the right and across those 11 states I think
15 that we are covering a little over the third of the
16 US sickle cell disease population.

17 And so those states collect newborn screening
18 data, hospital discharge data and Medicaid claims,
19 emergency department data vital records,
20 protuberantly death data and data from some of the
21 larger sickle cell clinics in each of their states.

22 The data that's collected is individual data
23 level data. It does have identifiers and it's because
24 the data is then deduplicated and linked across all
25 of those different data sets. Now the final data set
26 that is produced is housed and maintained by each of
27 our state partners. The only information that is
28 shared out of the program both to CDC and to external

1 researchers to other states at this point in time is
2 Aggregate level of de-identified data. Next slide
3 please.

4 And so why is this important is certainly the
5 main topic. Today we have newborn screening for
6 sickle cell disease in the United States and in many
7 states, we've had it for quite a long time. We do
8 have it in all 50 states. Why is newborn screening
9 itself not enough for individuals with sickle cell
10 disease?

11 And the real reason especially here in the
12 United States is when we look at what happens to
13 babies born with sickle cell disease and see what
14 happens long term. They are not receiving the care
15 that they need. I think this graph here is a really
16 great example of what's going on. This is data from
17 two of the states participating in our program
18 California and Georgia. It is broken into pediatric
19 on the left side of the figure and adult care on the
20 right side. And so those two states we've looked at
21 all the individuals living with sickle cell in their
22 state and look to see the most basic question. Are
23 those individuals receiving care from a hematologist?
24 This won't even necessarily be a sickle cell
25 specialist. And what they found over the period of
26 three years, about a quarter of the children and over
27 10%--A quarter of the children in California and over
28 10% of the children in Georgia never saw hematologist

1 over a 3-year period. Those are the people who know
2 how to take care of their disease, those are the
3 people who are trained to take care of their disease,
4 and those are the people who could provide specialist
5 care. That many children were never getting connected
6 to that pediatric hematology care.

7 And when we look at the adults it gets much,
8 much worse again over a 3-year period over half of
9 the adults in California and over a third of the
10 adults in Georgia never saw hematologist over a 3-
11 year period. And so we need to use information like
12 that that's collected nurse surveillance program,
13 SCDC combined with information from all these
14 different data sources to do a better job in
15 Following up these individuals long-term and better
16 understanding their health--healthcare outcomes. Next
17 slide please.

18 And so what can we do with that information
19 When we do follow it up long term? So I'm going to
20 provide three examples. These are taking place--
21 actively taking place and states participating in
22 SCDC. The first is from Georgia.

23 Very interesting in their surveillance data.
24 They're really, what is long-term follow-up data to
25 do a better job of understanding pain management and
26 care for pain related to sickle cell disease in the
27 state of Georgia. What does that pain management look
28 like? What are the policies surrounding that pain

1 management? And what can this surveillance--what
2 information can it provide to perhaps change policies
3 around sickle cell pain and pain management in the
4 state? Next slide please. Another example of success
5 is taking place in North Carolina. They're using this
6 surveillance data, this long-term follow-up data to
7 identify which emergency departments in the state
8 provide the most care to individuals with sickle cell
9 disease that have been going to those emergency
10 departments, working with the Medicaid program in the
11 state to administer surveys to individuals with
12 sickle cell disease who receive care and those
13 emergency departments.

14 And we're providing emergency departments
15 with tools and education to improve the care that
16 they provide to individuals with sickle cell disease,
17 and then the Medicaid program is going back in and
18 doing post-care surveys with those same individuals.
19 So using this surveillance data to really target
20 outreach education to improve practices surrounding
21 care for sickle cell. Next slide please.

22 And in our third and final example. This is
23 taking place in Michigan. This is a relatively new
24 project. It is a combination of efforts from the team
25 at the University of Michigan, who is the grantee for
26 SCDC and their partners within the state health
27 department. They're using the SCDC data to identify
28 individuals who are eligible for a newly expanded

1 children's special health care services program in
2 Michigan.

3 So this program was in Michigan created when
4 funds were put towards the program. They identified
5 individuals in the state they felt would be eligible
6 for the program. It was around 400 people. By using
7 the survey and its data they realized that it was
8 actually over 2500 individuals who were eligible for
9 this program and eligible for the expanded benefits
10 of this program.

11 And so now they're using this data to reach
12 out to those individuals, to their healthcare
13 providers to make sure that they're aware of the
14 program and to enroll those who wish to do so. Next
15 slide please.

16 Okay I'm going to give you more examples in
17 ways that this project is benefiting individuals with
18 sickle cell disease in any particular given state. I
19 have given some links here if you would like to learn
20 more. We have web pages with data from the state
21 publications, Fact Sheets. There was a recent MMWR
22 article published, which is a surveillance summary of
23 the program it's a very in-depth look at the history
24 of the program, the methods the ways the data is
25 resulting in active change in the states
26 participating.

27 And we have an ongoing quarterly newsletter
28 called "Bloodline" and that provides updates about

1 project-related activities in the states, including
2 our work with the community and with policymakers. So
3 you can go to the link that's provided there and you
4 can click. This newsletter can be delivered to your
5 inbox on a quarterly basis. Next slide, please.

6 This is my contact information. I absolutely
7 welcome any questions you have after the meeting
8 today at any time. We're here. We're happy to help. I
9 will now turn it over to continue the conversation.
10 Thanks so much.

11 *Implementing the Blueprint: Implications on Newborn*
12 *Screening*

13 DR. CALONGE: Thanks Mary for a great
14 presentation. I hope you can stay around for the
15 question and discussion period. We're going to turn
16 now to Jeff Brosco. He's going to talk to us about
17 implementing the blueprint and it's implication for
18 newborn screening.

19 Jeff, we know is a historian pediatrician. He
20 serves as a director for the division of services for
21 children with special needs here at HRSA In the
22 Maternal and Child Health Bureau. He also continues
23 to teach and practice General Pediatrics and
24 Developmental Behavior Pediatrics at the University
25 of Miami, Miller School of Medicine.

26 For over two decades Dr. Brosco has had a
27 series of leadership positions for the Florida

1 Department of Health's Children and medical services
2 and previously served on this Committee. So I'll turn
3 things over to you Jeff.

4 DR. BROSCO: Thanks Ned. How many of you heard
5 or read the sentence that newborn screening is one of
6 the most successful public health programs over the
7 last 50 years? Everyone should be raising their
8 hands. How many of you have wrote that sentence as
9 well?

10 [Laughter.]

11 What I meant to do now is answer a couple of
12 questions or lead towards answers of things that
13 although we say that we don't have a lot of evidence
14 that the programs, actually we've evaluated them and
15 do so in a continuous way, especially with long-term
16 follow-up and that individual children may get
17 identified.

18 But do we get the treatment they need? What
19 I'm going to try to do is talk a little bit about the
20 blueprint but connect what we heard earlier today
21 from Don and Elizabeth and what's to come next from
22 Mary on sickle cell disease and then lead into my
23 other CDC colleagues, Amy is going to join us and
24 Carla.

25 I'm going to go faster through the blueprint
26 part. You guys heard Dennis Kuo talk about the
27 blueprint. One of the questions you asked were what's
28 new about it? And what do we do about it? So I'm

1 going to try to answer those questions for you today.

2 I'm going to start with connecting to what
3 Mary just said. This is an editorial that came out in
4 pediatrics about a month ago and it's extraordinary.
5 Right, that almost half the kids we identified from
6 newborn screening don't get disease modifying
7 treatment. Right, this is--We can't let this go on.
8 This is where we are right now with our system of
9 care.

10 So part of our responsibility especially at
11 HRSA, is to make sure the system works especially if
12 we identify a child in newborn screening that we then
13 make sure that they have access to the care that they
14 need. And what are the things that we do now the
15 program set we do at HRSA is we have these treatment
16 demonstration programs.

17 We have a bunch of clinics that are funded,
18 Dr. Lau is one of our PIs, and we try to make sure
19 that the children are receiving their care. We also
20 fund community-based organizations and try to help
21 families get from newborn screening to a treatment
22 center that has center of excellence ratings. So
23 that's kind of what we're doing. A very small part of
24 it but I want to show how this connects to the bigger
25 part.

26 So remember that the children who were part
27 of the newborn screening often fit into this larger
28 category of children with special health care needs.

1 Many of them have developmental behavioral disorders.
2 There's a whole list of medical disorders. There's
3 actually 13,000 more conditions that fit into this
4 broader category. Pediatrics is really full of very
5 rare extraordinarily rare conditions that complicate
6 it.

7 So how we put it all together is that the
8 children who have these conditions tend to have more,
9 more in common with each other. Then they do with
10 children who don't have a special health care need.
11 So the CYSHN population is what many of us deal with
12 and that's where this Committee is sort of located in
13 the federal government, and it's defined as a child
14 to as basically more healthcare needs, more education
15 needs, more therapeutic use than a child typically
16 needs.

17 The blueprint for change started actually 3
18 or 4 years ago, you've heard this already and really
19 involved families, subject matter experts inside and
20 outside of government, public health folks, who
21 basically said "Where are we going, what do we need
22 to do?" And out of that came there - I think eight
23 different papers. Any of you tried reading these
24 back-to-back? I did. It's completely and totally
25 overwhelming.

26 There's like 12 principles, 40 strategies.
27 It's extraordinary what we put together. It really is
28 a beautiful idea of what the system could be. But

1 it's a bit overwhelming. So one of the things we
2 tried to do was try to make a little -- sort of we
3 put in these categories of quality of life and access
4 to services, financing health equity.

5 And it's still a little bit complicated to go
6 through all those things. So we, these slides don't
7 move really fast, do they? And go. Oops and now it's
8 gone all the way. One more. Okay. So what to do about
9 the blueprint we've been doing access to care and
10 finance for decades.

11 And getting further along we certainly have
12 made some progress. What's really new about it are
13 two things. Quality of life and equity. What families
14 told us Over and over again is we're really glad
15 you're measuring immunizations and hospitalizations
16 and missed child care visits.

17 What really matters to us is -- is my child
18 going to school. Are they playing? Are they happy?
19 Are they thriving? And in fact are the caregivers
20 doing well, right because caregivers are very useful
21 for understanding. If they're doing well then
22 probably the children are doing well or vice versa.
23 If the kids are doing well, they're probably doing
24 well.

25 And the second thing they told us is they
26 wanted to make sure that we're reaching every single
27 child. That equity is critical for the work that we
28 do. So how do we put this together? We took that

1 whole big blueprint, those 40 strategies and
2 everything else and said it's pretty simple. We want
3 to make sure every child gets the services they need
4 so they can play, go to school become a healthy adult
5 and so that grownups and siblings will be thriving
6 too.

7 So how do we do this? We want to measure what
8 matters. This is good old-fashioned public health and
9 this is how it's going to start linking in to the
10 earlier presentations and where we are with newborn
11 screening. One of the things we said was yes we want
12 to measure what matters, quality of life. So we
13 wanted this maybe kindergarten readiness, healthy
14 weight, reading at grade 3, successful transition to
15 adulthood. The range of things that most of us are
16 important to our kids. And it would be great if we
17 were using these measures across our grants, our
18 managed care organizations, Our Title V and so on. We
19 also think that we need at least one condition
20 specific measure. I'll share a couple examples of
21 that in a minute. In part because we want to know how
22 that particular group of children are doing, but also
23 especially for children with intellectual
24 instability, autism.

25 These may or may not be -- the universal
26 measures may not be the best ones. The big league too
27 though is to look at the population level. And for a
28 long time we've been asking of our grantees is: how

1 many trainings did you do? How many children did you
2 reach? How many families did you talk to? But that's
3 just a numerator. The denominator is often huge.

4 So what we're trying to do now is we want to
5 know what percentage of children are reaching this
6 level, whatever that's success level is. And in fact
7 the equity part comes in because we want you to take
8 that same equation and look at it--just aggregate the
9 data based on historically underserved groups.

10 Whether it's rural status, race, ethnicity,
11 limited English proficiency, whatever that may be.
12 And then think about accountability not in terms of
13 We're holding you responsible for the outcome, but
14 we're holding you responsible for monitoring,
15 planning, and then reconfiguring your approach. So
16 one example is in deaf and hard of hearing infants.
17 So probably many of you know that this is a key part
18 of newborn screening. And we fund at HRSA an EHDI
19 coordinator in every single state to help make sure
20 the system runs well.

21 And we have been focused mostly on the 1-3-6,
22 Were they screened by one month of age, get a
23 diagnosis by 3 months, and we're connected to Part C
24 early connection by age 6 months. But what we really
25 want to get to though is language acquisition. Age 3
26 is probably a good time to measure that as you heard
27 from Dr. B and his colleagues, that's when Part C
28 goes out too. And so it's a good time to know.

1 Because every child who's deaf and hard of hearing
2 should be in a Part C program.

3 And if we work closely enough, this is where
4 the data integration comes in we should know what
5 percentage of children who are deaf and hard of
6 hearing in each state has language acquisition and
7 the average clinical range. So think about what this
8 does then. It tells our EHDI coordinators, it's not
9 just your job you can't possibly drive every kid to
10 the clinic and make sure each child gets everything
11 they need. You can't do that alone.

12 But what you can do is convene stakeholders
13 in the state. You can put up a pipeline like this you
14 can show the numbers and say where are the leaks in
15 the pipeline in our state. Is it at the 1-3-6? Is it
16 a matter of audiology screening? Or is it at the
17 early education site, Part C program? Where can we
18 work together? What's our strategic plan for
19 improving outcomes, and can we show them over time
20 the percentage of children with the average level of
21 language acquisition continue to go up?

22 And again as I mentioned just aggregate the
23 data based on key things like race, ethnicity, rural
24 status, whatever it may be in your state. So we think
25 by doing this we can measure quality of life and get
26 to equity and have a continuous quality improvement
27 system. So that's the deaf and hard of hearing.

28 I'm going to remind you I'm going to run

1 through these slides. This will look familiar. It's
2 almost like PTSD for some of us that have been doing
3 this a long time, right. So these are the slides that
4 we show every year about how this Committee has been
5 saying we have to do long-term follow-up, and follow
6 through, and we've got all these publications and
7 we've got this incredible work. I think Cindy
8 Hinton's here right. Look at this, doesn't this
9 remind you of something?

10 We've been saying for 15 years we need to
11 have a system like this right? So where we are now is
12 we think that it's time to actually do this. And so
13 we are taking first baby steps towards this and I
14 think about it in a very simplistic way. And that is
15 if there's three buckets of data, and the first
16 bucket of data is kind of what happens in the lab.
17 And when you get a result does that result is it a
18 yes? Is it a no? Understanding there's false positive
19 and uncertainty. In a few minutes Amy and Carla are
20 going to talk to you about Ed3N, and how that bucket
21 can really be understood and data analysis done at
22 the CDC can help states decide yes or no the risk
23 analysis. I'll let them talk about that.

24 The second bucket is about notification
25 confirmation and that is letting the family know and
26 physician know and the clinical team know, confirming
27 that they're going to do a diagnostic workup.

28 And that third book is a long-term

1 longitudinal clinical care that we've talked about
2 for a long time. That's where public health
3 surveillance fits in. So just to remind you that the
4 data matters between one and the other. And that is
5 for Ed3N lab analysis things to kind of work, you
6 actually need to know well did that value of 12 turn
7 out to be that condition or not?

8 So you need to have some feedback from the
9 clinical bucket two to bucket one and okay three
10 might even be helpful too, right? Because if you have
11 that then you know about late onset conditions and
12 how children do over the long term. Once they allow
13 bucket number two we spend a fair amount of time on
14 this Committee talking about new steps, and figuring
15 out how to improve timeliness, and as you know
16 through Propel and Excel, we are, hopefully very
17 soon.

18 I'm looking at Alisha to see how soon, but
19 we're thinking about very soon, which states are
20 going to be starting to fund the work on implementing
21 the conditions, short-term follow-up and long-term
22 follow-up. So our goal is to make sure the states
23 have at least some of the capacity to be able to do
24 this.

25 And then bucket number three while you heard
26 just now from Mary Hulihan about one particular
27 condition which is sickle cell disease. But there's
28 also a fair amount of long-term follow-up happening

1 in the EHDI deaf and hard of hearing world. And
2 there's something happening with other conditions.

3 But they're sort of haphazard. And we in HRSA
4 also fund about five or six different long-term
5 follow-up projects and they've done some remarkable
6 work putting together and saying okay it's just a
7 graphic for how we think of long-term follow-up data-
8 -But we want to have we have state public health
9 evaluation things we need.

10 And in this clinical follow-up did that
11 particular child get what he or she needs and then
12 there's research needs. You--this kind of Venn diagram
13 shows you that sort of core set. So what we'd like to
14 start thinking about is how do we connect buckets one
15 and two and three and have an infrastructure that allows
16 us to do this so that there's information going back
17 and forth. We think this is a long-term project. But
18 we want to get started We want to get the first steps
19 going.

20 I didn't realize this was one of those cool
21 slides it does all those things. Keep going. So what
22 are we aiming for? In some ways we're already doing
23 this well. And hemophilia is a really good example.
24 We know the treatment makes a difference. And CDC
25 currently now has a program called Community Counts.
26 And so not only do they measure things like joint
27 bleeds, because if you have fewer than four joint
28 bleeds a year that correlates with levels of

1 ability/disability but they're also looking at things
2 like high school graduation.

3 And you can see that by continuously
4 monitoring it. We at HRSA fund programs to make sure
5 that they are doing quality of care, that they are
6 doing the training that they need to do, and they're
7 getting pretty close to reaching all the kids in the
8 United States. So it's getting closer to percentages
9 and not just numbers. So it is possible to do this.
10 So in conclusion what we're hoping is to be able to
11 work together with the federal partners, create an
12 integrated data system that over time starts to link
13 these different pieces And it starts with a kind of
14 public ideal. If we're going to screen a baby for a
15 condition, then we have some responsibility for
16 knowing whether the program is working and the
17 children are getting the particular treatments that
18 they need.

19 We need to make sure that every child is
20 getting what they need so they can play, grow into a
21 healthy adult go to school, have friends, all those
22 things. So thank you.

23 [Applause]

24 *CDC's Ed3N Project*

25 DR. CALONGE: Thanks, Jeff. And lastly, we're
26 going to hear from Carla Cuthbert and Amy Gaviglio on
27 CDC's Ed3N project, which stands for enhancing data

1 disease detection in newborns.

2 Carla is the Chief of Newborn Screening in
3 Molecular Biology Branch in the Division of
4 Laboratory Sciences National Center for Environmental
5 Health at the Centers for Disease Control and
6 Prevention. She has been in this position since
7 December of 2009. She serves as a CDC representative
8 on the ACHDNC and is a co-chair of the inner agency
9 coordinating committee on newborn and child screening
10 Which provides input to the secretary at the HHS on
11 national newborn screening discussions.

12 And her colleague Amy Gaviglio currently
13 works with the CDC's Newborn screening Molecular
14 Biology Branch, the Association of Public Health
15 Laboratories, inspecting health and several other
16 genetics and rare disease organizations. She's a
17 certified genetic counselor and founder of
18 connections consulting which provides public health
19 genetics genomics and rare disease services across
20 the country. She's been working in the newborn
21 screening and rare disease space for the past 15
22 years. She co-chairs APHL's new disorders in newborn
23 Screen works group and there's a member of additional
24 national groups. Finally, she serves as the Chair of
25 the NBS Expert Panel for the Clinical and Laboratory
26 standards institute and is currently the chair of
27 Minnesota's Rare Diseases Advisory Council. So, we
28 welcome you both, and Carla.

1 DR. CUTHBERT: Thank you for having us. We're
2 really excited about being able to present on our
3 Ed3N project. This has been a passion project indeed
4 for us. We have been working at it for a very long
5 time as you will find out. So, I'm just going to give
6 a bit of the overview. Amy is going to be able to go
7 a little bit more in depth about where we are, to
8 just sort of skirt over the surface of what the
9 project is and what good it will do.

10 So again, the whole idea behind this project
11 has been something that--that started many, many,
12 many years ago. And you know as again, branch chief,
13 we have an excellent team of scientists to work with
14 the States, But I'm always trying to think what's
15 going to happen in the next 5 years.

16 How can we as a branch position ourselves to
17 really meet the need. And this part of this slide
18 actually refers to the presentation I did in 2013, 10
19 years ago when we were celebrating 50 years of
20 newborn screening and really the big question is what
21 do the current challenges tell us about what we
22 should expect for the future and how can we know what
23 to do.

24 So again we're plagued with the goodness
25 there are more conditions, more complexity. We have
26 more testing and so on. And so that became part of
27 the foundation for one of the issues that we wanted
28 to be able to address as we move forward. And what we

1 understood was that there are a number of programs,
2 as we're generating more and more data, the data
3 handling is going to be a very significant issue that
4 we would actually need to deal with.

5 So over the course of the last couple of
6 years, from in 2017 I remember very clearly pulling
7 aside people that I could find in corridors and
8 asking them how are you doing, how are you managing
9 data, is this a thing? And from that moving on to
10 other discussion. What's a programs in workgroups and
11 Committee meetings, we eventually had no meeting in
12 2019 where we really wanted to have a discussion on
13 data science is it applies to newborn screening with
14 the idea that you know, there's an opportunity for us
15 to be able to think about how we can incorporate some
16 of the practice of data science into our workflow, to
17 help make our test better, to operationalize and how
18 to make some of the tasks of what we're doing and
19 just to be able to handle some of the data a little
20 bit more effectively.

21 So, during that time Ed3N was essentially
22 defined, and we were able to start the development
23 work and do some of the pilot testing and so on. We
24 put 2028 as a full Eco Live, but again I'd like to
25 put some caveats there. We are a federal government.

26 We do depend on funding and we depend on
27 every-- everything else moving forward. So that's the
28 tentative goal, in 2028. So in terms of identified

1 gaps and so on that we identified during this process
2 we do acknowledge that there's been challenges with
3 respect to harmonization between states and testing
4 practices with respect to data output and capacity
5 and then it inadequate number of data analysts to be
6 able to support this activity an operability
7 specialist and so on.

8 And there is a disparate amount of ability
9 and resources for our programs to be able to analyze
10 some of the screening data and to improve
11 performance. Not to mention there are silos, one off
12 instances, and so on between the programs and other--
13 other relevant health programs.

14 So that being said, when we had the 2019
15 national data analytics meeting, the session lead did
16 ask the question -- a series of questions, there were
17 a number of questions that we were asked, but they
18 asked for some of our thoughts on the need for some
19 kind of national newborn screening data platform.

20 And I won't go into it in detail of course
21 but you know some of the general points the majority
22 of the respondents that shared what's --that they
23 felt that it was important, data analytics, and that
24 sort of thing. That they would probably use it about
25 at least weekly but it should be housed at CDC and
26 that deidentified level, individual data especially
27 in the realm of clinical diagnosis and so on, should
28 be included.

1 So taking all of these things together the
2 discussions that we've had, the data that we've
3 reviewed and so on, we embarked on creating What is
4 now termed Ed3N. It was called the data hub for a
5 very long time until my boss told me you can't use
6 that word because CDC is making a data hub so you
7 can't call it that.

8 So conveniently we were able to come up with
9 this particular name and of course it stands for
10 enhancing data-driven disease detection in newborns.
11 The tool the platform really aims to improve risk
12 assessment with newborn screening that would allow
13 for more timely diagnosis and intervention and
14 newborns that are truly at risk for increasing
15 numbers of diseases.

16 Providing a tool to newborn screening
17 programs, we would hope that it would decrease
18 disparities across newborn screening programs in
19 terms of data analytic capabilities, which should
20 also translate into better screening experiences by
21 parents, by families across the country.

22 So those two aims would be cheap through Ed3N
23 making this fully supported tool available to newborn
24 screening programs. We would expect that this should
25 increase our capacity and infrastructure as a nation,
26 to collect aggregate and analyze newborn screening
27 data without placing an additional burden on newborn
28 screening programs that are already stretched then in

1 trying to perform their own day-to-day activities.

2 So understanding the potential for Ed3N as a
3 tool and we were able to sort of ride that wave that
4 CDC has been recently on. We started the
5 conceptualization of this project just as CDC was
6 getting into data modernization. It was so
7 convenient. One of the nice things that has happened
8 as a result of this, we tried to put our project in
9 front of as many people as was listened and were very
10 glad to let you know that we were one of eight
11 programs identified in the non-infectious disease
12 center to be selected for accelerated modernization
13 of an IT system.

14 So it's different, it's new. I'm excited and
15 we get to benefit from some CDC resources that
16 they're actually creating for the rest of the agency.

17 Amy's going to continue with a little bit
18 more about what it is, and will help to define just
19 where we are.

20 MS. GAVIGLIO: Thank you Carla and members of
21 the Committee, so as Carla mentioned, my job today is
22 to take us a bit from the abstract in Ed3N into the
23 actual where we are.

24 So Ed3N actually exists. We have built much
25 of this. This is a screenshot from the landing page
26 of the Ed3N platform which as Carla mentioned is a
27 web-based, cloud-based platform. You can see that
28 there will be three essential modules within Ed3N so

1 we start with our evaluate module. This is the module
2 that I'm going to focus on most today because this is
3 the piece where we really envision programs putting
4 their data in and really using it, potentially in
5 their day-to-day to get a holistic look at the
6 patient centered newborn screening process.

7 The middle area is known as explorer and this
8 is an area where we would incorporate aggregate de-
9 identified data from all other programs. So this is
10 an area where we can really start to look at things
11 like novel biomarkers, QI metrics, more on the
12 diagnosis piece so really where we can actually start
13 to look at our data pool together as a country, which
14 of course is very, very important since we are
15 talking about rare disease.

16 And then the third area is what we're calling
17 the educate area. So we want to make sure that as
18 we're incorporating more data analytic tools and as
19 we're talking more about these different kinds of
20 algorithms that everyone feels very comfortable with
21 what's going into it. That they don't feel like this
22 is a black box that we have the utmost transparency
23 into what we're doing behind the scenes in Ed3N.

24 But again for the remainder my talk will be
25 focusing mostly on this evaluate portion. So as we
26 delve into that, you can see that the evaluate
27 portion in and of itself will also have kind of three
28 modules that will capture the three main buckets of

1 data that we get in newborn screening.

2 Although I'm going to be talking about them
3 as though they are separate. I want to point out that
4 they will all be integrated at the patient level.
5 This is going to be the beauty of Ed3N is that we can
6 actually look at the things from a patient
7 perspective. We're not going to be just looking a
8 biochemical data separate from the regular data,
9 separate from clinical data.

10 But will collect all pieces, or those three
11 pieces of information, understanding that you the
12 utility of Ed3N, the utility of any data collection
13 system depends on how easy it is to get the data in.
14 We are looking very heavily at not doing any manual
15 entry. We will be looking into using and leveraging
16 things like HL7, FIRE, getting this directly from
17 newborn screening laboratory information management
18 systems, or LIMS or case management systems that will
19 be transferred securely and encrypted into Ed3N,
20 where you can have near real-time patient data
21 processing analytics and digital visualization, with
22 the ultimate goal, as Carla mentioned, to modernize
23 and improve our ability to do risk assessment in
24 newborn screening but also to just have a better
25 understanding of how we are doing with newborn
26 screening overall.

27 So for the remainder of these, I'm going to
28 kind of delve into each of the modules separately and

1 I'm going to start with molecular module because
2 interestingly it is the most well-built out for us at
3 this point and we have worked quite a bit with the
4 newborn screening community on this, particularly the
5 CDC-APH on molecular subcommittee to examine what the
6 current workflows are, to do the requirement
7 gathering and to actually do some beta testing and
8 pilot testing.

9 So you can see here that some of the
10 challenges that have been identified and the idea of
11 bringing more molecular, especially sequencing data
12 into newborn screening is the idea of having to
13 interpret these variants and especially having to
14 interpret them in the context of having no phenotype.

15 The idea that we -- you know, the need to
16 curate this data, the need to have more collaboration
17 across programs so you can see what other programs
18 have picked up and maybe how they have interpreted
19 that as well and then again I'm going to kind of keep
20 highlighting the idea of this ability to link the
21 molecular data back to the biochemical data and
22 clinical data so we have that full patient picture.
23 So when we are done with the molecular module which
24 we hope is fairly soon, ultimately we will be able to
25 provide an end-to-end solution for programs who want
26 to incorporate sequencing into their newborn
27 screening programs. So we will be trying to give them
28 tools to make this easier and a bit less scary to do.

1 So we've been working with our office of
2 advanced molecular detection at CDC. They have an
3 offering called LIMS Lite so that will provide some
4 of that wet bench processing as well as the
5 bioinformatics piece for them so we are in the
6 process of validating that right now. Programs who
7 already have their own bioinformatics pipeline, they
8 can plug it into LIMS Lite if they want or they could
9 just start at the point of Ed3N which is where you
10 would really put in your variant identification or
11 your variant call files and we will actually walk you
12 through the variant interpretation process.

13 It is a -- we are bringing in all of the
14 evidence that you would normally need to do that
15 interpretation into one place. So it's all in one
16 place. It gives you all of the data on that variant
17 and really kind of walks you through it in a really
18 easy way. You can also see if another state has
19 detected this variant and how they have interpreted
20 that as well.

21 We will of course, you know, we don't want to
22 continue to silo data by creating yet another
23 platform that doesn't speak to another platform so we
24 are very keen on making sure that we are contributing
25 to the greater knowledge base, so providing this
26 information, the ClinVar. We're also looking at using
27 tools that provide some of this curation and
28 especially the literature search functionality

1 directly for the programs as well.

2 We move to the biochemical module and so much
3 of this I think is not going to be surprising to any
4 of you in terms of challenges. We've heard many of
5 this in discussions in terms of variability and
6 cutoff determination, challenges in harmonizing data.
7 Just the rarity of the diseases make it very
8 difficult to decide where our cutoff is, decide what
9 our algorithms should look like and obviously always
10 our goal is to minimize false negatives while keeping
11 false positives low.

12 So we are continuing to kind of work on -- on
13 this right now. I want to acknowledge that we are
14 looking to use data analytic techniques like machine
15 learning to try to improve this. We know that there
16 are other systems out there that are looking at this,
17 have looked at this, have implemented different ways
18 to improve risk assessment for biochemical analytes.
19 Rest assured we're looking at ways to leverage those
20 existing tools and kind of bring everything into,
21 into one place as well so. Biochemical continues to
22 be worked on.

23 The last one that I'm going to talk about
24 today is the clinical module and though I am talking
25 about it last, I think this is perhaps the most
26 important module that we need to think about because
27 it's really important for us to understand the
28 outcomes of our testing in order for us to actually

1 improve our testing.

2 And so for the clinical challenges of course
3 we've heard many of these again. States are
4 collecting critical data elements. It's very hard to
5 get the clinical data. We still rely very heavily on
6 faxes. There's really been a lack of a coordinated
7 system for the capturing of clinical data over time
8 and you know, again that ability to link that
9 clinical data back to biochemical and molecular data.
10 Even within programs the clinical piece is actually
11 siloed from the lab piece.

12 So this is a schematic of kind of our vision
13 of the clinical module, which gets a lot into kind of
14 what Jeff was talking about as well. I do want to say
15 for the purpose of Ed3N as our kind of use case no. 1
16 is just to get that diagnostic data.

17 Again, we want to make sure that we know what
18 of these cases were deemed true positives, false
19 positives or maybe to Beth's point, uncertain
20 prognoses but so we want to look at a way to
21 seamlessly, electronically get clinical data directly
22 from the medical system to state programs and from
23 state programs to Ed3N.

24 I put in the bottom here, just an
25 acknowledgement that there's a lot of work going on
26 in this space with a lot of federal partners but
27 there are a lot of mechanisms like e-Case recording
28 that I think we really need to look into as ways to

1 getting this data more and more.

2 And this, if we're able to build this for
3 diagnostic data I think it's a pretty easy leap to
4 think about how this could be expanded to think about
5 collecting data a longer term as well.

6 Certainly, I think we can't talk about data
7 use aggregation collection without talking about data
8 privacy, especially in the case of a mandated program
9 so this is something that has and will remain front
10 and center of all of our work with Ed3N.

11 So first and foremost any program that is
12 going to be using Ed3N and contributing to Ed3N will
13 have to sign data use agreements. These have been
14 approved already by CDC's Office of the General
15 Council. We are in the process of ratifying these
16 with several newborn screening.

17 We do have several newborn screening who have
18 already signed data use agreements thus far. We did
19 just receive word that we passed or got through the
20 paperwork reduction act process, which, despite its
21 name is a ton of paperwork.

22 [Laughter]

23 So that was very exciting but essentially
24 that means that we can now work with all programs in
25 the U.S. to really make this happen.

26 The last bullet point might be kind of a
27 newer topic is we think about how we actually link
28 some of these records in the concept of collecting

1 deidentified data. There are some really cool, nerdy
2 technologies out there now called privacy enhancing
3 technologies or privacy preserving record linkage.
4 Big movement in the rare disease space on this as we
5 think how to collect data and maintain privacy.
6 So we're looking at some of these newer technologies
7 so that we can maintain privacy but still really get
8 the data and outcomes and understanding that we want.

9 So I think this is our last slide and just
10 again to reiterate what Carla said that this is
11 absolutely a passion project. I always think of this
12 as Carla's baby and I'm the babysitter. But we
13 really feel that what we are doing is exactly what is
14 needed. We have talked about this for so long and we
15 are very excited to be starting to actually build it.

16 So with that, happy to take questions.

17 DR. CALONGE: Thanks Carla and Amy and now
18 we'll turn to discussion. As we get started, I think
19 one of the areas of success of this particular
20 community is the representation from other national
21 groups in the membership and I will tell you my
22 experience in other areas, working with federal
23 government across agencies, we're doing a little bit
24 better in newborn screening than perhaps in some
25 other areas. And that's very rewarding and exciting
26 to see.

27 I want to congratulate and thank the people
28 from the different agencies who work across

1 organizational lines in the interest of public health
2 in this very important area of newborn screening so I
3 thank you for your commitment to that and I wish it
4 was true of all interagency activities at the Federal
5 level.

6 That comment being made I'd like to see if
7 there are any questions. Remember, we heard three
8 presentations, one on data gathering around sickle
9 cell disease, then HRSA's approach of trying to find
10 a system of--to support long-term follow up in the
11 interests of actually meeting the proposed benefits
12 of newborn screening as a system. I'm reminded in
13 looking at Scott that I think thinking about Jeff's
14 presentation reminded me that we almost always focus
15 on the labs and that that maybe not the right area of
16 focus because without the rest of the system, the
17 follow up then all we do is generate positive tests
18 without actually translating that into health
19 benefits.

20 And then finally Carla and Amy talking about
21 the CDC program. And I'll start with a question,
22 seeing none yet, which has to do with will you be
23 thinking about supporting the uptake in
24 implementation of Ed3N with cooperative agreements to
25 states that's often one with a lot of other data
26 issues?

27 DR. CUTHBERT: So cooperative agreements in as
28 much as we would be funding the state specifically to

1 put money in, again we'd be happy to do that and HRSA
2 is doing that [laughs], so one of the nice things
3 about being able to leverage the different federal
4 partners, we have different areas that are fairly
5 discreet and I think I can say that, and Jeff you can
6 jump in here too, is that we'll be building the
7 infrastructure leveraging some of the agency
8 resources but certainly being able to partner with
9 HRSA with them being able to fund some of the States
10 in this regard. It's going to be very, very helpful.

11 DR. BROSCO: Yeah, so we definitely rely on
12 Carla and Amy and the CDC lab folks to do the hard
13 work of figuring out the data analysis stuff and we
14 through our Propel grants, we will be supporting
15 states to work on follow up data, both short term and
16 long term and working directly with Ian. So the
17 answer is yes.

18 DR. CALONGE: Thanks. Natasha?

19 MS. BONHOMME: Natasha Bonhomme, Genetic
20 Alliance. Great presentations everyone. My first set
21 of questions are in regards to Ed3N. I have so many
22 sheets of paper here. Let me make sure I find it. I
23 really appreciate that one of the aims is to decrease
24 disparities across state programs and the family
25 experience. I was wondering if you could add a little
26 bit more context to how we would know we have done
27 that on the family experience side. I think that is
28 something we are always hopeful for but now, you know,

1 back to what Jeff said in terms of measure what
2 matters, how will we do that so we get that so we see
3 that full story? And do you see that as a part of
4 Ed3N, part of CDC? All of us doing it. Just your
5 thoughts on that.

6 MS. GAVIGLIO: Yeah, it's a great question and
7 I think some of it is looking at the--you know, the
8 potential impact on both false positives and false
9 negatives and not talking even the psychosocial piece
10 but just having to go back in to, to get a repeat
11 specimen. Having to go back in and go to a clinical
12 evaluation so in some ways if we can have more kind
13 of parity around just the numbers of false positives
14 and negatives that states are putting out by using
15 better data analytics in the context of second tiers.

16 In theory, we think it will make less of an
17 impact on families but there is so much more that
18 goes into the family experience and I think that's
19 highlighted a few things really well that may be a
20 bit outside the scope of Ed3N in terms of--I could
21 not agree with her more on the need for better
22 communication and those things as well. But from this
23 perspective we were kind of thinking of it in terms
24 of you know, not putting people into the system who
25 don't need to be put into the system or making sure
26 people who do need are being detected. That's kind of
27 the scope that we were thinking with that particular
28 statement and I think Jeff wanted to -- or you have a

1 follow up?

2 DR. CUTHBERT: Yup. I'll just jump in very
3 quickly and just say that for Ed3N as well, which
4 this is just a data analysis and IT, we still have
5 the rest of the branch that deals with methods and so
6 on so this information is going to feed back into our
7 algorithms and methods so that you know, it will
8 influence whether we're identifying what we really
9 want to identify and can help with the quality
10 improvements for some of the methods as well.

11 MS. BONHOMME: Yeah, I think that's really
12 helpful, particularly since yes, there's the
13 psychosocial component with families but even just
14 that which it's funny to say this because Amy, you
15 and I talk about this all the time, what are parents
16 actually being told in terms of even--we know some
17 families aren't even told the right condition is out
18 of range let alone--so hopefully this--being really
19 helpful in that.

20 MS. GAVIGLIO: Sorry. I do want to comment
21 that one of the things we really want to focus on in
22 Ed3N and again Dr. Tarini brought this up is how
23 important getting better race ethnicity data is as
24 well and so I do think that's one of their focus as
25 well as how can we, you know, help programs linked to
26 vital records to get some of more of that data as
27 well. Not only on race, ethnicity but socioeconomic
28 status, education, geography and are testing

1 algorithms truly the best for all babies.

2 So I think that's another way that we hope,
3 you know, looking at data more holistically can maybe
4 help us start to answer those types of questions for
5 families as well.

6 DR. BROSCO: So I'm so glad you raised this
7 question. It brings up a bunch of things. First of
8 all just to kind of know where we are. So you've
9 heard from Ed3N. They've done a lot of work. So
10 bucket number one, we're really moving far along.
11 Bucket number two in some ways is new steps and
12 bucket number three we're just starting. It's little
13 pieces of things in different places.

14 When we fund states through Propel for
15 quality improvement, we're not telling them you must
16 do this particular thing. We're saying to states what
17 are the things in this category that you think are
18 most important. And it may be what's coming out of
19 this discussion this morning especially is, maybe
20 family experience is something that should be
21 included along with timeliness.

22 So if we include those kinds of measures,
23 that can be a good way to do it. and even more
24 importantly broadly in bucket number 3, what pool, if
25 we thought about quality measures and across all of
26 those conditions, you'd be really hard pressed,
27 right? So joint bleeds might work for hemophilia and
28 language acquisition may work for deaf and hard of

1 hearing, but what cuts across everything, all those
2 13,000 conditions. And it might be the single common
3 denominator is caregiver wellbeing. And that if - if
4 parents are doing well, if caregivers are doing well,
5 if families are doing well then probably our system
6 is working pretty well.

7 So at the sort of furthest reach, you know,
8 we had this idea that we'd like in a year to eighteen
9 months or something like that have a road map for how
10 we get there, right. This is a ten-year project, five
11 to ten years. We'd like to have a road map for how to
12 get there. And at the end of the day it should be "is
13 that child thriving? Is that family thriving?" So
14 every little piece back would have to lead to that.

15 MS. BONHOMME: Great. I appreciate you saying
16 that. It's the perfect segue to the question I had
17 for you which is you know, yeah--right on. Do you see
18 that last part of what you said as one of the -as
19 bucket 3 or is that another bucket that we're going
20 to be creating?

21 I guess another way of framing that is you
22 know, the title of your presentation is implementing
23 the blueprint, implications on newborn screening and
24 this was really focused on data and I didn't
25 necessarily see but maybe I'm just not seeing deeply
26 into this, you know, anything that would address the
27 current concerns that we have around newborn
28 screening that are outside of state lab and data such

1 as lawsuits or even just the more general education
2 around news.

3 Again, we talked about education for families
4 who are diagnosed but families that don't have a
5 diagnosis and who go through newborn screening also
6 have educational needs. So all I'm trying to see if
7 this the whole lens, a slice of it. just trying to
8 puzzle that together from your viewpoint at this
9 point in time.

10 DR. BROSCO: I admit, I'm a little confused by
11 the question. I just stated the blueprint is for all
12 children with special needs. All 14 million. So we
13 really do have a much broader vision and I was trying
14 to talk about, was it related to newborn screening
15 and to kind of go back. We think that one of the best
16 ways to get there is to measure the things that
17 matter. Such as family wellbeing, child wellbeing.
18 And that if we hold ourselves accountable to it then
19 all of the pieces start to fit together.

20 So for example to use the deaf and hard of
21 hearing, that sort of pipeline, it may be--if the
22 family experience is the single most important thing
23 for improving that outcome and so that might be what
24 the EHDI program in some states focuses on. But it
25 may also be that the real problem in other states is
26 you just don't have the equipment to do newborn
27 screening in the first place. So we are not trying to
28 say that there's a specific thing that any one

1 grantee or States should do because we don't know the
2 needs as well as they do.

3 What we're saying is, let's all aim here.
4 Let's count to this, keep track of it. Let's really
5 make sure that we're measuring it and hold ourselves
6 accountable to a continuous quality improvement
7 approach, which we hope would actually address some
8 of the concerns you have. Because I don't know if
9 that answers your question but --

10 MS. BONHOMME: It does, and I think EHDI is a
11 great example because there are so many different
12 types of grantees in EHDI and so many different
13 agencies involved in that, which is a little bit
14 similar and a little bit different than newborn
15 screening. So I think if that's a model to be looking
16 at that's definitely something to follow up on, so.
17 thanks.

18 DR. CALONGE: Shawn?

19 DR. MCCANDLESS: Thank you. I wanted to ask
20 Dr. Hulihan and then Dr. Cuthbert and maybe others,
21 what lessons did you learn -- first this is Shawn
22 McCandless, I'm a Committee member, what lessons did
23 you learn from the sickle cell data collection
24 planning? Like how did you determine what questions
25 to ask? What data that you were going to collect? How
26 did you engage clinicians, families, and other
27 stakeholders in that process? And I'd like to
28 basically ask the team from the Ed3N project what's

1 your plan for that same aspect for the newborn, other
2 newborn screening conditions?

3 DR. HULIHAN: That's a great question. I would
4 say at the beginning of this program, which was
5 really about 14 years ago was when the first funding
6 came through. It has ebbed and flowed since then so
7 it maybe hasn't gone at quite the speed that we
8 anticipated to begin with, but we are where we are.
9 And it was really about defining individuals with
10 sickle cell disease across the various data sources
11 that we're using and so that was where a lot of the
12 focus on the data collection was and then what
13 information was available in those data sources, were
14 those the data sources to collect that information.

15 So, for example, we have Medicaid claims
16 data, and that gives us a lot of information about
17 healthcare encounters, about what the diagnosis is
18 during an encounter, what the procedure is doing an
19 encounter. What it does not give us is laboratory
20 values or values you know about weight, about height
21 and so we had to really carefully consider with the
22 data available to us was it the appropriate place to
23 collect information.

24 So that's kind of where the process started.
25 We also had a--I mean numerous meetings, discussions
26 with people from every end of the spectrum when it
27 comes to sickle cell disease. From people living with
28 the condition, community-based organizations, health

1 care providers, policy makers, payers, blood banks.

2 We really tried to reach out to local
3 organizations, national organizations about what
4 information was important for them in order to be
5 able to improve their care and improve their policies
6 and where we are now is we've got a pretty good
7 handle on those original conversations we had and the
8 information we received but we think that there is so
9 much more that can be included in the program and I
10 think at this point we are trying to figure out the
11 best infrastructure and the best really framework for
12 including more and more and more information because
13 there is quite a bit more that would really inform
14 the work that we're doing.

15 DR. CUTHBERT: Shawn, Amy will answer that in
16 terms of data collection. Is it more about clinical
17 or laboratory data?

18 DR. MCCANDLESS: I was thinking more about the
19 clinical long-term follow up data.

20 MS. GAVIGLIO: Yeah, I'll take a hit at it but
21 I think first and foremost again, just to acknowledge
22 that our initial scope is diagnostic data so we
23 haven't quite put our heads around you know, ongoing,
24 long-term data. That being said I think we are very
25 aware and I think we need to continue to be very
26 aware that what we're trying to do is not necessarily
27 not all, that there are things out there that have
28 been done and a lot of information that we can

1 leverage.

2 So we've put together a -- what I think is a
3 fantastic group of individuals who are helping us
4 think through this including Dr. Parisi and Dr.
5 Caggana, Dr. Brosco. So we are looking at, and we've
6 kind of pieced it out into three phases so what are
7 the data elements? What do we need? And where are
8 they, which is a really great point. Can they be
9 matched to standards like LINKS, SNOMED, USDA, ICD,
10 alphabet soup.

11 So that will be our first, kind of know what
12 we're collecting and then we will move to how are we
13 collecting it and we will be looking at different
14 models out there and mentioned e-Case recording.
15 That's more with infectious communicable diseases but
16 why couldn't it be applied to this. So we'll be
17 talking more to that methodology of how because for
18 this to work we cannot be faxing back and forth. We
19 cannot be asking busy providers, busy public health
20 professionals to manually enter this. From there then
21 we will talk more about that record linkage piece. So
22 that is kind of a three-phased approach that we've
23 identified for how we will move forward with this, if
24 that answers your question.

25 DR. MCCANDLESS: I think, I think it does.

26 I do have a question though for the record of
27 linkage. Is that something where you're imagining the
28 data aggregation at CDC will supplant the need for

1 data linkage within the state itself because I'm
2 afraid a lot of -- it seems that a lot of states
3 don't really have good data linkage, either to
4 individual medical records but even between vital
5 statistics and newborn screening.

6 MS. GAVIGLIO: Yeah, I don't know if it will
7 supplant it so much as -- it probably will depend on
8 the State in terms of what they have in terms of
9 their own linkages but that is a potential benefit
10 that we've talked about. With Ed3N, that for those
11 states who have very disparate systems if we are
12 putting everything in one place, could this now
13 become a just really great resource for them to have
14 data in one place as well that we would providing
15 them rather than you know, them having to try to
16 figure out how to link what are typically antiquated
17 systems.

18 DR. CALONGE: Melissa?

19 DR. PARISI: Melissa Parisi, NIH. I have
20 three comments. Some of which will be quick. First of
21 all, congratulations on making your way through the
22 Paperwork Reduction Act, one of the most misnamed
23 pieces of legislation ever passed by the Federal
24 Government. So that's fantastic and I guess my second
25 comment is, you know, as Federal partners I think
26 sometimes people are always amazed that agencies talk
27 to each other and we actually do communicate and we
28 coordinate and we've been doing this for, I'm

1 thinking at least 10 to 15 years.

2 We have monthly calls among our Federal
3 newborn screening partners so we really do try to do
4 things that will enhance and support one another even
5 though we have our individual missions and mandate,
6 we really do try to coordinate as effectively as
7 possible and think these programs are a great example
8 of that. So kudos to you all for putting this
9 together. And my third comment is one sort of related
10 to the NIH role and we typically are in the space of
11 trying to develop the evidence base and some of the
12 data to inform some of the development of assays and
13 incorporation of screening programs into the rest and
14 through that we've been able to utilize the newborn
15 screening translational research network and some of
16 our pilot contracts to help support screening
17 programs in the early stages that can help inform
18 adoption and even the evidence review for conditions
19 to be added to the rest.

20 And then finally in our role as trying to
21 provide evidence base, I was really pleased to see
22 the slide about the Ed3N molecular end-to-end
23 solution and thank you for adding ClinVar into the
24 mix there. My only suggested edit would be to make Is
25 a bidirectional arrow. And I say that because what
26 we've been able to do through the ClinGen and ClinVar
27 resources, which, I don't know if this Committee has
28 heard much about these resources in the past or maybe

1 this would be a topic for a future Committee meeting,
2 but essentially we are funding a number of curation
3 panels, probably I think around 200 now and these
4 panels of experts, international experts, basically
5 discuss variants that are associated with different
6 disease conditions and really try to create the
7 evidence-base for clinical utility for genes
8 associated with disorders, many of which are rare,
9 genetic conditions, as well as variant interpretation
10 and pathogenicity.

11 And these really critical resources to be
12 able to interpret the molecular data, that are going
13 to be generated through newborn screening programs as
14 we have more and more conditions that really have as
15 secondary tertiary level sequencing to confirm. And
16 just to kind of close the loop on the value of this
17 resource is that several of these, you know, several
18 hundred panels of gene and variant curation panels
19 involving over 2,000 experts throughout the world,
20 there are quite a few that are focused on newborn
21 screening conditions.

22 There's one for PKU, one for galactosemia,
23 one related to Urea Cycle disorders,
24 aminoacidopathies, VLCAD, hearing loss, congenital
25 heart disease. I'm sure I'm missing a few. SCID. Many
26 inborn areas of metabolism. Lysosomal storage
27 disorders are included within these panels and
28 there's a very structured mechanism for making those

1 disease assertions that involve experts reviewing the
2 literature and clinical knowledge of experts who know
3 this condition and applying the ACMG framework for
4 determining whether a genomic variant is pathogenic
5 or not.

6 So I think that this be a great resource that
7 will tie in very well to the Ed3N framework and I
8 hope enhance the ability of this resource to succeed
9 and save some effort on the part of what you're
10 trying to do, because it's a very labor-intensive
11 process. So thank you.

12 MS. GAVIGLIO: Now I will own the misuse of
13 the arrow in the slide, you are absolutely right. It
14 should be bidirectional and it actually already is.
15 We've already connected to ClinVar to Genomenon with
16 API, so yeah, we will certainly provide information
17 there, but we will be heavily relying on that data as
18 we walk through the variant interpretations. Thank
19 you for pointing that out and we'll update the slide.

20 DR. CALONGE: Jennifer?

21 DR. KWON: Thanks, Jennifer Kwon, Committee
22 member. Dr. Hulihan, well first of all I should
23 apologize. I am so not a high-level thinker and for
24 me the purpose of a registry or data collection in
25 newborn screening is really to improve clinical
26 outcomes in the children who are identified.

27 So that's why I was really interested in
28 having you follow up on the comments you were

1 starting to make. It sounds like you had plans where
2 you like to see your sickle cell data collection
3 system go. One of the things that I was curious about
4 was that three-year data collection in California
5 and Georgia where you showed how abysmal follow up
6 with hematologists were.

7 Is there any sort of mission among the states
8 that are participating or other states to --to have
9 that be like a benchmark, you know, to create a
10 benchmark for hematology follow up and to try to meet
11 at or try to have the next iteration of data
12 collection show those sorts of results or maybe I'm
13 misinterpreting what your system can do?

14 [Static]

15 DR. HULIHAN: No, no, those are great
16 questions. I think what you're describing is
17 certainly something that the system can do and I
18 think the, the word that curses sickle cell that the
19 treatment demonstration program is doing is really
20 aimed at exactly what you were just mentioning,
21 getting more people into care with hematologists with
22 the sickle cell experts and so I see that as being a
23 great opportunity for CDC's sickle cell data
24 collection and HRSA's sickle cell disease treatment
25 demonstration program to continue what has started,
26 what has become a very healthy and collaborative
27 relationship and figure out how to make it even
28 stronger moving forward.

1 So I think what you just described is
2 something that can certainly come about as a result
3 of that collaboration. But taking it a step back
4 maybe, or maybe forward, to what you asked. One way
5 that the data is currently resulting in-in positive
6 outcomes. Well we're not measuring how many
7 individuals are receiving care. We have the
8 information, it's just not something we're looking
9 at.

10 What we are aware of is the data is being
11 used to show where there are geographic locations,
12 that care is not received because the care doesn't
13 exist. There are no hematologists in that region.
14 There are no sickle cell clinics in that region and
15 the states participating are actually taking that
16 information to their state legislation.

17 New clinics are being opened so we're seeing
18 changes in that format although it's not something
19 that we're measuring but it is something that we
20 intend to do as we move forward.

21 DR. BROSCO: And if I could add to that,
22 Jennifer, that is exactly what the intention is. So
23 if you think of that bucket number 3, you know - you
24 do it for research, you do it for public health
25 surveillance but you also want to do it for clinical
26 care.

27 As Mary was saying, we have these treatment
28 centers all across the U.S. and the goal, in some

1 ways it's starting to happen, is linking with the CDC
2 so we can say where are all the people with sickle
3 cell disease? Newborn screening in some ways is
4 easier because you have a denominator. In theory, you
5 know all the children that have been born, you can
6 follow all of them and can say "what percent are we
7 missing? Why are we missing them and how do we make
8 sure we stop doing that?"

9 Our programs for sickle cell disease are
10 across a lifespan and we don't want to wait a hundred
11 years to get to every one of them, so by partnering
12 with CDC you know, one of our treatment centers can
13 say well who else in our state?

14 Now we not on the individual level yet but as
15 you heard we can start looking at where in the state
16 there may be some issues. So that's exactly what
17 we're going to try to do, to make sure that every
18 single person with sickle cell disease has access to
19 high quality care through a hematologist.

20 DR. HULIHAN: To add a little bit more, when
21 we're talking about sickle cell disease we're talking
22 about access to care, it's much bigger picture than
23 just a clinic being, you know, a physical location.
24 There are so many additional topics and
25 considerations that we have to keep in mind that have
26 to be addressed and I think that's another area that
27 our programs can work together to make sure that it
28 really is not just are you in physical proximity to

1 somewhere that offers care for your condition.

2 There is a lot more to it than that and those
3 are really good topics that we can start to work on.

4 DR. CALONGE: Online we have Marc.

5 DR. WILLIAMS: Hello, Marc Williams of the
6 American College of Medical Genetics and Genomics.
7 Thank you Ned.

8 I do have a question but I'm going to start
9 with an observation about the topic that we have been
10 focused on for the last few minutes, you know the
11 collection of the clinical data which is so important
12 and yet has represented a relative void. The
13 observation is that we, as Melissa alluded to, we
14 have three separate organizations that are funding
15 efforts. We've got CDC and the Excel program that we
16 heard about. We have HRSA that has funded new steps
17 and now is going to be funding Propel and Excel and
18 now NIH presents funding to newborn screening
19 translational research network.

20 And I do think that we're kind of converging
21 on the realization that we need to move into the
22 clinical realm. I know in the funding announcements
23 in the Propel and Excel programs that there was an
24 emphasis on being able to create infrastructure to
25 collect some of the clinical follow up data and that
26 there's an expectation to have a plan in place to be
27 able to collect some of that.

28 Clearly Excel is looking at moving at that.

1 Newborn screening translational research network has
2 actually done that and created the Longitudinal
3 Pediatric Data Resource or LPDR that is starting to
4 do that for some conditions. I think that while it's
5 really important for leadership of these programs to
6 meet, it's also important for groups below the
7 leadership level to get together, particularly for
8 someone who's trained in informatics to have our
9 informaticists and computers scientists and data
10 scientists talking together to make sure that we're
11 using standards and interoperability communication
12 standards that are available to lower barriers to
13 sharing and a collection of the data from laboratory
14 information systems and ultimately from state health
15 departments and electronic health record systems.

16 Lastly I'll just mention that there is one
17 big source of data that is not going to lend itself
18 easily to this type of collaboration and that is the
19 data that we heard about today, which is early
20 intervention and school-based programming. For a lot
21 of conditions, the developmental and educational
22 follow up is going to be critically important to
23 understand the benefit and that's an entirely
24 different system that has nothing to do with HHS.

25 So we have a lot of work ahead of us. Now for
26 the relatively trivial question which is to the Ed3N
27 project. You mentioned privacy and I was curious if
28 your database is FISMA compliant and if so, at what

1 level of FISMA compliance are you currently at?

2 DR. BROSCO: So Mark, this is Jeff Brosco.
3 Just to answer the hard question about Ed3N. we are
4 already working with the Department of Education in
5 trying to figure out how Part C in newborn screening
6 can be linked so what you heard over her today from
7 Don about that is exactly the place we want to go.

8 MS. GAVIGLIO: Yes, I believe right now our
9 system is more moderate compliant.

10 DR. CALONGE: Ash.

11 DR. ASHUTOSH: Just a brief comment. One is
12 that I did kind of feel in a panel like this, in a
13 session like this, perhaps listening directly from a
14 patient advocacy organization member that had
15 previously had a condition approved and listed and
16 what has been the experience of the patient community
17 and being able to access longitudinal care in medical
18 homes and so on, I think that that's one thing that
19 could be considered.

20 Second issue is also in term care. I think
21 they maybe had started to allude to some of the huge
22 areas that there exist. When we are talking about
23 newborn screening, a lot of these are very rare
24 diseases so just in the morning, the model of early
25 intervention linking that to newborn screening was
26 being proposed.

27 I wonder if at some point one could consider
28 that the barriers to crossing insurance coverage to

1 see an expert or medical home that maybe in a
2 different location in the state or across state
3 boundaries, those barriers could be lowered a little
4 bit. Because many of those are pretty artificial and
5 just seem to be administrative barriers and that
6 would, might enable a lot more people to actually get
7 expert care. Thank you.

8 DR. CALONGE: Michele?

9 DR. CAGGANA: Hi this is Michele Caggana,
10 Committee member. Thanks for the presentations. It
11 was good to see some of the Ed3N overview. I have
12 kind of three--kind of--the first is Dr. Hulihan it's
13 good to see you again.

14 So back at the APHL Symposium in 2022 we had
15 a talk from part of the KENO Fund Julie Cantor, a
16 physician and pediatric hematologist and adult
17 hematologist from Alabama and she sort of reiterated
18 your numbers there about how children and adults who
19 have sickle cell disease don't, they don't have a
20 hematologist that they can go to and how they get
21 their care and she just worked on this quite, quite a
22 lot over her early career.

23 And I think some of this work goes all the
24 way back to those RUSH projects, I don't know how
25 many years ago, when this all began and there's some
26 data out of New York that showed, like you sort of
27 had mentioned, if you follow kids in and out of
28 Medicaid over a 10-year period they come in and out

1 with new numbers and clearly they're not getting the
2 standard of care across all of the members of the
3 program.

4 So I'm wondering if there's a way or any plan
5 to expand this framework for other states across the
6 country to sort of truly get a collection of sort of
7 the outcomes and how people who are living with
8 sickle cell disease are doing overall?

9 DR. HULIHAN: Very timely question. We have a
10 new funding opportunity out and the applications are
11 due May 11th and so, yes. We anticipate that we will
12 be funding a total of at least 13 states, that's
13 what's available with current Congressional funding
14 for this project so our intent long-term is that this
15 is a national program.

16 It is resource dependent, but it is certainly
17 the intent that it will be a national program and I
18 think for those who may not be aware, the sickle cell
19 population, particularly the pediatric population,
20 but that really -- across the lifespan is largely
21 insured by Medicaid and because of the differences of
22 Medicaid programs from state-to-state it really is
23 important to see how those differences come to play
24 in sickle cell disease and a condition that is--
25 individuals with those conditions certainly do rely
26 on the healthcare system quite a bit so that
27 insurance coverage is very important in their
28 healthcare. So yeah it should be a national program

1 at some point.

2 DR. CAGGANA: Okay, thank you. And then for
3 Ed3N, I will reiterate what Melissa said, she
4 actually took my first sentence away here but the
5 whole bidirectionality of ClinVar--I think newborn
6 screening programs rely a lot on ClinVar as is right
7 now and when we see that nice little checkmark of
8 CLINGEN that we're like "yes" when we find a variant
9 in good shape, but I think also as newborn screening
10 can educate and help out the diagnostic, commercial
11 academic researcher labs, trying to identify and
12 characterize.

13 And I think the pieces that are being built
14 into Ed3N are going to help us answer that question
15 because everyone always says newborn screening, we
16 don't have a phenotype but eventually we do, right.
17 And so if we put that information in and we found
18 something in New York and it was found once in Texas
19 and once in California we can start to put that data
20 together and we're never going to get rid of the
21 variants of uncertain significance but hopefully
22 we'll be able to, to characterize them better.
23 There's really power for the numbers in this program,
24 so happy we're moving along.

25 And then the last thing I just wanted to kind
26 of reiterate is that there's a lot of funding
27 opportunities that are out there for state programs
28 and newborn screening. Just want to remind people

1 that not all states have the ability to apply for and
2 accept funding, so I think we really have to work on
3 sort of the best practices piece and able to
4 disseminate our findings through various channels
5 that we do now, but I think we have to remember that
6 as well that just because there's money out there, at
7 the end of the day we have to make sure we close the
8 gap for everyone. Thank you.

9 DR. CALONGE: Scott. I appreciate your
10 patience. I'm going to, since we're out of time I'm
11 going to give you the last comment, sorry Karin.

12 DR. SHONE: I planned that so I could have the
13 last word. No, I -- I've never been accused of being
14 patient before. So a couple quick things.

15 Jeff, you had said something that in every
16 child deaf or hard of hearing should be in Part C and
17 as a parent of a child who's hard of hearing who met
18 the definition for EI in one state but didn't in
19 another state I would say that's a goal not a
20 reality. That's exactly what Don and Elizabeth were
21 saying this morning.

22 Completely, just a comment. I want to move on
23 to -- I have sat at that table and now this table and
24 outright stated that despite what Melissa said about
25 the agencies talking together that I never really saw
26 evidence of that, and I want to say that the
27 presentations today, you guys came with receipts to
28 show that yeah, it's working so I appreciate that.

1 So now ten or fifteen years into these
2 discussions, what can we -- putting on my ASTHO hat -
3 - sorry, Scott Shone, representative from ASTHO. What
4 can we do to help to make sure that the ball
5 continues to roll, the stone--the snow, whatever the
6 analogy is that we can continue to push this so we
7 don't. You know, I want to put my efforts where my
8 mouth has been for a while and say what can we do to
9 help with that and I would say on the State side, you
10 mentioned that there was no real requirements for
11 Propel but there kind of was which was that we had to
12 partner, now my state had which we kind of had to
13 partner with Excel which I think is a great carrot.

14 It kind of just throws out, like Michele just
15 said, you can apply for it but for those that can
16 then say now we have money to do this, now we have to
17 do this to our state leaders, that's a big help. And
18 I'll leave it there given the time. So what can we
19 do? And thanks.

20 [Laughter]

21 DR. CUTHBERT: I don't have anything. Except to
22 say that when we were thinking about some of our
23 interactions, our intentional interactions, your name
24 did come up often, so.

25 [Laughter]

26 DR. BROSCO: Yes, so just to clarify. So all
27 grants come with requirements. There's no doubt about
28 that. What I was trying to say is that what we're not

1 saying that you must do this particular quality
2 improvement program. We want states to, or any grantee
3 to figure out what works best in that 1 circumstance but
4 we can't just throw out 1 requirements.

5 Now I'm saying in terms of help, we all have to
6 do this. This is a total community lift, right, and for
7 almost all of the different buckets there's going to be
8 roles for everybody and that in fact what we're hoping
9 is that we sort of draw out this map, you know this map
10 to this getting to this integrated data system that
11 we've all been dreaming about for years.

12 Yeah, there's going to be places where ASTHO
13 fits in and places where ACMG fits in. There's going to
14 be places for everybody. So don't worry. You're on
15 board.

16 DR. SHONE: But it would be helpful to be very
17 pinpoint and have a specific ask, like should health
18 officials help drive data use agreements that get bogged
19 down in legal, the lab directors--

20 MS. GAVIGLIO: Yes.

21 DR. SHONE: Right, to my point, Amy. So that
22 level of specificity I think would help and then on the
23 clinical side, our colleagues at AAP and ACMG and all
24 the others to say this is what's needed, because we're
25 here and without it we're just going to go back to ten
26 years from now saying the same thing of we're meeting
27 again.

28 MS. GAVIGLIO: Yeah, I was going to try to

1 think of more practical ideas, definitely thinking
2 through and helping us move through the data use
3 agreements would be beautiful. Not to say that we
4 want them just to be shepherded through but if you do
5 have questions and concerns, reach out. Set up time
6 to meet with us. The Texas Program did that. It was
7 very, very helpful for us.

8 So one, I would say just be amenable to
9 reaching out to us and please give us your concerns
10 and feedback because we want this to be something
11 that programs feel comfortable using and if no one
12 tells us what their concerns are, we aren't going to
13 be able to address them.

14 I think the other thing is to be willing to
15 think differently and evolve a bit. I think sometimes
16 when we've talked about this project, and
17 understandably so it's a bit terrifying to programs
18 to think they're putting their data somewhere and how
19 are they going to use it and so I think it would help
20 us to move forward if we could all buy into the
21 importance of this and not buy in blindly but be
22 willing to kind of go on the journey of we need to
23 start aggregating our data or we will be here. I will
24 be 80 years old up at this mic yelling at people.

25 DR. CALONGE: Well I want to thank all of our
26 presenters for a great session this afternoon and in
27 the interest of respect to our public commenters,
28 we're going to shorten the break. I'd really like to

1 ask folks to try to be back in your seats in about
2 five minutes so we can get started with public
3 comments and make sure we allow space for all of
4 those who have come and signed up to present and some
5 applause from our presenters as we find our way to
6 the washrooms.

7 [Applause]

8 **Public Comments**

9 DR. CALONGE: If I can get my Committee
10 members to come back, that would be great. We
11 received 17 requests by individuals to provide oral
12 public comments to the Committee today. There have
13 also been three written public comments that were
14 distributed to the Committee.

15 *Kathleen Smith*

16 DR. CALONGE: I'd like to start by inviting
17 Kathleen Smith up to the podium. She's here with her
18 daughter, Lily.

19 MS. SMITH: Hi. My name is Kathleen. This is
20 my daughter Lily. She was born a happy, healthy
21 little girl inside of Maryland, just a couple hours
22 south of here.

23 As months went by, Lily was progressing
24 normally, reaching for toys, almost rolling over and
25 holding her head up by herself. At about 5 months,
26 Lily started crying and becoming very stiff. I then

1 started noticing that Lily could no longer hold her
2 head up and was arching her back in pain. She was
3 inconsolable. We took her to Children's National ER
4 and showed them documented video of what she'd been
5 doing in weeks prior. They took it very seriously and
6 immediately gave her a CT scan at which point they
7 said she had white matter on her brain, something I
8 had never heard of and won't ever forget.

9 They said they needed to keep her 24 hours to
10 do a sedated MRI. When the doctors came in, they were
11 very glum and they wanted a lot of history from Ben
12 and I. They eventually told us that she had Krabbe
13 disease, to contact hospice, to take as many pictures
14 as possible because she would not live to see her
15 second birthday.

16 At what point we went on the website of the
17 NIH and found out there was lots of research going on
18 in Krabbe by Dr. Escolar in Pittsburgh, Pennsylvania.
19 That's where we went. It happened on a Friday. We
20 were there Monday morning. She conducted all sorts of
21 tests. We just wanted her to keep her as comfortable
22 as we could for as long as we had her. Little did we
23 know that Dr. Escolar was willing to do a stem-cell
24 transplant on our dear Lily.

25 So we met with the BMT doctor, we were told
26 all the scary things that can happen. We balanced our
27 options and we said we're sure going to regret it if
28 we don't do it. So we went through a transplant. I'm

1 not going to say it was easy, but look what I got. If
2 I haven't done it, she wouldn't be here with me
3 today.

4 Yes, she can't speak by mouth, she can't eat
5 by mouth but oh my goodness, her personality shows.
6 She has an eye gaze device that she uses to
7 communicate with her eyes so if her physical therapy
8 comes to the house, she says "No way. Go home. See
9 you later." Her little personality is definitely
10 there.

11 Now months ago you got to meet Michael
12 Wilson. I do believe he did a video conference for
13 you guys. Would you believe he's almost the exact
14 same age as Lily? He received a transplant prior to
15 any symptoms thanks to his angel brother Marshal.

16 Because of him, Michael was able to live. Is
17 it fair that we have to lose a child to save a child?
18 I don't think it is. And I thank God every day that
19 we were able to catch Lily and give her a lifesaving
20 stem cell transplant.

21 I hope that each of you could look down in
22 your hearts and know that a child-like Lily is still
23 a child. She still is somebody's daughter, somebody's
24 granddaughter, somebody's sister. They're worth
25 saving. Thank you.

26 DR. CALONGE: Thanks, Kathleen.

27 [Applause]

1 *Anna Grantham*

2 DR. CALONGE: We're now going to turn to
3 public comments via the webinar and I'd like to
4 welcome Anna Grantham.

5 MS. GRANTHAM: Hello, my name is Anna Grantham
6 and I am the Director of Newborn Screening for the
7 Hunter's Hope Foundation. Hunter's Hope first
8 nominated Krabbe disease for inclusion on recommended
9 uniform screening panel in 2007 which resulted in
10 this Committee's vote of 8-7 against recommending
11 Krabbe for the RUSP in 2009.

12 Since then, we have worked tirelessly to
13 systematically fill the evidence gaps provided by
14 this Committee. The differences between Krabbe
15 newborn screening now and in 2009 are extensive and
16 include nearly perfecting the screening method to
17 virtually eliminate false positives, creating clear
18 and decisive follow up and treatment protocols and
19 vastly improving patient outcomes.

20 In addition to the numerous medical and
21 scientific articles published in 2009 proved that
22 these advances also seen in the ten states currently
23 screening for Krabbe. Babies with early infantile
24 Krabbe disease are successfully receiving treatment
25 within the first 40 days of life and patient's with
26 later infantile onsets have successfully been
27 followed and receive treatment at the appropriate

1 time.

2 Also, many states have updated their
3 screening protocols, as these advancements have been
4 made. New York for example has clearly shown an 81
5 percent reduction in referrals each year and clear
6 improvements in patient outcomes. Furthermore, Krabbe
7 can be screened together with Pompe and MPS I and for
8 states using PerkinElmer screening method this can be
9 done for almost no additional cost, by merely
10 flipping a switch on a machine.

11 After over a decade of work and millions of
12 dollars spent, the Krabbe newborn screening experts
13 unanimously agreed that we had finally filled the
14 evidence gaps provided in 2009, and that it was time
15 to renominate Krabbe disease to the RUSP. This time
16 we made a calculated change in our nomination,
17 followed the pass of SMA by only nominating the
18 infantile and late infantile Krabbe disease as the
19 core condition.

20 Throughout this Committee's February 9th
21 meeting, which resulted in a tie vote, a multitude of
22 unprecedented procedural and factorial errors took
23 place. We submitted out letters to both the Secretary
24 of Health and our response to Dr. Calonge as written
25 public comments as they describe in detail our
26 concerns, which are far more involved than I can
27 share in my allotted time today.

28 You can find them on the Hunter's Hope

1 website. I want to be clear, our purpose in
2 submitting two nominations to this Committee and
3 really the crux of our entire mission is very simple,
4 to save children's lives. For nearly 20 years we have
5 been relentlessly fighting for nationwide newborn
6 screening for Krabbe disease, so that children with
7 this dreadful disease have a chance to live.

8 The evidence is undeniable. Krabbe is a
9 horrific disease. By their 4th month of life,
10 children with the most common and severe form of the
11 disease rapidly begin to lose almost all voluntary
12 function. These babies are inconsolable due to their
13 unrelenting pain and extreme feeding issues. Once
14 they are diagnosed with Krabbe it's too late. The
15 disease will continue to progress and the child will
16 die, typically by the age of two, their entire lives
17 will be filled with immense suffering and the
18 inability to crawl or walk, to speak, smile, cough or
19 even swallow. The evidence also shows that babies
20 identified through newborn screening have very
21 different outcomes from what I just described. They
22 are independent, they communicate, they go to school,
23 they smile, laugh and play. Most importantly, they
24 are living.

25 Yes, there is variability when it comes to
26 outcomes for children identified through newborn
27 screening but the outcomes for children not screened
28 for Krabbe at birth are 100 percent the same, certain

1 death. Just last month we learned of two symptomatic
2 toddlers, newly diagnosed with Krabbe who tragically
3 were born in states not yet screening for the
4 disease.

5 And every delay caused by this Committee's
6 every changing mandate for additional published data
7 will result in the death of even more U.S. children.
8 These families will inevitably learn that the federal
9 Advisory Committee to their government's Secretary of
10 Health voted against the inclusion of Krabbe on the
11 RUSP, resulting in very few states screening for the
12 disease and the deadly consequences for more than 138
13 U.S. children and counting since this Committee's
14 decision on Krabbe in 2009.

15 These families will not only receive the
16 devastating diagnosis of Krabbe disease but they will
17 also learn that if their child had just been born in
18 a different state, they would have had a chance for
19 lifesaving treatment, a chance that this Committee
20 voted against, twice. These children and families
21 deserve a crystal clear path forward for Krabbe's
22 inclusion on the RUSP that is consistent with the
23 other conditions that have been added. These families
24 deserve your Committee to never losing sight of the
25 fact that your decisions are a matter of life and
26 death for our nation's children. It should not be
27 this hard to save a child's life and this Committee
28 is the nation's biggest barrier to giving children

1 with Krabbe disease the chance to live.

2 Please, help us save their lives. Children
3 with Krabbe disease deserve to live.

4 DR. CALONGE: Thank you, Anna.

5 *Vanessa Werner*

6 DR. CALONGE: Next we have Vanessa Werner.

7 MS. WERNER: Hello I'm just going to try to
8 get myself situated here. I hope you can hear me.

9 Hello my name is Vanessa Werner. First of all
10 I want to thank all of you for your time and giving
11 me the opportunity to share our story today. I'm
12 parent to a beautiful 17-month-old boy named Damon
13 also known as DJ.

14 At 16 days old, DJ was diagnosed with
15 infantile onset Krabbe disease and this was only
16 caught in such an early age because he was flagged
17 via newborn screening. I'm fortunate enough to live
18 in Pennsylvania, one of the few states that have had
19 Krabbe into the newborn screening panel. Krabbe was
20 added into the panel in Pennsylvania in May 2021 and
21 DJ was born on December 2021. Should he have been
22 born any earlier in another state our story would
23 look very different and be filled with hopelessness.
24 A little bit of back-story.

25 My husband and I struggled for 3 years with
26 infertility before moving forward with IVF. We did
27 genetic testing on our embryos but typical of genetic

1 screening doesn't test for rare diseases like Krabbe.

2 I'll never forget sitting in the neurologist
3 office as we were getting DJ's diagnosis and being
4 informed of our options. They told us because it was
5 caught early he would most likely be eligible to
6 receive a cord blood or bone marrow transplant to
7 slow the progression of the disease. They also
8 presented us with the option of doing nothing, which
9 is a valid option for some families but it can be a
10 guaranteed death sentence for children by the age of
11 two, as we just heard.

12 The doctors recommended we visit with a
13 Krabbe expert across the state of Pennsylvania, and
14 an evaluation had already been scheduled for the very
15 next morning at 8:00 a.m. I was hesitant and it was
16 also overwhelming. And even thinking about just
17 driving across state through the night with a two-
18 week-old newborn, our dog, and while still healing
19 from an emergency c-section, completely exhausted me.
20 But then my husband turned to me and said "we went
21 through so much to bring him here, let's do
22 everything we can to keep him here. So this sealed
23 the deal for me and we packed up our belongings and
24 headed out to Pittsburgh that night.

25 We spent the next the ten months living in
26 the hospital while DJ went through not one, but two
27 transplants. It was an incredibly hard year with a
28 lot of setbacks and complications, but we don't

1 regret our decision to give him every chance at life,
2 not for one minute.

3 Newborn screening completely changed the
4 trajectory of DJ's life. DJ was flagged for low GALC
5 enzyme and high psychosine levels. Normal GALC is
6 essential for proper myelin sheath formation around
7 the nerve including those in our brains and in our
8 spinal cord. Psychosine is a highly toxic substance
9 that accumulates in the absence of GALC.

10 So to give you an idea of just how much the
11 transplant has helped DJ in terms of measurable
12 values, prior to transplant, DJ's GALC enzyme at
13 birth was 0.23, well below normal levels and at 100
14 days post-transplant his GALC had risen to 2.7 normal
15 level. And at birth DJ's psychosine levels were
16 incredibly high at 55 and at 100 days posttransplant
17 that level had dropped to 7.

18 Today, DJ is thriving with us at home. Does
19 he have developmental delays? Yes. Does he require
20 daily medications and is he tube-fed? Yeah. But does
21 he smile and laugh every day? Absolutely. Does his
22 face light up when you sing to him and snuggle him
23 and kiss him? Every time.

24 My heart goes out to all the families who
25 have not been granted the special opportunity that we
26 were fortunate to receive simply due to our location
27 of residence. It's my sincere hope that Krabbe is
28 added to newborn screening in every state across the

1 U.S. so that all children affected with this horrible
2 and incredibly unfair disease have a fighting chance
3 at longer, happier and healthier life. Thank you.

4 DR. CALONGE: Thanks, Vanessa.

5 *Stacy Pike-Lagenfeld*

6 DR. CALONGE: I'd now like to turn to Stacy
7 Pike-Langenfeld.

8 MS. PIKE-LANGENFELD: There you go. Just
9 needed to start my video. All right.

10 Hi. Thank you so much for the opportunity to
11 speak today. I'm Stacy Pike-Langenfeld, President of
12 Krabbe Connect. Please know that I am grateful to the
13 ACHDNC Committee members and their mission to reduce
14 morbidity and mortality in newborns and children who
15 have or at risk for heritable disorders.

16 However, as with any committee, whether it
17 lies under a federal or state, city, county,
18 corporate or non-profit designation, communities at
19 times need to reevaluate and reconsider or take time
20 to implement some new changes to ensure at the very
21 least the standards set forth for establishing and
22 operating are being accomplished.

23 Today, I would like to take a moment to make
24 you aware of some troubling items impacting an
25 unfairly balanced assessment of Krabbe disease.
26 ACHDNC members are appointed to this Committee to
27 utilize their education and professional experience

1 to fairly and without bias, evaluate and assess
2 conditions for the RUSP.

3 Some members of this Committee have a high
4 incidence of voting no when evaluating conditions for
5 the RUSP. My question to you is who is responsible
6 for monitoring the personal interests of the ACHDNC
7 Committee members and ensuring members chosen can be
8 fair in their evaluation and assessments?

9 On several occasions throughout the
10 Committee's discussion on Krabbe disease, the phrase,
11 "in my opinion" was used. Just as jurors are required
12 to listen attentively to both sides of an argument,
13 in light of the credibility and reliability of the
14 evidence and make a fair and impartial decision based
15 on the facts and the law.

16 The ACHDNC Committee should follow the same
17 protocol, making impartial decisions based on current
18 credible and reliable evidence is your job. Hence,
19 it's time for the Committee to reevaluate the process
20 and procedure in place today. Newborn babies lives
21 depend on them. ACHDNC Committee's vote on Krabbe
22 disease resulted in a tie. According to your bylaws,
23 if a vote results in a tie, the Committee can
24 continue the discussion to try to reach a consensus.

25 Alternatively, the Committee may decide to
26 postpone the vote to allow for more time for
27 discussion and deliberations. These options were not
28 presented to the Committee. In fact, the vote was so

1 rushed that the ACHDNC Committee did not solicit
2 input and feedback from a variety of stakeholders,
3 including patients, families, advocacy groups,
4 healthcare providers and the general public.

5 Can you imagine if you were being accused of
6 a crime and you were unable to call any witnesses to
7 the stand? Or your attorney was not allowed to cross-
8 examine? The ACHDNC is subject to Federal Open
9 Meeting Laws and Regulations, which require that its
10 meetings be open to the public and that interested
11 parties have the opportunity to participate in the
12 Committee's deliberations.

13 The ACHDNC did not follow this proper
14 deliberation. You broke the bylaws of this Committee.
15 Proper deliberation where stakeholders can cross-
16 examine the Committee ensures transparency and
17 accountability in a Committee's decision making
18 process and allows key assessments from stakeholders,
19 many of whom are experts to be considered.

20 Thus, it's time for this Committee to
21 reevaluate the process and procedures in place today.
22 Newborn babies' lives depend on it.

23 Lastly, it was evidenced that there was a
24 lack of knowledge on what you, the Committee members
25 can recommend. During the review of Krabbe disease,
26 members of the Committee were unsure if they could
27 advise second-tier testing if Krabbe disease was
28 added to the RUSP.

1 When a new member is appointed, do you have a
2 formal training process where members are trained in
3 their roles, responsibility and level of authority on
4 the Committee? This would seem like a crucial
5 training to help ensure all members feel comfortable
6 in their role, can navigate discussions and allow the
7 public to see that the Committee members can
8 confidently and accurately operate.

9 It's time for this Committee to reevaluate
10 the process and procedures in place today. Newborn
11 babies' lives depend on it. My message today is
12 clear. I am here to ask that you take some time to
13 reevaluate your process and procedures. It's time for
14 the Committee to have an appeal process and an
15 expedited review process for conditions that have
16 previously applied for RUSP approval.

17 Mistakes and errors happen. We're human. It's
18 okay to ask for grace and conduct another review. I
19 would see that as honorable and I think that most
20 people in this room would as well. Newborns have the
21 right to receive necessary medical care and treatment
22 and are supposed to be protected from harm and
23 neglect under child protection laws.

24 The review of Krabbe disease went awry and we
25 owe it to the future generations of newborns who will
26 be impacted by any life-threatening disease, a fair,
27 unbiased review of a condition for the Recommended
28 Uniform Screening Panel. Today, I dedicate my

1 comments to all those who have lost their lives to
2 Krabbe disease, including my daughter Michaela who
3 died at 2 years of age, 20 years ago today. Thank you
4 for your time.

5 DR. CALONGE: Thank you, Stacy.

6 *Joanne Kurtzberg*

7 DR. CALONGE: Next we have Joanne Kurtzberg.

8 DR. KURTZBERG: Hello everyone. My name is
9 Joanne Kurtzberg and I'm a pediatric transplant
10 physician who pioneered unrelated cord transplant for
11 treatment of Krabbe disease. I testified here a few
12 months ago on the day I expected the ACHDNC to
13 recommend the addition of Krabbe to the RUSP.
14 Unfortunately, that did not occur so I am back today
15 to address some of the perceived gaps that may have
16 prevented some of the Committee members from voting
17 in favor of adding Krabbe disease to the RUSP.

18 Through systematic monitoring of transplant
19 outcomes, we learned years ago that transplant did
20 not help symptomatic babies with Krabbe disease. In
21 contrast, babies transplanted before 30-40 days of
22 life dramatically benefited from transplant in
23 multiple ways. Not only was their life extended, but
24 they never developed the extreme irritability that's
25 presenting in symptoms in untreated infants.

26 Furthermore, they gained developmental
27 milestones, have normal vision and hearing, do not

1 have seizures, have normal cognitive development, are
2 able to communicate, go to school and enjoy age-
3 appropriate activities, meaning they are both living
4 and experiencing life.

5 These initial outcomes were published in 2005
6 in the New England Journal of Medicine and outcomes
7 at 5, 10 and 15 years have been documented in four
8 additional peer review publications. Over the past 16
9 years, approximately 20 presymptomatic babies born
10 into affected families have been treated. A very
11 small number because most families don't know they're
12 at risk.

13 In contrast, I've had to tell hundreds of
14 parents whose babies were diagnosed after months of
15 distressing symptoms that it was too late for
16 treatment and their baby would die of Krabbe disease.

17 When, 17 years ago, New York State began
18 newborn screening for Krabbe disease I was ecstatic.
19 Finally, babies would be diagnosed early enough to
20 have access to treatment so that fewer families would
21 watch their babies deteriorate whilst experiencing
22 diagnostic odysseys, only to find out that their baby
23 was going to die of a disease that would have been
24 treatable if they could have been diagnosed through
25 newborn screening.

26 Since that time, outcomes of 13 babies with
27 infantile Krabbe disease, identified through newborn
28 screening and undergoing transplantation have been

1 reported in four additional publications, showing
2 that 11 out of the 13 are surviving through 2-16
3 years after transplant.

4 A concern was raised as to whether the
5 outcomes of babies with infantile Krabbe disease
6 transplanted after diagnosis through family history
7 versus those diagnosed through newborn screening are
8 different. Correlating the data from all publications
9 as well as following many of these patients
10 firsthand, I can confirm that the clinical outcomes
11 are not different. What is different is that parents
12 of babies diagnosed through newborn screening have no
13 prior knowledge of the disease and with targeted
14 support they quickly learn about the disease and make
15 critical decisions about the options for their baby.

16 Since the meeting in February there have been
17 opportunities for additional communication with the
18 ACHDNC which we greatly appreciate. Requests for
19 additional information included additional evidence
20 of outcomes of transplant for infantile Krabbe
21 disease, information about the toxicity of transplant
22 in the first two months of life and evidence that
23 identification of children at risk for later onset
24 Krabbe disease is beneficial.

25 We responded in a 20-page letter that can be
26 accessed from Hunter's Hope website, documenting that
27 there are several publications reporting outcomes
28 after transplantation for infantile Krabbe disease in

1 both children identified because of the family
2 history and children identified through newborn
3 screening.

4 Numbers in both groups are small because this
5 is a very rare disease but frankly the vast majority
6 of cases are reported in the medical literature.
7 Thus, there is no gap in this evidence. Furthermore
8 transplantation of young infants is the treatment of
9 choice for multiple rare and life threatening
10 conditions, including SCID, congenital bone marrow
11 failure syndromes and other leukodystrophies.

12 The main additional risk of transplantation
13 in these young infants are effects on dental
14 development, teeth development, which can be
15 addressed with reconstructive therapies after full
16 skeletal growth has been achieved. This is hardly a
17 barrier to a therapy that saves lives.

18 We were also asked about parental perceptions
19 of newborn screening and informed that the compelling
20 testimonies we've all heard at the last meeting of
21 the ACHDNC were parents of children treated with
22 transplant for Krabbe disease are not considered
23 evidence. Rather, evidence is a peer-reviewed
24 publication in the medical literature.

25 Surprisingly there is a report published in
26 the International Journal of Neonatal Screening in
27 2020 entitled "Family Attitudes Regarding Newborn
28 Screening for Krabbe disease". Over 170 responders,

1 including 138 with a family member with Krabbe
2 disease diagnosed with symptoms, 20 diagnosed through
3 newborn screening, and 12 diagnosed because of the
4 family history, 165 or 97 percent supported
5 implementation of newborn screening for Krabbe
6 disease.

7 Lastly, I agree that we're still learning
8 about this small population of children identified
9 through newborn screening who are at risk for later
10 onset Krabbe disease. I agree that this is a
11 challenging population but I do see a path forward,
12 focusing on the infantile cases identified through
13 newborn screening.

14 Moreover, the nominated screening approach
15 identifies the infantile cases 100 percent of the
16 time and eliminates the possibility that a family
17 who's newborn is not affected would have to worry
18 about Krabbe disease.

19 To summarize, I submit that the perceived
20 gaps in the nomination package have been addressed
21 and do not believe there's a need to resubmit the
22 nomination to add Krabbe disease to the RUSP. As an
23 alternative, I strongly recommend that Krabbe be re-
24 discussed at the office meeting at the ACHDNC with a
25 repeat vote on the nomination at that meeting.

26 Thank you all for your attention.

27 DR. CALONGE: Thank you, Joanne.

1 *Matt Blum*

2 DR. CALONGE: Next we have Matt and Jennifer
3 Blum.

4 MR. BLUM: Hi everyone. Just Matt here.
5 Unfortunately my wife can't make it. But thanks so
6 much for the opportunity to share my daughter's story
7 with you today.

8 Chloe was born full-term actually right on
9 her due-date and everything seemed perfect at the
10 time. Normal length, weight, head circumference. She
11 passed her hearing tests and all the other initial
12 exams. No issues identified. In fact, there wasn't a
13 single indication whatsoever for any of us to suspect
14 what we would later find out many months down the
15 road, that Chloe was born with a congenital CMV
16 infarction that was silently attacking her ears and
17 attacking her brain right as we held her in our arms.
18 By about 4 months or so we started to see some
19 developmental delays, but it wasn't really until her
20 six-month checkup that we truly became concerned.

21 All of a sudden her head circumference had
22 plummeted off the growth charts. She was having
23 secondary microcephaly and you know, that kicked off
24 a barrage of testing. By 7 months our neurologist sat
25 down with us and basically showed us that the imaging
26 revealed that she had a significant brain
27 malformation and if it wasn't life-threatening, which

1 she couldn't rule out, there was no way to say
2 whether she'd be able to walk, talk, have higher
3 level cognitive abilities. It turned our world upside
4 down.

5 Shortly after we had her hearing retested
6 because CMV was one of the possible culprits and
7 learned that she had mild hearing loss in one ear and
8 had become completely deaf in her other year at that
9 point and ultimately we wouldn't be able to
10 definitively confirm the root cause of her
11 disabilities until after her first birthday.

12 It took us an additional 4 months of testing
13 to rule out all of the other possibilities, plus
14 working with the Connecticut Department of Public
15 Health to send a sample of her newborn screening card
16 to a lab in Alabama for positive confirmation.

17 Today, at 17 months, this is my little girl.
18 I'm grateful to say that Chloe is thriving. We could
19 not be more proud of her. She's such a happy girl.
20 She's truly an inspiration for our family. Although
21 she suffers from global developmental delays and
22 hypotonia or weak muscle strength which has caused a
23 number of challenges for her, in addition to her
24 hearing loss.

25 She is smiling 24/7. She is getting stronger
26 each week and even taking steps now. She is learning
27 how to hear and learn via her new cochlear implant.
28 But the point I want to emphasize to this Committee

1 though is that our family is the fortunate exception
2 to the rule. There are so many families out there
3 with babies in Chloe's position who aren't lucky
4 enough to get their children diagnosed in time for
5 the early intervention if they're even able to
6 identify diagnosis at all.

7 Typically it can only be definitively
8 diagnosed within the 21-day window after birth. In
9 addition, the base majority of CCMV families out
10 there do not have the time and resources to work with
11 seven different therapists each week, like Chloe has
12 done.

13 According to the CDC, congenital CMV is the
14 leading viral cause of birth defects and
15 developmental disabilities in the U.S. However, only
16 9% of pregnant women have ever even heard of it and
17 our family certainly falls in that camp. Each year a
18 staggering 30,000 children, one out of 200 babies are
19 born with CCMV and while, yes many of them will be
20 just fine an unacceptable number of them will not be.

21 Of those 30,000 babies each year there are
22 400 deaths and 6,000 children like Chloe with
23 permanent disabilities. There's so much more that
24 could be done just to raise awareness of CCMV but
25 also to help progress efforts to implement universal
26 newborn screening, so that each and every one of
27 those 30,000 families will have equal access to
28 testing as well as the opportunity for treatment and

1 other early intervention services which are so, so
2 crucial for early intervention trajectory and outcomes
3 for CCMV children.

4 Thanks for your consideration and hope for your
5 support for these efforts.

6 DR. CALONGE: Thank you, Matt.

7 *Pamela Jinsky*

8 DR. CALONGE: Next we're going to turn to Pamela
9 Jinsky.

10 MS. JINSKY: Can you see me? And hear me?

11 DR. CALONGE: Yes.

12 MS. JINSKY: Okay. Similar to Matt's story, again
13 my name's Pamela Jinsky and I have a daughter who was
14 born with congenital CMV and her story is quite, just --
15 I don't know all the words for it. It was a roller
16 coaster because we didn't know that congenital CMV
17 actually caused all the disabilities that she has now.

18 So I'm going to give you the rundown real quick,
19 but so I had her May 29, 2015 and before that I had
20 numerous appointments. I went to the perinatologist, all
21 of these labs done and I just knew she had an echogenic
22 bowel and that her ventricles were not symmetrical,
23 which the perinatologist never said anything about CMV.
24 The point I'm trying to make here is that CMV is never
25 mentioned from numerous doctors, numerous medical staff.
26 It just goes on and on for about the first ten months of
27 her life. But she was born--when she was born she was

1 three weeks and 5 days early and she didn't have to go
2 to the NICU. All we knew is that she needed an
3 ultrasound because of the ventricles in her brain.

4 Once ultrasound was performed it was then noted
5 that she needed an MRI. So the MRI showed that she had a
6 brain bleed, two shunts in her brain as well as she
7 might have hydrocephaly ND again CMV was never
8 mentioned. On top of that, she failed a newborn hearing
9 screening three times while she was in the hospital.

10 With that, we left clueless as to why she had
11 these things going on with her brain and at this point
12 we didn't know if she was going to have hearing loss. So
13 we followed up like everybody else does and to the
14 follow up appointment was the hearing, ENT and with
15 that this wasn't quite accurate so we got a second
16 opinion when we went down to the children's hospital
17 here in Madison, Wisconsin. And there, same thing.
18 CMV never mentioned. We just knew that she had
19 microcephaly, polymicrogyria and she didn't need a
20 shunt. So we were very excited that she didn't need
21 that but her hearing was still not right so we had to
22 go back and to more tests at the Children's Hospital
23 and there they said she was bilaterally deaf, bad.
24 Cochlear implants would be the next step if we wanted
25 to go that way so we transferred all the care to
26 Milwaukee Children's Hospital and with that, we did
27 also make an appointment with a neurologist to see
28 what—what was causing this.

1 We had no clue what was causing all these
2 conditions. It wasn't genetic and we just kept
3 fighting, looking for answers, and I was just kept
4 searching looking for why this was happening to my
5 daughter. So then CMV finally was mentioned, that
6 these look like exactly the markers of what CMV would
7 be.

8 Well now by that time, I didn't know how to
9 figure it out, how to figure out how she got CMV
10 because as Matt said it's the first 21 days of life,
11 you can find out definitively. So with that we had
12 asked doctors in Wisconsin and trying to get opinions
13 about what I was trying to find, what this was. So
14 finally I was able to get a hold of a doctor locally
15 and they suggested to try and see if you can find the
16 RUSP panel, the newborn blood spot.

17 So with that, it was already past her first
18 birthday so they're supposed to dispose of it after
19 the first year of life. Well it happened they still
20 had it a month and a half after they should have
21 disposed of it. And the only place that they were
22 able to actually pinpoint if it was positive or not
23 for CMV was at the University of Minnesota, from Dr.
24 Mark Schleiss is the one that actually definitively
25 positive showed that she had CMV.

26 So we were very fortunate that had happened.
27 But overall in the end the big epic fail as I call it
28 is they knew as she was in my womb that the

1 perinatologist put on my records, my health notes
2 that there were markers of CMV and she never
3 mentioned to us, me or my husband at the time. Never
4 mentioned CMV. And that hurt me so much that they
5 would leave this information about from us. That they
6 wouldn't tell us. It could be this and never told us.

7 So after that 16 months, 18 months later I
8 actually sat down and talked with the perinatologist and
9 I said "Why. Why didn't you tell us about this? Why
10 didn't you tell us about CMV?" I'd never heard of it,
11 nothing." And she goes to me "What? They didn't test for
12 CMV after she was born?" And I was just like--I didn't
13 know what to think. I was just so angry at the system
14 and how they failed my daughter Pella. With that too is
15 with CMV the number one diagnosis is or symptom is
16 hearing loss. So to add that to the RUSP panel, there
17 are so many kids out there that have hearing loss,
18 like my daughter where if I didn't follow up we
19 wouldn't have known that this is something that
20 actually came from a virus.

21 We are just blessed that I am a determined
22 advocate for my daughter to find out what was going
23 on with her. But overall it's just been a
24 rollercoaster from that time on and I've been a
25 strong advocate for my daughter Pella and she is just
26 about 8 years old now. She is nonverbal, she cannot
27 talk. She can smile, but she can't walk. She can't--
28 she has to be transferred everywhere. She can't crawl

1 and she is, has cochlear implants.

2 She can hear and understand you—mainly she
3 uses her mode of communication is sign language or
4 communication device. But in the end, Pella, my
5 daughter wants to be like everybody else and do what
6 everybody else is doing no matter if she can't do it
7 exactly like them, she wants to try as much as she
8 can.

9 So to ask in the future when the CMV voting
10 is for the nominee can you guys please consider
11 stating other families like mine to go through what
12 we did in trying to find this diagnosis that was
13 there from the start before she was born.

14 This is very critical for early intervention,
15 especially when it comes to hearing loss. All right,
16 thank you.

17 MS. MANNING: So I first want to thank
18 everyone that has shared the public comment thus far
19 and for those of you that will be providing comments
20 next, please limit your comments to four minutes. We
21 have several folks that have registered to provide
22 public comments and we want to ensure that we get to
23 all of them. Thank you.

24 DR. CALONGE: And thanks, Pamela for your
25 testimony.

26 *Danae Bartke*

27 DR. CALONGE: Next I have Danae Bartke.

1 MS. BARTKE: First, I want to say thank you to
2 the Committee for allowing me to have this chance to
3 speak. My name is Danae Bartke and I am the Executive
4 Director of HCU Network America. HCU Network America
5 is a 501(c)(3) patient advocacy organization that
6 focuses on supporting research to improve diagnoses
7 and treatment, providing educational resources for
8 patients and caregivers, creating connections across
9 the HCU community and ensuring that all patients are
10 diagnosed as early and efficiently as possible.

11 HCU Network America connects more than 600
12 families across thirty countries with medical
13 steering committees comprised of HCU Medical experts,
14 patients and caregivers that have had -- experiences.

15 First, I would like to acknowledge and
16 applaud the Centers for Disease Control and
17 Prevention for their efforts in revising newborn
18 screening protocols for classic homocystinuria. The
19 agency has recently published two pieces of
20 literature regarding its first-tier multiplex assay
21 for homocysteine and second tier, multiplex, newborn
22 screening liquid chromatography with tandem
23 spectrometry method. HCU Network America encourages
24 the Committee to share these approaches with state
25 laboratories investigate adjusting their cutoffs in
26 implementing a more efficient and accurate newborn
27 screening process for classic homocystinuria amongst
28 other disorders.

1 Second, HCU Network America is hosting a
2 newborn screening update and roundtable discussion on
3 Monday, May 22, from 1:30 to 3:00 p.m. Eastern for
4 State newborn screening programs. This interactive
5 discussion will feature Acosta de Pérez, the
6 Laboratory Chief at the biomedical mass spectrometry
7 laboratory newborn screening and molecular biology
8 branch at the Centers of Disease Control and
9 Prevention, who will present on the Agency's first
10 and second multiplex approaches.

11 Additionally, representatives from Colorado,
12 Massachusetts and New York whose newborn screening
13 laboratories will share screening and vision updates,
14 best practices from their perspective states. We
15 encourage all newborn screening program colleagues to
16 attend. Please reach out to us if your state program
17 has not seen an invite yet and we hope to see you
18 there.

19 Again, we would like to thank the Committee
20 for the opportunity to speak and we again applaud the
21 CDC's progress despite all the circumstance. Thank
22 you.

23 DR. CALONGE: Thanks Danae.

24 *Dean Suhr*

25 DR. CALONGE: Next, we have Dean Suhr.

26 MR. SUHR: Good afternoon. Greetings chair and
27 Committee members. I am sorry to not be there in

1 person. We are enjoying some well-needed rain today.
2 I am Dean Suhr, President and Co-Founder of MLD
3 Foundation, over 20 years ago. MLD is a rare,
4 terminal, neurometabolic disorder. The majority of
5 cases are late infantile with the symptoms starting
6 as early as 12 months with full engagement by 24
7 months.

8 Over the last decade, Professor Gelb of the
9 University of Washington has been developing an MLD
10 assay. He validated that screen with over 100
11 thousand spots tested in his lab and we're now part
12 of the New York Screening Plus newborn screening
13 project. No babies identified there yet, but we do
14 have several EU pilots. We're just shy of 100
15 thousand babies screened to date including 3 babies
16 already identified in Germany. There's a waiting
17 period before they're referred to therapy, but at
18 least one of them has been referred to the EU
19 approved gene therapy already. The confirmed assay is
20 repeatable, accurate and cost-efficient. We started
21 our MLD newborn screening key opinion leader or KOL
22 work in 2017.

23 Since then, MLD has an approved and
24 commercialized therapy in the EU since December 2021.
25 It's called Libmeldy over there. That's not the U.S.
26 name or at least not yet. Back in the U.S., OTL200
27 has an FDA RMAT designation that has been subject of
28 numerous pre-VAL meetings and it's eligible for rare

1 pediatric review voucher so we're planning on a quick
2 review to the FDA.

3 So our timelines are firming up. With a VLA
4 filing according to the sponsors mid-year, we
5 recognize the Committee desires and improve to
6 therapy to accept the nomination and so we're rapidly
7 doing that. The nomination prep is underway.

8 Our first KOL meeting as I think I mentioned
9 was in 2017. Our expert advisory group focused
10 specifically on the RUSP has been meeting since
11 February 2020 and currently we have an international
12 consortium that is supporting this project.

13 The current target is to submit a nomination
14 as early--in early 2023 in line with an anticipated
15 VLA-FDA response, a positive response from the FDA.
16 However, there are some concerns.

17 Uncertainty being the top one of those. Most
18 recently, as we've heard about and probably will hear
19 for the next few comments, the DMD and Krabbe reviews
20 and the votes, we're concerned that the process and
21 the clarity and stability of that aren't quite there
22 and so that makes it a bit of a moving target for us
23 and that really makes it really difficult for us to
24 put a nomination together to address what we don't
25 know might be slightly changing criteria. We do
26 recognize that rare disease--in the rare disease
27 space, flexibility in evaluation is good. There also
28 has a downside if the--the requirements to target that

1 is changing too. The second concern is the use of
2 data from pilots and babies or babies identified
3 outside of the United States i.e., the EU. For
4 Libmeldy, the therapies were developed in the EU, in
5 Italy and it was approved there first and hence
6 that's why it's--everything is more progressed over
7 there.

8 Professor Gelb will be talking a little bit
9 later about Anna Velon and identifying babies and I
10 encourage you to listen to those comments as well.
11 We're in full support of that. And then with the
12 capacity of the Committee and your eternal review
13 group. We feel that the impending tsunami of
14 additional nominations.

15 We've got several repeat nominations
16 potentially on the docket. We just remain concerned
17 about priorities and throughput.

18 And then finally, more of a philosophical
19 comment, but just something to inspire you. You know,
20 the FDA has "do no harm" up on their, you know, on
21 their billboards and then the ACHDNC through the rest
22 of their approval kind of has that similar
23 philosophy. You don't use those same words but it
24 always seems, and we heard this a little bit earlier
25 in today's session we're talking about the harm of
26 newborn screening.

27 Through that small, small number of people.
28 But that harm often doesn't seem to as broadly or as

1 bluntly include that death is a harm.

2 Doing nothing, not approving a nomination
3 leads to death and I just, I just encourage you to
4 think about that as you go back to your evidence-
5 based work. We need to have ethical undertones as
6 well.

7 Thank you.

8 DR. CALONGE: Thanks, Dean.

9 *Niki Armstrong*

10 DR. CALONGE: We're now going to turn to
11 people who are present and I'll invite them up to the
12 podium and the microphone starting with Niki
13 Armstrong.

14 MS. ARMSTRONG: On behalf of Parent Project
15 Muscular Dystrophy and the Duchenne Patient
16 community, thank you for the opportunity to speak
17 today.

18 My name is Niki Armstrong and I am the
19 Newborn Screening Program Manager for PPMD. I'm
20 pleased to provide an update on our Duchenne newborn
21 screening efforts. Following the nomination and
22 prioritization presentation and disappointing vote in
23 February, we are grateful for the opportunity to
24 streamline and update the Duchenne RUSP nomination
25 package with the plan to resubmit this month.

26 I'd like to take this opportunity to provide
27 some clarifications regarding some of the questions

1 that were raised during the DMD discussion last
2 meeting. I think you all are aware from previous
3 comments that I've made that Duchenne has multiple
4 therapies that are approved and available and it's
5 really on the cusp of a--huge changes in the
6 treatment paradigm. Corticosteroids are standard of
7 care for all patients with Duchenne and they are
8 well-documented to have multiple benefits including
9 extending the amount of time that boys can ambulate
10 as well as slowing the decline of both lung and
11 cardiac function.

12 Exon skipping therapies, which are approved
13 for about 30 percent of people with Duchenne, have
14 also been shown to delay the loss of ambulation and
15 to slow decline in lung and cardiac function. With
16 emerging evidence to suggest that initiating those
17 earlier has increased benefits. And then we have gene
18 therapy. One gene therapy is under FDA review right
19 now with the PDUFA date of later this month. Another
20 gene therapy just completed enrollment of its pivotal
21 phase 3 trial and three other gene therapies are
22 still in earlier phases of clinical trials.

23 One of this earlier phase clinical trials
24 enrolled our youngest patient to date, a 7-month old
25 and recent data was presented at a meeting that at
26 nearly two, his development remains typical, which is
27 not usual for Duchenne. There are currently clinical
28 trials recruiting in Duchenne and a steroid

1 alternative that also has a PDUFA date of later this
2 year. So there are huge things happening in the
3 treatment world of Duchenne.

4 It's important to understand that Duchenne is
5 different from many other conditions that are
6 currently on the RUSP. Some of these differences are
7 actually to our benefit. So Duchenne is X-linked and
8 because of that X-linked nature it's actually easier
9 to understand variants of uncertain significance. We
10 can do familial segregation studies and actually
11 pretty easily figure out most of them.

12 However, probably the biggest difference and
13 an area where we had issues is incredibly slow
14 progression in Duchenne. While muscle damage is
15 present at birth, and we know this because we are
16 using a biomarker of muscle damage for newborn
17 screening, boys with Duchenne continue to make
18 developmental progress until about 4 or 5 years of
19 age. Their progress might be slow and they can
20 certainly benefit from targeted therapies as
21 discussed this morning but they make progress. Each
22 at their own rate. Until they reach their plateau. At
23 that time of plateau, that child has accumulated a
24 significant enough muscle damage that the muscle
25 tissue is being replaced by fat and fibrosis. That
26 replacement is irreversible and will continue until
27 that muscle becomes nonfunctional.

28 People with Duchenne typically survive until

1 their late 20's and we know exactly how Duchenne
2 progresses and we know how the treatments work when
3 we start them at the typical ages.

4 The approved treatments are effective but
5 they are long-term. They require long-term dosing and
6 provide long-term benefits. As most of us know,
7 clinical research is difficult and expensive. I would
8 love to find a cohort of boys, you know, and follow
9 them for 5, 10, or 15 years but unfortunately the
10 boys who are now 10 or 15 that were diagnosed around
11 birth, the standards of care are completely different
12 and exon skipping therapies weren't available so that
13 data isn't as easy to come by. And then there's the
14 question of how much benefit is enough? Is a higher
15 Bailey gross motor score after a year of twice weekly
16 corticosteroids enough? Is data that initiating an
17 Exon skipping therapy a year earlier probably extends
18 the time of ambulation enough? Newborn screening
19 saves lives and I know that we all feel a great
20 responsibility towards that but Duchenne is not going
21 to be SMA, nor is it PKU. Current treatments for
22 Duchenne are not cures. however, we know that the
23 current treatments slow or delay muscle damage and
24 because they slow or delay muscle damage, we know
25 that there's going to be benefit for newborn
26 screening. How much benefit? It's going to take years
27 to know exactly.

28 We've gotten survival to the late 20's with

1 our current standards of care. Maybe we'll get
2 another 5 years of walking. Maybe we'll get another
3 10 years of incredibly important upper limb function.
4 Maybe we'll get another ten years of life and any one
5 of those is enough to make newborn screening for
6 Duchenne worth it.

7 Thank you.

8 DR. CALONGE: Thanks, Niki.

9 *Paul Melmeyer*

10 DR. CALONGE: Next we have Paul Melmeyer.

11 MR. MELMEYER: All right. Good afternoon
12 everybody and thank you for the opportunity to
13 provide comments and updates. There are ongoing
14 efforts to add Duchenne Muscular Dystrophy to the
15 Recommended Uniform Screening Panel.

16 I am Paul Melmeyer, Vice President of Public
17 Policy and Advocacy of the Muscular Dystrophy
18 Association. MDA is proud to serve the Duchenne as
19 well as Spinal Muscular Atrophy, Pompeii disease and
20 other rare muscular disease patient communities.

21 MDA was a proud cosponsor of the nomination
22 of Duchenne muscular dystrophy last summer and under
23 the leadership of Parent Project Muscular Dystrophy,
24 we provided the evidence that the Committee required
25 for consideration.

26 We were disappointed that the Committee voted
27 not to move the Duchenne nomination to full evidence

1 review in February but we are undeterred in trying to
2 move the nomination forward.

3 In addition to the points that Niki Armstrong
4 with PPMD just made pertaining to the availability of
5 effective treatments for individuals with Duchenne as
6 well as the potential approval of a gene therapy for
7 Duchenne later this month and how these important
8 treatments are -- how important these treatments are
9 for delaying the onset of many symptoms with
10 Duchenne, Mason and.

11 We also wanted to provide updates and
12 comments on several additional points raised by this
13 Committee when discussing the nomination in February.

14 First, the Committee expressed concern about
15 the availability of confirmatory testing for state
16 newborn screening programs to confirm the diagnosis
17 of Duchenne via next generation sequencing. Frankly,
18 we do not share this concern, as access to genetic
19 confirmatory testing is not demonstrably different
20 than the genetic testing defined in the SMA2 gene for
21 SMA or to the genetic cause of Pompe disease. These
22 genetic tests are substantially less expensive than
23 they used to be and are fully accessible to state
24 programs and providers.

25 In addition to free genetic testing programs,
26 genetic tests cost just a few hundred dollars at the
27 very most, to find the genetic causes of Duchenne and
28 related muscular dystrophies. With over 40 CLIA

1 certified labs performing Duchenne genetic testing
2 and with this number expected to grow, this number is
3 greater than labs conducting confirmatory testing for
4 other RUSP approved conditions.

5 We are also paying close attention to the
6 evolving state policy environment pertaining to the
7 use of dry blood spots. Well, several states are
8 considering further limiting the use of dried blood
9 spots in secondary research, law enforcement or other
10 venues, the use of dry blood spots for confirmatory
11 testing within the initial newborn screening process
12 is not something of concern as of yet.

13 Second, the Committee questioned the
14 necessity of screening for Duchenne muscular
15 dystrophy at birth instead of exploring the
16 appropriateness of testing for Duchenne at a later
17 date. Perhaps the one-year wellness visit. We would
18 strongly disagree with this approach. The presence of
19 elevated CK levels in newborns with Duchenne is
20 evidence that muscle damage caused by Duchenne is
21 happening prior to birth and continues throughout the
22 course of the disease.

23 To intentionally delay diagnosis only allows
24 this muscle damage to continue unchecked for at least
25 a year. furthermore, according to CDC, anywhere from
26 10-30 percent of children don't even have their well-
27 child visits with health system inequities
28 exacerbating this further for minority populations.

1 Finally, without going further today during
2 my testimony, we will be addressing questions
3 pertaining to the false positive rate within the
4 pilot studies, expectations of newborn screening for
5 Duchenne at the population level and more.

6 In conclusion we look forward to addressing
7 these and other concerns with our renomination of the
8 package in the coming weeks and are happy to answer
9 any further questions. Thank you so much for the
10 opportunity to testify today.

11 DR. CALONGE: Thanks Paul.

12 *Elisa Seeger*

13 DR. CALONGE: Next we have Elisa Seeger.

14 MS. SEEGER: Dear Chairman Calonge and members
15 of the Advisory Committee for Heritable Disorders in
16 Newborns and Children.

17 Thank you so much for the opportunity to
18 speak today. My name is Elisa Seeger and I'm the
19 Founder of the ALD Alliance. I wanted to share some
20 thoughts, concerns and hopes for this Committee and
21 the future of newborn screening.

22 I would like to draw attention to the
23 advocacy work that our coalition has been doing to
24 end "death by zip code". As many of you here know,
25 the state where a baby is born determines which
26 conditions they are screened for, leading to
27 inequalities across the country. To end death by zip

1 code, the country must prioritize complete RUSP
2 implementation in all 50 states. During the November
3 2022 Advisory Committee Meeting, we heard from
4 several state lab representatives about how funding
5 is one of the major barriers to efficiently
6 implementing newborn screening conditions.

7 The CDC under their newborn screening quality
8 assurance program and HRSA, both offered funding
9 opportunities last year through grants intended to
10 help states to build capacity to support the
11 implementation of the RUSP conditions. The demand was
12 high as a record number of ten states applied for the
13 CDC grants, however even though all ten state
14 applications were approved, funding was only able to
15 be provided for half of them and even though states
16 ultimately were underfunded.

17 While these funding opportunities are
18 important, they are not enough. State labs have made
19 it clear that they need consistent, flexible and
20 sufficient funding every year in order to keep up
21 with the conditions that become eligible for newborn
22 screening.

23 We will continue to push for more federal
24 funding for states and their newborn screening
25 programs and hope that state lab engagement in the
26 newborn screening process continues as our voice and
27 hard work is vital for ensuring that geography does
28 not dictate life and death for newborns.

1 During the last Committee meeting in
2 February, we, like many others, were disappointed
3 with the decisions to not move Krabbe or Duchenne
4 muscular dystrophy forward in the condition
5 nomination process. We understand that these
6 decisions came after careful consideration by the
7 Committee but the outcomes were a devastating setback
8 for the two disease communities as well as newborn
9 screening as a whole.

10 We also believed that the way the Committee
11 came to these conclusions shed light on some of the
12 fundamental issues that the Committee and its process
13 for reviewing conditions for the Recommended Uniform
14 Screening Panel face.

15 First, we want to point out that the Advisory
16 Committee, discretionary charter and underlying
17 statute both specify the need for 15 or an odd number
18 of members. The obvious reasoning behind this is so
19 that when votes occur, there will not be a tie and so
20 the intent of the Committee will be clear.

21 During the February meeting, the vote on
22 Krabbe nomination resulted in a 7 to 7 tie, because
23 only 14 voting members were present. The Committee
24 concluded that according to Roberts' rule of order,
25 the motion did not pass. Nowhere in the charter or
26 underlying statute does it advise or require the
27 Committee to follow Roberts' rule or order and we
28 believe that the vote should have been postponed

1 until 15 votes were able to be casted, as was
2 expected when the Committee was established.

3 Additionally, we urge the Committee to
4 formally include a minimum of two expert members of
5 the nominated disease community to participate in the
6 evidence review discussion. As we saw with the Krabbe
7 presentation and with past presentations, questions
8 can arise that are beyond the expertise of the
9 presenters so it is important to have disease-
10 specific experts on hand to step in and provide
11 education and clarity.

12 We also feel it is important to permit
13 organizational representatives to participate in the
14 evidence review discussion as well. Perhaps most
15 important of all is the need for the Committee to
16 provide consistent standards for all nominated
17 conditions, using an amount and type of evidence
18 based on condition specific factors, such as rarity,
19 severity and unmet need.

20 I would also like to express concern over Dr.
21 Kwon's remarks during the Krabbe Review. Here are two
22 quotes. "I think that for me the most difficult part
23 of this particular newborn screening program is how
24 people react to the fact that they're told this
25 information and to me it's one of those programs that
26 really reminds you that this is an unconsented
27 activity and this is something that we're imposing on
28 families." Another quote "but the program itself,

1 newborn screening itself is an unconsented task,
2 basically that people having babies are paying".

3 It is my hope that any voting member of this
4 Committee would believe in newborn screening and
5 focus solely on the condition being reviewed, not be
6 blinded by their own beliefs which are no doubt
7 rooted in their own personal experience, having to be
8 the ones to break the news.

9 Not diagnosing these babies at birth does not
10 magically make these conditions disappear. It leads
11 to a diagnostic odyssey for the families and most
12 likely the inability to intervene and to save a life.
13 I think it would be beneficial to review voting
14 membership requirements in addition to reconsidering
15 membership due to bias.

16 I implore you to have more voting members
17 that represent the rare disease patient population.
18 We are hopeful that lessons can be learned from the
19 outcomes of the last meeting and the condition
20 nomination process could be improved for future
21 disease nomination conditions. Thank you for your
22 time.

23 DR. CALONGE: Thanks, Elisa.

24 *Kim Stephens*

25 DR. CALONGE: Next we have Kim Stephens.

26 DR. STEPHENS: Hi. My name is Dr. Kim Stephens
27 and I'm here today as the President of Project LIVE

1 and the co-Chair of EveryLife Foundation Community
2 Congress newborn screening and diagnostics working
3 group and as a parent advocate.

4 We offer the following comments to inform the
5 Committee's ongoing efforts to enhance engagement
6 with stakeholder communities. In the weeks since the
7 Committee last engaged, our community members have
8 raised concerns with how the Committee approached
9 their decisions during the two votes at the February
10 meeting and you've heard a lot about that today. And
11 while the decisions themselves were disappointing and
12 presented significant setbacks for each community,
13 much of the frustration of the community stems from
14 the processes involved in making each of these
15 decisions.

16 As newborn screening advocates who are
17 helping to drive the evidence development and
18 implementation, we offer the following observation
19 from recent Committee proceedings. With specific
20 comments in regard to the Committee's charter and
21 overall transparency and consistency, relating to the
22 authorizing charter of the Advisory Committee.

23 Our community has noted that recent
24 Committee's discussions have often included issues
25 beyond the specific scope of the Committee's charter.

26 On numerous occasions we have seen thoughtful
27 and extensive conversations that explore the cost of
28 clinical interventions and parental decision making in

1 the contest to follow up care and interventions. As
2 parents and clinicians and community members, we agree.
3 These are incredibly important topics, however it is the
4 existence of an intervention treatment and the impact of
5 that intervention treatment that are integral and
6 germane to a nomination. Discussions to explore the
7 costs of those clinical interventions and parental
8 decision making are outside the scope of the charter of
9 this Committee.

10 Related to transparency and consistency as has
11 been previously noted, our communities are seeking
12 increased transparent from many. We appreciate all the
13 enhancements being made and as further enhancements are
14 being made, we'd like to highlight two areas. With
15 respect to Committee member selection, we continue to be
16 unaware of the process around new Committee members'
17 selection.

18 Specifically, what are the considerations
19 including with on boarding of new members. Is there
20 training or an orientation process so that new
21 members receive deep acclimation to newborn screening
22 system prior to making a decision that significantly
23 impacts it? How is the overall membership balance of
24 the Committee considered in terms of professional
25 experience and expertise? Given the life-altering
26 global significance of the decisions that are being
27 made by this Committee, transparency and member
28 selection, training and governance is critical.

1 With respect to conditions, nominations and
2 evidence review it seems that each time a new
3 condition is brought up for review the evidentiary
4 standards begin to shift without prior conversations.
5 For example, during the Krabbe disease nomination
6 discussion, there were multiple discussions about
7 having to update the decision matrix and the vote to
8 change the matrix scores highlights apparent shifting
9 of standards that leaves future nominators, as we
10 heard earlier, guessing as to what's required to add
11 a condition to the RUSP. We urge you to reach out to
12 Committee, to member communities, to patient advocacy
13 groups, researchers, public health labs and address
14 these concerns. As members of these communities, we
15 have seen the benefits of newborn screening. We
16 understand that your decisions dramatically change
17 the lives of thousands of Americans and we want to
18 work with you and we're committed to bring about
19 change but we need you to help us or meet us half
20 way.

21 Thank you very much.

22 DR. CALONGE: Thank you, Kim.

23 *Lesa Brackbill*

24 DR. CALONGE: Next we have Lesa Brackbill.

25 MS. BRACKBILL: Good afternoon. My name is
26 Lesa Brackbill and my daughter Victoria died from
27 Krabbe disease in 2016. I can assure you that I speak

1 today from more than just a parental perspective. I
2 know the science. I know the data and that has
3 informed what I will say today.

4 One of the greatest lessons I have learned in
5 recent years is the concept of listening to
6 understand. I have learned to approach different
7 perspectives with humility, a willingness to be wrong
8 and an acknowledgement that others know more than me
9 about some things. I assumed that membership on this
10 Committee meant that a commitment to open-mindedness,
11 to science and to acknowledging that others know more
12 about certain conditions than members of the
13 Committee do which is why nomination packages
14 containing hundreds of pages of evidence are
15 required.

16 As I watched the proceedings on February 9th
17 I listened with the hope that justice would finally
18 be served and with faith that the system would work
19 properly and that's not what happened. Instead, I
20 watched in disbelief as the afternoon unfolded and
21 people with a known bias against screening for Krabbe
22 disease, one of whom published a paper about it, were
23 placed in charge of the benefit/risk analysis. I
24 watched as misinformation was shared about diagnosis
25 and treatment. I observed as the Committee asked
26 questions amongst themselves that they couldn't
27 answer instead of asking actual experts to clarify
28 and inform.

1 Your response letter listed three evidence
2 gaps, two of which were fully included in the package
3 and the third one is not even an existent issue. What
4 I learned on February 9th is that even the best
5 systems are susceptible to failure.

6 We in the advocacy community are encouraged
7 to trust you, to allow the evidence-based process to
8 work instead of legislatively mandating that
9 conditions be added which we are very good at doing.
10 You ask so much of each rare disease group, both in
11 time and in money, which can be millions of dollars
12 before we even nominate and we comply because it
13 seems like the right thing to do. So what are we
14 supposed to do when that process is overridden by
15 bias, neglects evidence and ignores statutes? What do
16 we say when the goalposts are moved mid-
17 consideration? What are we supposed to do when the
18 parental perspective is completely ignored?

19 One of the most frustrating things I heard
20 that day was that treatment was too risky because of
21 a 10 percent chance of mortality, which isn't even an
22 accurate number per published data. It's actually 5%.
23 For Krabbe, MLD and other rare diseases the
24 alternative is a 100 percent chance of death.

25 Most importantly, it's not your job to decide
26 for parents whether or not it's worth that risk. It's
27 the parents' choice whether or not they take that
28 risk. You decided on February 9th that parents can't

1 handle this decision of Krabbe disease and robbed
2 them of the opportunity to try, and that is an
3 experience that I have lived that I would wish on no
4 one.

5 You met Lily's mom earlier. Her parents have
6 no regrets about transplant, Ezra's parents have no
7 regrets, Emmalynn, Ty, Regan, Gina, Michael, Owen,
8 Grayson, Arthur, Cloud AJ, Niko, DJ, David, Joshua,
9 Jackson, Faith, Degan, Zoey, William, Jeremy, Elmer,
10 Laura, Jervay, Jasper, Scarlett, Lexy, Ashley, Bell
11 and so many others.

12 Their parents do not regret transplant.
13 They're grateful that they had the choice, though
14 many of them had to lose a child to save one. This is
15 not an exhaustive list of names and it's certainly a
16 shorter list than it should be. My daughter's name
17 should be on that list along with hundreds of others
18 who have suffered needlessly.

19 It should have been our decision, our story
20 to write but a lack of newborn screening for Krabbe
21 disease wrote our story for us. I am grateful that
22 most of you have not lived the nightmare of child
23 loss. I urge you to listen to those who have and to
24 the scientists and clinicians who have spent decades
25 working on Krabbe.

26 For the sake of the Krabbe disease community
27 and for all the rare diseases that will follow in
28 hoping to see their condition added to the RUSP, I

1 ask that you genuinely consider what I have said
2 today. But mostly I hope that you will humbly be
3 willing to admit that perhaps you were wrong. Because
4 lives are literally depending on it. Thank you.

5 DR. CALONGE: Thanks, Lesa.

6 *Annie Kennedy*

7 DR. CALONGE: Next I have Annie Kennedy?

8 MS. KENNEDY: Good afternoon. I'm Annie
9 Kennedy the Chief of Policy Advocacy and Patient
10 Engagement for the EveryLife Foundation for Rare
11 Diseases. And offering some comments to complement
12 those of Kim Stephens who presented a few minutes ago
13 and many of my colleagues here today.

14 For over the past two plus decades, I have
15 had the privilege of growing to understand what a
16 unique and extraordinary our newborn screening system
17 is. Comprised of a diverse array of experts, each
18 with a unique role to play, the spirit of
19 collaboration among our partners is unmatched.

20 Outside of the setting of these Advisory
21 Committee proceedings, our patient advocacy groups
22 serve as central components of our newborn screening
23 ecosystem. In fact, patients and patient advocacy
24 groups are perhaps even the central components of our
25 newborn screening ecosystem.

26 Few, if any nominations have come before this
27 Committee without the leadership of a patient

1 advocacy group. Organizations whose missions often
2 were initially centered around providing support to
3 patient communities and seeking new therapies and
4 development, yet realize that early identification
5 was critical to this role.

6 As you know, developing the evidence requires
7 decades, dedicated staff, millions of dollars, and
8 most importantly it requires that advocates and
9 clinical and scientific leaders learn the newborn
10 screening system. In order to learn the system we
11 have benefited from the generous mentorship of
12 newborn screening experts.

13 Oftentimes these mentors have been members or
14 former members of this Committee and always our
15 mentors have been passionate leaders who've taken the
16 time to help us develop plans for our individual
17 conditions and the community at large.

18 For decades our communities have collaborated
19 with our federal agency partners, state labs,
20 government leaders, industry and provider groups.
21 Through and from these collaborations, our patient
22 advocacy organizations and the clinical and
23 scientific experts with whom we work have developed
24 assays and validated screening measures, designed and
25 conducted pilot programs, established ICD codes,
26 published and disseminated care standards, published
27 fact sheets, supported registries, epidemiology
28 studies and longitudinal data repositories, conducted

1 patient preference studies, established follow up
2 programs and outreach systems, established national
3 clinical care networks, collected health economic
4 data, and so much more.

5 We have not only worked to move individual
6 nominations forward and shared learnings and mentored
7 others, but we've also formed coalitions to ensure
8 that our federal and state agencies, your federal and
9 state agencies have the authorities and the funding
10 resources required to conduct this lifesaving work.

11 We work together in disease agnostic
12 coalitions to share resources and mentor one another,
13 just as you and the former Advisory Committee have
14 shared resources with and mentored us. So as the
15 Committee considers future enhancements to our
16 processes, we continue to wonder why isn't our
17 extraordinary ecosystem, this vibrant newborn
18 screening ecosystem that exists outside of this room,
19 fully represented when we walk into it? While we have
20 highlighted many opportunities to more fully reflect
21 our ecosystem and our partnerships within this
22 Committee previously, today I'm just going to
23 underscore one. Why, after we collaborate together
24 for decades do we prevent those who could provide
25 critical expertise to eliminate any uncertainty prior
26 to a vote from being made available to the Committee?

27 So we again ask that the Committee formally
28 include an expert member of the nominated conditions

1 community in every discussion of an evidence review
2 to be able to address questions that when they arise
3 throughout the discussion that proceeds a vote of a
4 nominated condition. We thank you for your continuous
5 assessment of these processes and the many ways that
6 you contribute to our newborn screening ecosystem
7 inside and outside of your Committee roles.

8 *Mike Gelb*

9 DR. CALONGE: Thank you. Next I have Michael
10 Gelb.

11 DR. GELB: Hello. My name's Mike Gelb,
12 professor of Chemistry at University of Washington
13 and my lab, I guess I'm the troublemaker. I develop
14 newborn screening assays for example MPS I, MPS II
15 and Pompe that are now on the RUSP, so I guess I
16 think I know what I'm doing with the newborn
17 screening assays.

18 I want to announce that I am leading in a
19 request to the Committee aimed at reevaluation of the
20 N of 1 rule. The requirement to find at least one
21 confirmed newborn with a disease in a perspective
22 pilot study and for the patient to go on to receive
23 treatment.

24 In a written report to the Committee along
25 with greater than 100 signatures of supporters will
26 follow in a few weeks. So in 2016, the Committee
27 transcript which led to the N of 1 rule provided two

1 reasons. A single policy applied to all conditions so
2 as to not appear arbitrary and to establish the
3 newborn found with the disease went on to receive
4 treatment.

5 So let me provide the following proof that a
6 study with deidentified dried blood spots can
7 identify newborns certain to have the disease. So in
8 our study of 30 thousand deidentified dried blood
9 spots, we tested for the bile acid disorder CTX and
10 the lysosomal storages use NLD.

11 In both cases we had proof of no false
12 positives and the best possible evidence of no false
13 negatives. Let me say that again. We had proof for no
14 false positives and the best possible evidence of no
15 false negatives. We identified one newborn certain to
16 have CTX and one for MLD.

17 Yes, we want to find newborns with a disease
18 rather than a biomarker anomaly but in these studies
19 the biomarker is proof of the enzyme deficiency and
20 the genotype is well known from case reports to be
21 severe disease causing.

22 In my interactions with at least 50 metabolic
23 disease physicians we all agree that the presence of
24 the disease in these cases are certain. You don't
25 need N of 1 to establish that early treatment is
26 needed. This Committee proved that. Illinois and
27 Missouri are live for MPS II newborn screening. The
28 patients identified and receiving treatment are too

1 young for experts to conclude that early treatment is
2 important. MPS II was added to the RUSP based only on
3 evidence from sibling studies. Not from perspective
4 pilot studies. You made that very clear.

5 What about proof that N of 1 is needed to
6 show that treatment will be provided. In the case of
7 CTX, experts agree that treatments should start as
8 early in life as possible. The treatment is FDA
9 approved diet, supplementation with bile acids and
10 the standard of care is to initiate treatment if the
11 biomarker is elevated and the genotype clearly
12 supports CTX.

13 Not a single CTX expert will have a problem
14 initiating treatment with a clear biomarker and
15 genotype signature of the disease. For MPS VII,
16 another study we did a hundred thousand deidentified
17 pilot, found zero false positives. Let me say it
18 again. Zero false positives. It's published. One
19 positive patient turned up, the one we found in
20 Seattle Children's Hospital with the same genotype
21 and birthday, who was then diagnosed with MPS VII,
22 put on ERT treatment and surely we don't need an
23 additional N of 1 for this disease. Since 2016, the N
24 of 1 ruling early checked in North Carolina and
25 screened plus in New York, which I applaud. Carry out
26 pilot studies with current consent. After several
27 years enrollment, it's something like 20,000 and it
28 will take many years, maybe a decade to find N of 1

1 for some of these diseases.

2 These are the only perspective pilot studies
3 in the United States and they show that the N of 1 is
4 virtually impossible to achieve in our current
5 system. Everyone knows about the GAMT story. It is
6 ironic that N of 1 was satisfied with Utah and New
7 York going live with current led legislation, exactly
8 what this Committee is trying to avoid in the spirit
9 of uniform newborns across the United States.

10 A better policy is to consider each nominated
11 condition based on available evidence and to invoke N
12 of 1 only when essential. I'm not saying you don't
13 need it but sometimes you don't. I'm certainly not
14 suggesting that we avoid the Wilson and Younger
15 criteria or that we ask states to add new conditions
16 without additional resources. Nobody is asking that.
17 That would be crazy. Along with over a hundred of my
18 colleagues I urge you to consider a better way for
19 the future of nominated conditions by allowing the
20 flexibility to relax the N of 1 rule by letting the
21 Advisory Committee and HRSA carry out an initial
22 review of a nominated conditions for those that have
23 sufficient evidence to move to full evidence review.
24 Really, what is the purpose served to find one more
25 patient with CTX or MPS VII? Thanks for this
26 important work that you do and for listening.

27 DR. CALONGE: Thanks, Mike. And now I have
28 Susan Tanksley.

1 DR. TANKSLEY: Good afternoon and thank you
2 for allowing me to deliver public comments today.

3 My name is Susan Tanksley. I'm the
4 organizational representative for the Association of
5 Public Health Laboratories.

6 My comments today pertain to counting
7 conditions or more specifically the lack of
8 uniformity in how state newborn screening programs
9 count conditions. By now, everyone here has likely
10 seen or read the article from *Investigate TV*, "Death
11 by Zip Code" that addressed this topic.

12 Since that piece came out there's been at
13 least one additional follow up article and some of my
14 newborn screening colleagues have been contacted to
15 provide background information or interviews for
16 additional articles that will address what reporters
17 are calling a great health divide.

18 This topic is of great interest to me, not
19 only as the Deputy Director of a large public health
20 laboratory but also as a chairperson for APHL's
21 Newborn Screening Condition Counting Task Force. We
22 are a group of 17 members representing newborn
23 screening laboratories and follow up programs,
24 clinicians, parents and international partners who
25 have been working over the past two years on a
26 framework, a set of guiding principles or rules to
27 achieve uniformity in how states count the conditions
28 on their newborn screening panels.

1 We are also proposing a few next steps that
2 we feel should be recommended by the ACHDNC prior to
3 asking programs to adopt this new framework. Before I
4 dive into these, let's first establish why it's
5 important for states to have a standardized way to
6 count conditions that they're screening for and
7 counting seems very simple but it's made incredibly
8 complex because of the nuance involved in how you
9 define screening.

10 For our purposes in the taskforce, we
11 determine that a screening program is truly screening
12 for a condition if the following criteria are met:
13 the program intends to find all cases of a particular
14 condition and the program would investigate any false
15 negative or late diagnosis of that condition to
16 determine if a change to their algorithm cut off from
17 methodology could have detected the case.

18 Given these criteria, we believe it is
19 misleading to count secondary conditions on a state's
20 newborn screening panel, since true identification of
21 those conditions requires a medical workup and
22 differential diagnosis and therefore a program can't
23 be said to be screening for them but these are
24 difficult concepts to translate, especially to a
25 parent or a family who just wants to see their
26 child's newborn screening condition on a state panel.

27 From their viewpoint, the distinction between
28 primary and secondary conditions seems arbitrary when

1 you look at a newborn screening panel and the
2 implication that every disorder on that list would be
3 screened for. Moreover, the belief that more is
4 better is hard to change when it applies to so many
5 situations and themes in our world. And did many
6 states include secondary conditions on their state
7 panel in response to their states desire to appear on
8 par with their better than, their neighboring states.
9 I'm aware of several other states whose programs have
10 been asked how many conditions they screen for as
11 many as State X. this makes true comparisons in areas
12 for needed improvement within a program difficult to
13 identify because it obscures real differences between
14 programs.

15 The number of conditions from one state's panel
16 may even differ depending on the source. For example,
17 NewSTEPS reflects that my newborn screening program, the
18 Texas program screens for 33 conditions which represents
19 the core conditions, while at HRSA in our own newborn
20 screening program website reflect that we screen for 57
21 conditions. Which is core and secondary.

22 For another state, NewsSTEPS reflects 34
23 disorders showing their core while HRSA reflects 57
24 conditions and the state's newborn screening website
25 reflects 56 conditions. So three different counts, all
26 from one state, as illustrated above, the secondary list
27 adds to confusion by state programs, providers and the
28 public as to whether these disorders are truly being

1 screened for versus possibly being detected for a
2 screening through a core disorder. It also largely
3 drives the confusion as to condition counts and is
4 defined by the criteria already stated, we as newborn
5 screening programs cannot say that we are truly
6 screening for any of the secondary conditions.

7 Moreover the secondary list is not all
8 encompassing as there are other diseases such as
9 Zellweger's spectrum disorder and many others that
10 aren't listed on the secondary conditions but can be
11 detected through screening for a core condition. And
12 thus, it does not provide accurate nor updated
13 information.

14 For these reasons, the condition counting
15 taskforce recommends that the ACHDNC remove the
16 secondary conditions from the Recommended Uniform
17 Screening Panel as an initial next step. Messaging
18 around changing this practice of having a secondary
19 list should indicate that other diseases may still be
20 detected through the practice of screening through
21 the core RUSP and these possibilities should be
22 communicated to providers as part of a differential
23 diagnosis, such as the ACT sheets.

24 Our taskforce also recommends that ACHDNC
25 updates certain core condition names and groupings
26 based on current knowledge of these conditions. In
27 terms of nomenclature and how the conditions are
28 specified or defined on the core panel. For example,

1 phenylketonuria on the RUSP should be changed to
2 phenylalanine hydroxylase deficiency. There needs to
3 be specification for what the targets are such as
4 congenital adrenal hyperplasia and its classical CAH
5 that newborn screening programs should target. And
6 consider lumping some conditions together, such as
7 hemoglobinopathies caused by different betaglobin
8 variants.

9 There's new knowledge of some of the diseases
10 and nomenclature has evolved to reflect this, keeping
11 the core RUSP list updated to reflect current
12 terminology aids in provider, patient and public
13 understanding. Only in this way can states truly be
14 compared.

15 For example, thanks to this Committee, the
16 addition of SMA screening with a very specific
17 target, absence of Exon 7 has aided a clear
18 communication and assay development and can serve as
19 a model for this work. We feel it is important for
20 the ACHDNC to make clear recommendations regarding
21 these changes prior to presenting our framework for
22 standardization for condition counting, as this will
23 facilitate states' ability to adopt the framework.

24 In APHL's 2022 survey of state newborn
25 screening programs, a significant number of states
26 indicated that changing the number and the list of
27 conditions on newborn screening panel would be much
28 more likely if the changes weren't keeping with the

1 national recommendation from this Committee.

2 Thank you for your attention and your action
3 on this important matter.

4 DR. CALONGE: Thanks, Susan. I want to also
5 thank everyone who came before the Committee today to
6 make public comments. The Federal Advisory Committee
7 Act, or FACA was a recognition by the Federal
8 Government that decisions that impacted the public
9 needed to have public, the availability of public
10 comments, public input and engagement of the public
11 in order for those decisions to best exemplify what
12 the public felt was important and needed to come to
13 the table with.

14 And so your participation in this process is
15 key to the Committee doing continual improvement, to
16 having the impact that the Committee wishes to have
17 and to continue to move forward in this specific area
18 here of newborn screening.

19 All the comments that we've had today will
20 hopefully inform the Committee as we start to look
21 especially at Committee processes and trying to
22 improve those moving forward in time. So I really
23 appreciate it especially recognizing how presenting
24 in front of other people in public speaking is not
25 something that everyone enjoys doing or wants to do.
26 I thought everyone was so eloquent in their
27 presentations and so passionate and so moving. So I
28 appreciate that.

1 **Acknowledgments and Awards**

2 I want to move on and finish the day though
3 by doing some recognitions to Committee members who
4 are departing after this meeting. Both Dr. Brothers
5 and Dr. DeLuca joined the Committee in March of 2019
6 which means that they're both completing four years
7 of work on the Committee. Dr. Brothers during his
8 time served as a Chair to Follow-Up and Treatment
9 workgroup. He served as a member of the Nomination
10 and Prioritization workgroup, taking on reviews of
11 condition nomination packages. Kyle, I have to thank
12 you for your service, your immeasurable
13 contributions. I feel a sense of loss in thinking
14 about you not sitting on the Committee and providing
15 your insights. I've only shared the chairs with you
16 for a very short period of time but you've meant a
17 lot to me in terms of thinking about the work of the
18 Committee, how we need to think about ethics and
19 responsibility to the public and I thank you for
20 time. Thanks, Kyle.

21 Jane? And we have something for you. I have
22 something for you.

23 [Applause]

24 DR. BROTHERS: It feels weird to be departing
25 the Committee right now. I feel that we're at a
26 pivotal time. We have a lot of questions before us
27 that are, you know, fundamental to the way the

1 Committee works. The conditions that are being
2 considered are ever rarer, creating difficult
3 questions we've been discussing today, we've been
4 hearing about today. What's the evidence of benefit,
5 of incremental benefit from early screening, etc.,
6 all sorts of these critical issues so I do hate to be
7 leaving as we're having those active conversations.
8 Maybe if I left a year from now we'd still be at a
9 pivotal point. But I do, I just want to thank
10 everyone for including me in the community that I
11 have sort of not--didn't live my life in as some of
12 you have but it's really been wonderful getting to
13 know the advocates and parents, the members of
14 Committee and you, Ned. So I thank you so much for
15 having me as a part of the group. It's really been
16 wonderful. Thank you.

17 DR. CALONGE: Dr. DeLuca, throughout her
18 term has served as the chair of the Education and
19 Training workgroup. Dr. DeLuca also served as a
20 Committee liaison to the Evidence Review Group and
21 has been a key contributor to this element of the
22 Committee's work over the past four years. Jane, I
23 have to thank you again for your commitment, the
24 ongoing, great quality of work that you've provided,
25 Committee discussions and support for Committee
26 decisions going forward. The issue about training and
27 education as key to the success to the Committee and
28 I think that your leadership has really helped HRSA,

1 helped the Committee and helped all 50 State programs
2 to think about how to best incorporate training and
3 education to assure the success of the program moving
4 forward.

5 So I wonder if you would be willing to come
6 up and take a nice little piece of class from—

7 [Applause]

8 DR. DELUCA: Thank you, Ned and hi everybody.
9 The appointment to the Advisory Committee was very
10 important for me personally. I've worked in newborn
11 screening for many, many years in the front line, in
12 terms of taking care of patients and families that
13 have been identified through newborn screening.

14 I feel like I'm a COVID baby because a good
15 part of my being with the Advisory Committee was
16 through COVID and I feel like the Committee
17 persevered, you know, through that. And like Kyle,
18 also feel like there's change afoot, you know, in
19 terms of what the Committee is doing and what's going
20 to be happening in the next few years which I feel is
21 really, really important. I want to thank the
22 families and my fellow Committee members and just
23 thank you very much.

24 [Applause]

25 DR. CALONGE: Thanks, Jane. And finally we
26 have someone leaving us who is not termed out and so
27 I have to acknowledge Kellie Kelm who is our
28 representative from the FDA and a very valued

1 colleague.

2 Dr. Kelm joined the Advisory Committee in
3 February of 2009, officially the member with the
4 longest tenure on our Committee. She served as a
5 chair for the laboratory standards and procedures
6 workgroup for many years. She has provided years of
7 support to this Committee and helpful information
8 about the FDA's work on newborn screening. She has
9 recently taken on a new role as the Deputy Officer
10 Director for the Office of Gastro Renal OBGYN General
11 Hospital and Urology Devices so she'll rotate off.

12 And I know that I am speaking for both
13 people currently on the Committee and people who were
14 on the Committee in the past in thanking you for
15 providing service and more than ten years. When we
16 don't exactly know you're going to rotate off, it
17 takes us a while to get your recognition ready and
18 delivered but it is coming and I'm wondering if you
19 could come up and make a couple of comments.

20 DR. KELM: Thank you. You know it's hard to
21 believe. It started I had a nine-month-old and now
22 he's finishing his freshman year of high school so,
23 it's been quite a journey. And Ned, I want to thank
24 you. I'm obviously from the FDA side and not a
25 newborn screening side but everyone has been so
26 welcoming and it's just been just a wonderful
27 learning experience and great interacting with so
28 many of you over the years and getting a lot of help

1 since this is not, I just wanted to be a part of it.

2 And Susan and I wound up with timeliness and
3 lots of other challenges, a lot more things going on
4 than I think we expected and I hope that it's just
5 been wonderful to just be a small part of it. And as
6 Kyle said, it's going to be challenging, and it has
7 been the last 15 years and it's going to be exciting
8 to see where it goes so, anyway. Thank you very much.

9 [Applause]

10 DR. CALONGE: That brings us to the end of day
11 one and we will begin promptly at 9:30 a.m. tomorrow.
12 I wish you all a good evening and we'll adjourn the
13 meeting for today. Thank you.

14
15 (Whereupon at 4:25 p.m., the meeting
16 adjourned, to reconvene on Friday May 5, 2023.)