

1 Health Resources and Services Administration

2

3

4

5

6

7

8 Advisory Committee on Heritable Disorders

9 in Newborns and Children

10

11

12

13

14

15 Meeting

16 10:00 a.m. to 3:15 p.m.

17 Thursday, August 1, 2019

18

19

20

21

22 Reported by: Gary Euell



**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

3

1 **Jeffrey P. Brosco, M.D., Ph.D.**

2 Professor of Clinical Pediatrics

3 University of Miami School of Medicine

4 Department of Pediatrics

5 Deputy Secretary, Children's Medical Services

6 Florida State Department of Health

7

8 **Kyle Brothers, M.D., Ph.D.**

9 Endowed Chair of Pediatric Clinical and

10 Translational Research

11 Associate Professor of Pediatrics

12 University of Louisville School of Medicine

13

14 **Jane M. DeLuca, Ph.D., R.N.**

15 Associate Professor

16 Clemson University School of Nursing

17

18 **Annamarie Saarinen**

19 Co-founder, CEO

20 Newborn Foundation

21

22 **Scott M. Shone, Ph.D., HCLD(ABB)**

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

4

---

1 Senior Research Public Health Analyst  
2 Center for Newborn Screening, Ethics, and  
3 Disability Studies  
4 RTI International  
5  
6 **Beth Tarini, M.D., M.S., FAAP**  
7 Associate Director, Center for Translational  
8 Science  
9 Children's National Health System  
10  
11 EX-OFFICIO MEMBERS  
12 **Centers for Disease Control & Prevention**  
13 **Carla Cuthbert, Ph.D.**  
14 Chief, Newborn Screening and Molecular  
15 Biology Branch  
16 Division of Laboratory Sciences  
17 National Center for Environmental Health  
18  
19 **Food and Drug Administration**  
20 **Kellie B. Kelm, Ph.D.**  
21 Deputy Director  
22 Division of Chemistry and Toxicology Devices

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

5

1 Office of In Vitro Diagnostics and Radiological  
2 Health

3

4 **Health Resources & Services Administration**

5 **Michael Warren, M.D., M.P.H., FAAP**

6 Associate Administrator,

7 Maternal and Child Health Bureau

8

9 **National Institutes of Health**

10 **Melissa Parisi, M.D., Ph.D.**

11 Chief

12 Intellectual and Developmental Disabilities Branch

13 Eunice Kennedy Shriver National Institute

14 of Child Health and Human Development

15

16 DESIGNATED FEDERAL OFFICIAL

17 **Catharine Riley, Ph.D., M.P.H.**

18 Health Resources and Services Administration

19 Genetic Services Branch

20 Maternal and Child Health Bureau

21

22 ORGANIZATIONAL REPRESENTATIVES

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

6

1 **American Academy of Family Physicians**

2 Robert Ostrander, M.D.

3 Valley View Family Practice

4

5 **American Academy of Pediatrics**

6 Debra Freedenberg, M.D., Ph.D.

7 Medical Director, Newborn Screening and

8 Genetics

9 Community Health Improvement

10 Texas Department of State Health Services

11

12 **American College of Medical Genetics**

13 Michael S. Watson, Ph.D., FACMG

14 Executive Director

15

16 **Association of Maternal & Child Health Programs**

17 Jed L. Miller, M.D., M.P.H.

18 Director, Office for Genetics and People with

19 Special Health Care Needs

20 Maryland Department of Health

21 Prevention & Health Promotion Administration

22

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

7

1 **Association of Public Health Laboratories**

2 Susan M. Tanksley, Ph.D.

3 Manager, Laboratory Operations Unit Texas

4 Department of State Health Services

5

6 **Child Neurology Society**

7 Jennifer M. Kwon, M.D., Ph.D., FAAN

8 Director, Pediatric Neuromuscular Program

9 American Family Children's Hospital

10 Professor of Child Neurology, University of

11 Wisconsin School of Medicine & Public Health

12

13 **Department of Defense**

14 Theresa Hart

15 Senior Nurse Consultant, Defense Health Agency

16

17 **Genetic Alliance**

18 Natasha F. Bonhomme

19 Vice President of Strategic Development

20

21 **March of Dimes**

22 Siobhan Dolan, M.D., M.P.H.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

8

---

1 Professor and Vice Chair for Research Department  
2 of Obstetrics & Gynecology and Women's Health  
3 Albert Einstein College of Medicine and Montefiore  
4 Medical Center

5

6 **National Society of Genetic Counselors**

7 Amy Gaviglio

8 Genetic Counselor/Follow-Up Coordinator

9 Minnesota Department of Health

10

11 **Society for Inherited Metabolic Disorders**

12 Georgianne Arnold, M.D.

13 Clinical Research Director

14 Division of Medical Genetics

15 UPMC Children's Hospital of Pittsburgh

16

17 PRESENTERS

18 **Scott Grosse, Ph.D.**

19 Economist

20 Centers for Disease Control & Prevention

21

22 **Alex R. Kemper, M.D., M.P.H, M.S.**

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

9

- 
- 1 Lead, Evidence-Based Reviews  
2 Division Chief, Primary Care Pediatrics  
3 Nationwide Children's Hospital  
4 Professor of Pediatrics, The Ohio State University  
5 College of Medicine  
6  
7 **Jelili Ojodu, M.P.H.**  
8 Director  
9 Newborn Screening and Genetics  
10 Association of Public Health Laboratories  
11  
12 **Anne R. Pariser, M.D.**  
13 Director, Office of Rare Diseases Research  
14 National Center for Advancing Translational  
15 Sciences, National Institutes of Health  
16  
17 **Lisa A. Prosser, Ph.D., M.S.**  
18 Professor  
19 University of Michigan  
20  
21 **Ashleigh Ragsdale, M.P.H.**  
22 Newborn Screening Epidemiologist

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

10

---

1 Office of Newborn Screening

2 Washington State Department of Health

3

4

5

6

7

8

9

10

11

12

13

14

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

11

---

	C O N T E N T S	
		PAGE
1		
2		
3	Welcome, Roll Call, Opening Remarks	
4	and April 2019 Minutes	11
5		
6	Improving Detection of Newborns at Risk	
7	For Homocystinuria and Congenital Adrenal	
8	Hyperplasia	32
9		
10	Public Comment - Condition Nomination and	
11	Evidence Review Process	71
12		
13	LUNCH	
14		
15	Afternoon Roll Call	90
16		
17	RUSP Condition Nomination and Evidence Review	
18	Process	93
19		
20	Adjourn	234
21		
22		

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

12

1 P R O C E E D I N G S

2 DR. CYNTHIA POWELL: If everybody could  
3 take their seats, please. Welcome everyone to the  
4 third meeting of the Advisory Committee on  
5 Heritable Disorders in Newborns and Children for  
6 2019. I'm Cynthia Powell. I'm the new Chair of  
7 the Committee, and it's my honor to take over as  
8 Chair. We will begin this meeting by the taking  
9 roll call of attendance, first with Committee  
10 members. Mei Baker.

11 DR. MEI BAKER: Here.

12 DR. CYNTHIA POWELL: Susan Berry.

13 DR. SUSAN BERRY: Here.

14 DR. CYNTHIA POWELL: Jeff Brosco is  
15 unavailable. Kyle Brothers.

16 DR. KYLE BROTHERS: Here.

17 DR. CYNTHIA POWELL: Jane DeLuca.

18 DR. JANE DELUCA: Here.

19 DR. CYNTHIA POWELL: Carla Cuthbert.

20 DR. CARLA CUTHBERT: Here.

21 DR. CYNTHIA POWELL: Kellie Kelm.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

13

- 
- 1 DR. KELLIE KELM: Here.
- 2 DR. CYNTHIA POWELL: Michael Warren.
- 3 DR. MICHAEL WARREN: Here.
- 4 DR. CYNTHIA POWELL: I'm here. Melissa
- 5 Parissi. Annamarie Saarinen.
- 6 MS. ANNAMARIE SAARINEN: Here.
- 7 DR. CYNTHIA POWELL: Scott Shone.
- 8 DR. SCOTT SHONE: Here.
- 9 DR. CYNTHIA POWELL: Beth Tarini.
- 10 DR. BETH TARINI: Here.
- 11 DR. CYNTHIA POWELL: And Catharine Riley.
- 12 DR. CATHARINE RILEY: Here.
- 13 DR. CYNTHIA POWELL: And now we'll have
- 14 the organizational representatives from the
- 15 American Academy of Family Physicians, Robert
- 16 Ostrander.
- 17 DR. ROBERT OSTRANDER: Here.
- 18 DR. CYNTHIA POWELL: American Academy of
- 19 Pediatrics, Debra Freedenberg.
- 20 DR. DEBRA FREEDENBERG: Here.
- 21 DR. CYNTHIA POWELL: American College of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

14

1 Medical Genetics, Michael Watson.

2 DR. MICHAEL WATSON: Here.

3 DR. CYNTHIA POWELL: American College of

4 Obstetricians and Gynecologists, Steven Ralston.

5 Association of Maternal and Child Health Programs,

6 Jed Miller.

7 DR. JED MILLER: Here.

8 DR. CYNTHIA POWELL: Association of

9 Public Health Laboratories, Susan Tanksley.

10 MS. SUSAN TANKSKLEY: Here.

11 DR. CYNTHIA POWELL: Association of State

12 and Territorial Health Officials, Chris Kus.

13 Association of Women's Health, Obstetric, and

14 Neonatal Nurses, Jacqueline Rychnovsky. She may

15 not be able to attend. I don't know if you're on.

16 Child Neurology Society, Jennifer Kwon.

17 DR. JENNIFER KWON: Here.

18 DR. CYNTHIA POWELL: Department of

19 Defense, Theresa Hart, who is an alternate for

20 Jacob Hogue. Genetic Alliance, Natasha Bonhomme.

21 MS. NATASHA BONHOMME: Here.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

15

1 DR. CYNTHIA POWELL: March of Dimes,  
2 Siobhan Dolan.

3 DR. SIOBHAN DOLAN: Here.

4 DR. CYNTHIA POWELL: National Society of  
5 Genetic Counselors, Amy Gaviglio.

6 MS. AMY GAVIGLIO: Here.

7 DR. CYNTHIA POWELL: Society of Inherited  
8 Metabolic Disorders, Georgianne Arnold.

9 DR. GEORGIANNE ARNOLD: Here.

10 DR. CYNTHIA POWELL: Okay. Next,  
11 everyone on the Committee has received copies of  
12 the April minutes. Those changes have been  
13 incorporated. There was one change that came in  
14 after the final draft was sent around, and that  
15 has been incorporated -- will be incorporated into  
16 the minutes, but that's not on the final draft.  
17 That was on page 14. And are there any further  
18 additions or corrections to those minutes? Okay.  
19 If not, could I have a motion to approve the  
20 minutes?

21 DR. SUSAN BERRY: So moved. This is Sue

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

16

1 Berry.

2 DR. CYNTHIA POWELL: A second?

3 DR. BETH TARINI: Beth Tarini, second.

4 DR. CYNTHIA POWELL: And then, we'll go

5 through a vote to approve the minutes. Mei Baker.

6 DR. MEI BAKER: Approve.

7 DR. CYNTHIA POWELL: Susan Berry.

8 DR. SUSAN BERRY: Approve.

9 DR. CYNTHIA POWELL: Jeff Brosco is  
10 absent. Kyle Brothers.

11 DR. KYLE BROTHERS: Approve.

12 DR. CYNTHIA POWELL: Jane DeLuca.

13 DR. JANE DELUCA: Approved.

14 DR. CYNTHIA POWELL: Carla Cuthbert.

15 DR. CARLA CUTHBERT: Approved.

16 DR. CYNTHIA POWELL: Kellie Kelm.

17 DR. KELLIE KELM: Approve.

18 DR. CYNTHIA POWELL: Kamila Mistry is not  
19 able to attend, and Melissa is not here. I move  
20 our vote to approve. Annemarie Saarinen.

21 MS. ANNAMARIE SAARINEN: Approve.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

17

1 DR. CYNTHIA POWELL: Scott Shone.  
2 DR. SCOTT SHONE: Approve.  
3 DR. CYNTHIA POWELL: Beth Tarini.  
4 DR. BETH TARINI: Approve.  
5 DR. CYNTHIA POWELL: And Michael Warren.  
6 DR. MICHAEL WARREN: Approve.  
7 DR. CYNTHIA POWELL: Okay. I'm pleased  
8 to announce that we have five new organizational  
9 representatives who are joining us today. We've  
10 added two new organizations to the Committee's  
11 group of organizations that provide expertise to  
12 the Committee; the Association of Women's Health,  
13 Obstetric, and Neonatal Nurses, and the Child  
14 Neurology Society. Before I introduce the new  
15 organizations, I want to thank all of the  
16 organizations that submitted applications. We  
17 received a number of really excellent  
18 applications. Thank you for your interest in the  
19 work of the Committee, and I hope that you'll  
20 maintain that interest and continue to be involved  
21 in the working groups that we have.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

18

1           So, first of all, I'd like to introduce  
2 Dr. Jacqueline Rychnovsky, who is the  
3 representative from the Association of Women's  
4 Health, Obstetric, and Neonatal Nurses. She is  
5 not able to join us today, but the organization  
6 has been working to promote the health of women  
7 and newborns and strengthen the nursing profession  
8 through the delivery of advocacy, research,  
9 education, and other professional and clinical  
10 resources to nurses and other health care  
11 professionals. Dr. Rychnovsky is the Vice  
12 President for Research, Policy, and Strategic  
13 Initiatives at the Association of Women's Health  
14 for OB and Neonatal Nurses. She joined AWHONN in  
15 2016 and is responsible for managing Research  
16 Programs, Policy, and Strategic Initiatives for  
17 the association. She is a clinician, researcher,  
18 and policy advocate with over 38 years of military  
19 and civilian nursing experience in caring for  
20 women and children. During her military nursing  
21 career, she focused on issues surrounding active-

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

19

1 duty military mothers and was the Navy  
2 representative on the Tri-service Nursing Research  
3 Program, Women's Health Research Interest Group.  
4 She is a board-certified pediatric nurse  
5 practitioner and fellow in the American  
6 Association of Nurse Practitioners, and we welcome  
7 her as the representative and welcome the AWHONN  
8 association as a new organizational  
9 representative.

10           Next, the Child Neurology Society is the  
11 leading professional organization for pediatric  
12 neurologists in the United States, Canada, and  
13 worldwide devoted to fostering the discipline of  
14 child neurology and promoting the optimal care and  
15 welfare of children with neurological and  
16 neurodevelopmental disorders. With us today  
17 representing the CNS, Child Neurology Society, we  
18 have Dr. Jennifer Kwon.

19           Dr. Kwon is a Professor of Neurology at  
20 the -- at the University of Wisconsin, School of  
21 Medicine and Public Health. She is the Director

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

20

---

1 of the Pediatric Neuromuscular Program at the  
2 American Family Children's Hospital at the  
3 University of Wisconsin Medical Center. Dr. Kwon  
4 has been serving as a member of the Evidence  
5 Review Group and has worked on several evidence  
6 reviews for the Advisory Committee on Heritable  
7 Disorders in Newborns and Children. She focuses  
8 much of her work on improving outcomes for  
9 patients diagnosed with rare neurologic diseases  
10 by newborn screening. Welcome, Dr. Kwon, and  
11 thank you for your representation.

12           Three of the current organizations that  
13 provide expertise to the Committee have identified  
14 a new organizational representative. First, on  
15 behalf of the Committee, I would like to thank the  
16 organization representatives rolling off; Dr.  
17 Britton Rink from the American College of  
18 Obstetricians and Gynecologists, Dr. Shawn  
19 McCandless from the Society of Inherited Metabolic  
20 Disorders, and Dr. Adam Kanis from the Department  
21 of Defense. I'd like to thank them all for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

21

1 contributing their time and expertise on a variety  
2 of topics. We greatly appreciate your  
3 contributions to our discussions.

4           Taking their places, I would like to  
5 introduce Dr. Steven J. Ralston, the new  
6 representative for the American College of  
7 Obstetricians & Gynecologists. Dr. Ralston is the  
8 Chair of OB/GYN at Pennsylvania Hospital in  
9 Philadelphia, with an academic appointment at the  
10 Perelman School of Medicine at the University of  
11 Pennsylvania. He's Professor of Clinical OB/GYN  
12 and Vice Chair for Education and Obstetrics at  
13 Penn Medicine. He has practiced as a maternal  
14 fetal medicine specialist for over 20 years. He  
15 currently serves as Vice Chair of the American  
16 College of Obstetricians & Gynecologists Committee  
17 on Genetics. He has served on the ACOG Committee  
18 on Ethics for five years, including three as  
19 Chair, liaison to the American Academy of  
20 Pediatrics Committee on Bioethics, and to the  
21 American Society of Reproductive Medicine Ethics

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

22

---

1 Committee. He has a BS in molecular biophysics  
2 biochemistry from Yale University and MPH with a  
3 focus on health law, bioethics and human rights  
4 from Boston University, and an MD from Columbia  
5 University, College of Physicians and Surgeons.

6           Next, we have Dr. Georgianne Arnold, the  
7 new representative for the Society for Inherited  
8 Metabolic Disorders. Dr. Arnold is a Professor of  
9 Pediatrics and the Clinical Research Director of  
10 the Division of Medical Genetics at Children's  
11 Hospital of Pittsburgh. She holds active  
12 memberships and positions with a number of  
13 professional and scientific societies and  
14 currently serves as President of the Society for  
15 Inherited Metabolic Disorders. She has over 27  
16 years of teaching, clinical, and mentoring  
17 experience. She has been recognized for a number  
18 of awards and honors including the Ruth Lawrence  
19 Faculty Service Award and the Emmanuel Shapira  
20 Award. Dr. Arnold has been recognized in a number  
21 of publications including Who's Who in the World,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

23

1 Who's Who in America, Who's Who of American Women,  
2 Best Doctors in America, and America's Top  
3 Doctors. She obtained her doctorate in medicine  
4 from the State University of New York and  
5 completed a fellowship in genetics and metabolism  
6 at the University of Colorado Medical Center.  
7 Welcome to Dr. Arnold and thank you for  
8 representing the organization.

9           And we have Jacob Hogue, the new  
10 representative for the Department of Defense.  
11 He's not able to attend today's meeting, so we'll  
12 welcome him at the November meeting. Theresa Hart  
13 will be representing the Department of Defense for  
14 today's meeting. Lieutenant Colonel Hogue is  
15 currently the Chief of Genetics at Madigan Army  
16 Medical Center located at Joint Base Lewis McCord  
17 in Tacoma, Washington. In this role, he is  
18 responsible for the medical care of individuals of  
19 all ages with suspected or confirmed genetic  
20 conditions throughout the region. In addition to  
21 his role as a clinician and subject matter expert

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

24

1 on genetics in the military, Lieutenant Colonel  
2 Hogue currently serves as the Chair of the  
3 Regional Health Command Pacific Institutional  
4 Review Board, a member of the Madigan Ethics  
5 Board, and he is the Associate Program Director  
6 for the Pediatrics Residency at Madigan. He  
7 earned his medical degree from the F. Edward  
8 Hebert School of Medicine at the Uniformed  
9 Services University of the Health Sciences. He  
10 completed his pediatrics residency at Madigan Army  
11 Medical Center and genetics residency at the  
12 University of California, San Francisco. He is  
13 board-certified in pediatrics and medical  
14 genetics.

15           So, again, welcome to all of you, and  
16 thank you for your participation.

17           So, I wanted to provide an update on the  
18 medical foods report, which the Committee  
19 previously accepted. An informational copy with  
20 be sent to the secretary within the next few days.  
21 The Committee has been following the topic of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

25

1 medical foods for over a decade and has provided  
2 information and recommendations to the secretary  
3 in the past. It has been several years since the  
4 Committee last reported on medical foods, so the  
5 Committee opted to build on previous  
6 recommendations to the secretary and offer a  
7 review to assess the current landscape of medical  
8 foods in the United States.

9           The report summarizes the state of the  
10 science and coverage of medical foods. In the  
11 report, the Committee affirms the following  
12 principle. Medical foods, as defined by the FDA,  
13 should be covered as required medical benefits for  
14 persons of all ages who are diagnosed with an in-  
15 born error of metabolism, whether specified on the  
16 RUSP or identified in clinical practice when the  
17 medical food requires authorization by a medical  
18 provider and the patient requires ongoing medical  
19 supervision and dietary intervention cannot be  
20 achieved by modification of a normal diet alone.

21           And I'd like to thank Sue Berry and the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

26

---

1 other authors of this paper and everyone in the  
2 working group that participate in this very  
3 important document. Thank you.

4 All right. Our next meeting -- future  
5 meetings -- our next meeting will be November 7th  
6 and 8th of 2019 in person and webcast, and then  
7 following that, our first meeting in 2020 will be  
8 February 13th and 14th. The meeting dates through  
9 2023 can be found on the Committee's website.

10 For today's agenda, our topics for today;  
11 first we'll discuss Improving Detection of  
12 Newborns at Risk for Homocystinuria and Congenital  
13 Adrenal Hyperplasia, followed by a discussion  
14 about the RUSP Condition Nomination and Evidence  
15 Review progress -- Process. For tomorrow, we'll  
16 have the -- a discussion by the International Rare  
17 Disease Research Consortium and further discussion  
18 on implementation of RUSP conditions report.  
19 We'll discuss linking data resources. We'll  
20 receive public comments and work group updates.

21 I wanted to take a moment and talk about

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

27

1 the work group meetings that will be later this  
2 afternoon. I have two charges for the work groups  
3 this afternoon. One has been more recently added.  
4 The work groups have completed a number of  
5 projects over the years and contributed a broad  
6 range of expertise. I'd like the work groups to  
7 do some brainstorming around current gaps, topics,  
8 or issues in the field, and discuss ideas for  
9 projects that could address these.

10           Also, in your discussions, consider  
11 whether any of these efforts are cross-cutting,  
12 perhaps spanning the expertise of more than one  
13 work group or if there are any additional areas of  
14 expertise needed to help address the gaps, topics,  
15 and projects identified.

16           I'm also interested in feedback from the  
17 work groups related to the RUSP Condition  
18 Nomination and Evidence Review Process. In  
19 particular, the components of the evidence review  
20 process the Committee discussed in April and what  
21 the Committee will discuss this afternoon.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

28

---

1           Tomorrow, each work group Chair or Co-  
2 Chair will present a summary of the afternoon  
3 discussion including ideas for topics or projects  
4 for the Committee to consider and provide feedback  
5 on the evidence review process. The Committee  
6 will have an opportunity to discuss the ideas  
7 tomorrow and then at the November meeting, we'll  
8 come back together to consider the ideas generated  
9 and identify next steps and possible new projects  
10 for the work groups.

11           Now, I'm going to turn things over to  
12 Catharine to go over the DFO slides.

13           DR. CATHARINE RILEY: Great. Thank you,  
14 Dr. Powell, and welcome to everyone that is here  
15 with us today and welcome to all those that have  
16 joined via the webcast across the different  
17 states. We welcome everyone. So, I'll start with  
18 some standard announcements.

19           This Advisory Committee's legislative  
20 authority is found in the Newborn Screening Saves  
21 Lives Reauthorization Act of 2014. This

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

29

1 legislation established the Committee and provides  
2 the duties and scope of the work for the  
3 Committee. However, all community activities are  
4 governed by the Federal Advisory Committee Act or  
5 FACA, which sets the standards for the  
6 establishment, utilization, and management of all  
7 Federal Advisory Committees. As a Committee  
8 member on a Federal Advisory Committee, you are  
9 subject to the rules and regulations for special  
10 government employees.

11 I also have standard reminders to the  
12 Committee I would like to go over with regard to  
13 ethics and conflicts of interest. I wanted to  
14 remind the Committee members that as a Committee,  
15 you are advisory to the Secretary of Health and  
16 Human Services, not Congress. For anyone  
17 associated with the Committee or due your  
18 membership on the Committee, if you receive  
19 inquiries, please let Dr. Powell or I know prior  
20 to committing to an interview.

21 I also would like to remind Committee

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

30

---

1 members that you must recuse yourself from  
2 participation in all particular matters likely to  
3 affect the financial interest of any organization  
4 with which you serve as an officer, director,  
5 trustee, or general partner, unless you are also  
6 an employee of the organization, or unless you  
7 have received a waiver from Health and Human  
8 Services authorizing you to participate.

9           When a vote is scheduled or an activity  
10 is proposed and you have a question about a  
11 potential conflict of interest, please let me know  
12 immediately.

13           So, as a Federal Advisory Committee, all  
14 Committee meetings are open to the public. If the  
15 public wish to participate in the discussion, the  
16 procedures for doing so are published in the  
17 Federal Register and announced here at the  
18 meeting. For this meeting, in the Federal  
19 Register, we noted that there would be two public  
20 comments sessions, one today and one tomorrow. We  
21 received requests for six public comments, so

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

31

---

1 we'll hear some of those today and some tomorrow.  
2 We also received one written comment, and that was  
3 provided to the Committee members before the  
4 meeting, so they all have that.

5           Any further public participation will be  
6 solely at the discretion of the Chair, Dr. Powell,  
7 or myself as the Designated Federal Official.

8           Before I move on, do I have any questions  
9 from Committee members? Okay.

10           So then, just a little bit of  
11 housekeeping. For visitors, we only access to the  
12 pavilion, which is this room, the cafeteria, which  
13 I think most of you are familiar with, and this  
14 main area on the fifth floor, and the meeting room  
15 areas. All other areas of the facility are  
16 restricted and require an escort by a HRSA staff  
17 member, and there are no exceptions for this. If  
18 you need to leave and re-enter, you will be  
19 required to go through security screening again,  
20 and you will require a HRSA escort to meet you at  
21 the security -- the main security entry point,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

32

---

1 which is in the front of the building where you  
2 came in this morning. For the lunch break, we  
3 will have a HRSA staff member there before and  
4 after the lunch break to provide an escort if you  
5 want to leave during lunch and come back.

6           So, visitors are not allowed to take any  
7 video or photography in the building, in  
8 particular near the front or the security  
9 entrances. In case of an emergency, we ask that  
10 you please exit through the front door --, so  
11 that's where you came in for the security check  
12 point -- and meet in the parking -- parking pad or  
13 parking lot across the street and to the left.  
14 The HRSA staff member escorts will have a list of  
15 everyone that is signed up for attending the  
16 meeting and will assure everyone has been  
17 accounted for. Security asks that you please not  
18 take any nonessential items with you, as this may  
19 delay exit and reentry into the building.

20           And with that, I'd like to turn it back  
21 over to Dr. Powell. Thank you.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

33

---

1                   DR. CYNTHIA POWELL: Thank you,  
2 Catharine.

3                   DR. CYNTHIA POWELL: Next, we're going to  
4 be hearing from Dr. Carla Cuthbert from the  
5 Centers for Disease Control and Prevention. She  
6 is an ex-officio member of this Committee and will  
7 be talking about Improving Detection of Newborns  
8 at Risk for Homocystinuria and Congenital Adrenal  
9 Hyperplasia.

10                  To give you a little bit of background on  
11 this, at the last Committee meeting in April, we  
12 heard from several public comments about screening  
13 methods and how to improve newborn screening for  
14 congenital adrenal hyperplasia and homocystinuria.  
15 The CDC has been working on screening  
16 methodologies for both of these conditions. I  
17 asked Dr. Cuthbert, who is Branch Chief of the  
18 Newborn Screening and Molecular Biology Branch at  
19 the CDC, to provide an overview of the activities  
20 they are working on to improve risk assessment and  
21 provide an update specifically on screening

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

34

1 methodologies for homocystinuria and congenital  
2 adrenal hyperplasia. We anticipate having more  
3 in-depth presentations about these methods at  
4 future meetings. And, thank you, Dr. Cuthbert,  
5 and go ahead.

6 DR. CARLA CUTHBERT: Thank you, Dr.  
7 Powell. It's a pleasure to be able to have an  
8 opportunity again to address my fellow Committee  
9 members and to people of the public. I'm --  
10 today, again, we're going to be speaking about  
11 some of the efforts that we have been engaged in  
12 within our branch, and I just wanted to again just  
13 repeat what -- what Cindy said. What I'm going to  
14 provide is just a very basic overview. I do have  
15 some wonderful scientists working in my branch,  
16 and it's wonderful being able to have some of my  
17 biochemists speak to the branch when the molecular  
18 biologists and listening and their eyes sort of  
19 glaze over and visa versa. But it's very, very  
20 important because there is really a bit of  
21 interconnectedness in terms of what we're actually

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

35

1 trying to do with creating methods, with improving  
2 methods so that they are relevant to state  
3 programs and so that the states can actually just  
4 be able to learn from us and be able to adopt and  
5 implement. So, this is not really going to be a  
6 comprehensive discussion about methodology, and  
7 those of you who are not biochemists would  
8 probably breathe a sigh of relief, unfortunately.  
9 So, again, it's not a detailed discussion of  
10 ongoing projects. I just want to be able to give  
11 you a big of a highlight of things that are  
12 happening and that are addressing detection for  
13 homocystinuria and CH.

14           So, I just wanted to highlight again what  
15 we do. I know that you probably heard me speak  
16 and talk about some of the things that we're  
17 doing. For some of you -- some of you may know  
18 that we got a bit of increase in funding last  
19 year. So, we've been looking at how we could  
20 really effectively use this funding to help states  
21 along. But really, the core sets of activities of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

36

1 what we do to support states really remain the  
2 same.

3           One of the big things that we do is that  
4 we're involved in method development. We create  
5 quality assurance materials, and for those of you  
6 who don't know what that is, we create blood spots  
7 that look like -- that mimic samples of affected  
8 newborns. We create those with the -- with the  
9 biomarkers in mind. One of the things that we  
10 have done is just over the last couple of decades  
11 is that we -- we chose the specific key markers.  
12 Now, we're looking not just at specific markers,  
13 we're looking at panels of biomarkers that we want  
14 to be able to include. So, that's requiring a  
15 little bit of changes on our part, but we really  
16 do want to remain flexible to the changing needs  
17 of our programs.

18           With respect to -- just to go back to the  
19 previous point with new development -- method  
20 development -- we do -- as we understand whether  
21 or not new conditions are to be added, we -- we

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

37

1 have scientists that are designated to work on  
2 some of these things. But one of the things that  
3 we really want to be able to do specifically over  
4 the course of the upcoming decade, 2020 to 2030,  
5 is to really take a look at some of the conditions  
6 that we currently have to see how best we can make  
7 improvements to them. So, we have been engaged in  
8 that process.

9           Down to the third bullet, we provide  
10 support, and this is financial support for  
11 programs, to implement screening for recently  
12 added conditions for the Recommended Uniform  
13 Screening Panel. We remain very close with the  
14 programs and really help them along if they have  
15 any technical issues. Once they've implemented,  
16 we remain in contact with them because of our  
17 quality assurance programs, so we know if  
18 something is not -- if performance is not what it  
19 should be, and we work with them either to bring  
20 them to the CDC to provide training and education,  
21 or, if it's needed, I can send one or two of my

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

38

1 staff onsite to be able to help them along and  
2 help with their -- their training and help to  
3 troubleshoot some of their issues.

4           So, that's really the big picture of what  
5 -- of what we're doing, and in the context of --  
6 of homocystinuria and congenital adrenal  
7 hyperplasia, we have ongoing activities that are  
8 just a part of our routine strategy to improve  
9 some of these tests.

10           So, just to remind you about  
11 homocystinuria, the -- the -- the enzyme that is  
12 deficient is cystathionine beta-synthase. This,  
13 of course, leads to an accumulation of  
14 homocysteine and secondarily methionine. The  
15 biomarker that we screen for in newborn screening  
16 is methionine. But, unfortunately, methionine is  
17 not a unique biomarker for -- for homocystinuria.  
18 There are other causes that can result in  
19 increases in methionine in newborns. It is seen  
20 in increased liver disease, hyperalimentation, and  
21 some other remethylation disorders can result in

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

39

1 increases in methionine. Clinically, these  
2 patients present with life-threatening  
3 thromboembolism, seizures, developmental delay,  
4 skeletal changes, and other -- other clinical  
5 presentations as well.

6           So, one of the big pictures that we have  
7 been thinking about for quite a while, and which  
8 was brought up with public comment, was how can we  
9 again create a second-tier test for homocysteine.  
10 We would need to include some other biomarkers as  
11 well because elevations of homocysteine are also  
12 seen with cobalamine defects, so, again, we have  
13 to be very thoughtful of what we would actually  
14 do.

15           And, of course, the big question is being  
16 able to create a first-tier test for homocysteine.  
17 That presents its own challenges, because the use  
18 of the reducing agent associated with the tests  
19 that we currently do have result in ion  
20 suppression for some of the biomarkers, so it  
21 makes it quite difficult.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

40

1           So, again, some of the -- the ideas  
2 brought up by Danae, Elizabeth, and Margie. When  
3 they spoke, they again wanted to bring it to  
4 everyone's attention that many cases of patients  
5 with classical homocystinuria are being missed.  
6 Methionine is the current marker, not  
7 homocysteine. Homocysteine would be a much more  
8 appropriate marker, of course. Cutoffs in many  
9 cases to avoid some of the false positives are set  
10 a bit too high. So, again, what they mentioned  
11 was the benefit of reducing current cutoffs for  
12 methionine and the inclusion of second-tier tests  
13 for both homocysteine and methylmalonic acid. Of  
14 course, there was also a discussion about  
15 developing a first-tier test that includes  
16 homocysteine.

17           For congenital adrenal hyperplasia, the  
18 enzyme of note here is 21-hydroxylase. That is  
19 the cause of most cases of CAH. Clinically, these  
20 patients' screening will identify classic and  
21 severe forms of the salt-wasting and simple

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

41

1 virilizing forms of CAH. The newborn screening  
2 biomarker is 17-hydroxy progesterone. The testing  
3 platform most commonly used is the  
4 fluoroimmunoassay or FIA. And again, as with  
5 methionine, elevations of 17-hydroxy progesterone  
6 are also seen during -- as a result of -- of  
7 stressful delivery, immaturity of adrenal glands,  
8 and of course there is an issue with a lack of  
9 specificity with this assay with other steroid  
10 intermediates.

11           So, again, this is something that we have  
12 known and one of the approaches, of course, is  
13 adjusting the cutoff and using second-tier tests.  
14 In many cases, it would be a steroid panel that  
15 many of our programs would use. However, there  
16 are still instances of false positives and false  
17 negatives with current algorithms, and so this  
18 remains a concern.

19           Dr. Emmanuele Delot, I believe, did have  
20 some comments, and again, he mentioned some of the  
21 things that I just mentioned. He also indicated

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

42

1 that the Endocrine Society published clinical  
2 practice guidelines for the management of CAH. In  
3 those guidelines, there was a section on newborn  
4 screening with a call and a hope for improved  
5 methodology, standardization, and other things to  
6 really enhance how these newborns are detected so  
7 that they can be routed into appropriate  
8 management.

9           So, that's a bit of the background. I'm  
10 just going to run through a couple other things  
11 that we're doing at CDC. Our branch is sort of  
12 broken into the biochemical group, the molecular  
13 group. So, I'm going to be talking to you about  
14 four different methodologies that are being  
15 developed and are at different stages in  
16 development. Some of them have already been  
17 validated and are in use.

18           But some of our thoughts in terms of  
19 trying to address some of these issues -- so, I'll  
20 just go right on.

21           The first method is a second-tier

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

43

1 screening method that includes detection of -- of  
2 homocystinuria, methylmalonic acidemia, propionic  
3 acidemia, GAMT, and MSUD. And I know that you're  
4 not really going to be seeing any of this. Is  
5 there a pointer with this? Yes. But these are a  
6 number of the biomarkers. And again, this is just  
7 to indicate a level of separation with some of  
8 these. Again, the rationale behind a grouping of  
9 second-tier biomarkers is that we're all dealing  
10 with rare disorders. Most of the programs will  
11 have a certain low level of screen positives. So,  
12 again, if you have a single test that would be  
13 useful for being able to do second-tier screening  
14 for a number of difference screen positives, that  
15 would be in the best interest of the workflow of  
16 the programs

17           So, you'll see that we're trying to do a  
18 lot of combinations and multiplexing in this  
19 particular -- in this way. So, yes.

20           So, this is one of the tests that has  
21 been developed. This test, in particular, is

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

44

1 being taught to states programs. We have an  
2 annual mass spectrometry course, and as part of  
3 their training, they do learn how to -- how to  
4 develop this, and of course, there is the -- I  
5 know you can't see it -- it's that yellow text up  
6 here. This peak right here is the homocysteine  
7 peak that is able to be detected using this  
8 method.

9           Method number 2 is also another second-  
10 tier screening method. This is for congenital  
11 adrenal hyperplasia using a steroid panel. Again,  
12 there are a number of programs that probably have  
13 adopted this already. Again, being able to bring  
14 it up for us within CDC allows us to be able to  
15 teach and to demonstrate how to do these -- these  
16 assays and to be able to do troubleshooting with  
17 our programs. So, this is something that we have  
18 been working on, and we're looking forward to  
19 being able to teach the next group of newborn  
20 screeners that will be coming to our annual MSMS  
21 course in 2020.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

45

1           We have another second-tier screening  
2 method. This one we call Universal, and, of  
3 course, I talked to my mass spec person, and I  
4 said, well, it's not really universal, but it is -  
5 - for the most part, it's the ones that give us  
6 problems. So, for the most part, again, being  
7 able to expand out the different kinds of  
8 biomarkers using different platforms. So, this is  
9 again a unique kind of -- of -- of column that is  
10 being used to be able to separate out the amino  
11 acids, acylcarnitine, and so on in a single assay.  
12 This, to our knowledge, is not currently being  
13 done. But again, the advantage here is that we  
14 have some of the first-tier biomarkers together  
15 with some of the -- the second-tier biomarkers.  
16 But this is, again, a really much more  
17 comprehensive single assay that can be used with  
18 most of these programs.

19           So, this is still under development as we  
20 get more and more successful in this. Again, we're  
21 looking for our tests to be much more robust and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

46

1 to be able to ensure that we can put this in the  
2 hands of our programs and have them consistently  
3 get the right results. So, these are things that  
4 we will be looking for in the future. But this is  
5 promising and we're -- we're looking to see how  
6 best we can develop it into something that's  
7 useful.

8           The fourth method -- the fourth  
9 biochemical method is the one that is meant to  
10 include both first- and second-tier biomarkers.  
11 And again, the idea is, you know, we are -- we're  
12 going to be adding more and more conditions onto  
13 the RUSP. We need to have something that has a  
14 bit more flexibility. And so, my -- my mass spec  
15 lab chief has been looking at doing -- using this  
16 platform that's an ultra-high throughput on a chip  
17 mass spectrometry approach, and again, this is  
18 meant to do a couple of things in addition to  
19 including first- and second-tier biomarkers. We  
20 do have -- sorry. Let me go back here. We do  
21 have homocysteine here. This one does not have,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

47

1 at this point in time, CAH biomarkers. But again,  
2 they are just looking to add more and more  
3 biomarkers just to see how this -- how these  
4 assays are going to work. But we do have  
5 homocysteine here. One of the nice things about  
6 this is that it's able to actually separate out  
7 isoleucine, leucine, and allo-isoleucine and also  
8 C3DC and C4OH. And so, being able to have a  
9 single platform again where you don't actually  
10 need second-tier tests, that's great. And being  
11 able to incorporate some of these secondary  
12 biomarkers that would be necessary for us to  
13 improve the detection for homocystinuria and CAH,  
14 those are really helpful things.

15           So, these are things that are ongoing.  
16 We're looking really very broadly at a number of  
17 the conditions that are giving us problems and  
18 just conceptually ensuring that we can have both  
19 primary biomarkers as well as some of the  
20 secondary -- the known secondary informative  
21 biomarkers included in single tests.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

48

1           So, this is definitely still under  
2 development. Of course, all of the things that we  
3 need to think about include, you know, throughput,  
4 how flexible is it for a state to bring it on,  
5 costs. All of these things need to be considered.  
6 So, again, these are proof of principle studies  
7 that we hope to be able to adopt and to hopefully  
8 implement within a public health setting.

9           So, I had mentioned that we do have  
10 training. We have training once a year. I'm  
11 constantly asking both APHL and my staff whether  
12 or not they can navigate having two a year because  
13 there is a very significant need. We have a  
14 number of programs asking to participate in these  
15 programs where they learn the hands-on  
16 applications of these studies. But this is what  
17 we actually do, and we may get as many as 30 or so  
18 applicants each year. We can only take about 10  
19 to 12. They are a combination of classroom and --  
20 classroom sessions on second-tier screening, but  
21 there is a very heavy hands-on laboratory

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

49

1 component where they really engage in best  
2 practice within the laboratory.

3           Another one of the benefits of this is  
4 that they really develop relationship with our  
5 staff so that they feel comfortable when they have  
6 problems to be able to have conversations with --  
7 with our people. And again, I'm happy to send  
8 programs -- some of my staff over to help,  
9 especially with the development of some of these -  
10 - some of these tests.

11           And I do also want to mention that even -  
12 - even prior to the public comment, one of the  
13 things that I had conversations with some of my  
14 senior staff with the mass spectrometry group was  
15 that I really, really wanted to focus over the  
16 course of these, like I said, these next ten years  
17 to implement a second-tier testing in all of our  
18 programs, and that is -- that's something I really  
19 would like to do as an agency program goal.

20           So, we're looking at how that could  
21 actually happen and, yes, we're hoping for the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

50

---

1 best for that.

2           So, I'll just wrap up quickly with the  
3 next couple of slides which just talk about a  
4 brief study that we did in collaboration with our  
5 colleagues in Minnesota to develop a Molecular  
6 Approach to Enhance Detection of CAH in Newborns  
7 at Risk for Congenital Adrenal Hyperplasia.

8           So, again, the big issue was that there  
9 are both false positives and false negatives for  
10 newborns with -- that are being screened for CH.  
11 There are a number of external factors, as we  
12 indicated, that can cause an elevation of 17-  
13 hydroxy progesterone. And so, the challenge is --  
14 and again, these are molecular biologists thinking  
15 about this -- you know, we need to have an  
16 alternative newborn screening test that is not  
17 influenced by the timing of the sample,  
18 prematurity, or birth stress, or cross-reactivity  
19 of -- of other steroids. And so the thought is,  
20 could we increase sensitivity by reducing 17-  
21 hydroxy progesterone cutoffs to eliminate the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

51

1 false negatives and consider a molecular second-  
2 tier test to maintain screening specificity. And  
3 we were very -- very happy to be in partnership  
4 with our colleagues in Minnesota. We see the  
5 grant co-investigators on this slide, and they put  
6 together a proposal and they got some funding from  
7 the March of Dimes. And again, this grant title  
8 was to address whether or not molecular testing  
9 could improve newborn screening performance and  
10 outcomes for CAH. Like I said, the co-  
11 investigators are listed here.

12           So, this slide describes responsibilities  
13 and roles. The University of Minnesota and CDC  
14 were responsible for defining a Minnesota  
15 population variant for the CYP21A2 gene and to  
16 subsequently create a variant panel. There were  
17 families that were identified within the Minnesota  
18 population who had CAH, and they identified a  
19 total of 22 pathogenic variants together with the  
20 30KB deletion alleles.

21           CDC was responsible for creating a high-

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

52

---

1 throughput molecular assay for the newborn  
2 screening laboratory. We use the Multiplex  
3 Allele-Specific Primer Extension or ASPE assay  
4 using Luminex Technology. This was transferred to  
5 the Minnesota group so that they could do the  
6 pilot test to evaluate the molecular assay.

7           Just in summary here, once we created the  
8 panel that was transferred, they did essentially a  
9 one year -- a study using one-year samples, so  
10 72,000 samples were screened. They identified the  
11 one known true CAH positive using this algorithm  
12 that had the first-tier test together with the  
13 molecular tier. There were two CAH babies that  
14 had been missed by the current screening  
15 algorithms. One had been missed by the primary  
16 assay cutoff and the other was missed by the  
17 second-tier assay. And this particular assay  
18 identified all of these.

19           Any other cases identified were confirmed  
20 by sequencing at CDC, and they found that they  
21 correctly identified all of the deletions. They

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

53

1 had to make a bit of an adjustment to -- to the  
2 probe and just redesigned and were able to create  
3 a panel that they were -- they were fairly happy  
4 with.

5           Just in summary then, this represented a  
6 novel state, federal, and academic collaboration  
7 as a model for future newborn screening molecular  
8 test development. They established a  
9 comprehensive CYP21A2 panel for the Minnesota  
10 population and this, again, could be used for  
11 other populations. Again, it would be very  
12 helpful to ensure that you -- you knew the  
13 populations within your -- your own -- your --  
14 your population itself. The molecular CAH results  
15 certainly will require in-depth reporting,  
16 infrastructure development. There were, you know,  
17 samples that were identified just with the one  
18 variant. So, there is the potential for a high  
19 false positive rate, and ironically there were  
20 some that had multiple variants on the same  
21 chromosome. So, there is a need for developing an

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

54

1 assay for phasing to eliminate the need for family  
2 testing.

3           So, again, there is a lot to be done.  
4 This was a really thoughtful assay -- thoughtful  
5 collaboration, and we really do appreciate our  
6 colleagues in Minnesota for being able to work  
7 with us in that regard.

8           So, this is a summary of -- of some of  
9 the things that we're doing specifically -- I'm  
10 sorry, this is the acknowledgements of the people  
11 who were involved in that study. But this, again  
12 represents some of the biochemistry, i.e. the mass  
13 spec-related assays together with some of the  
14 molecular tests that we're actually using to  
15 address specifically enhancements of disease  
16 detection for patients with homocystinuria and  
17 CAH.

18           That's all I've got to say. I'd be happy  
19 to take any questions. I know that we're a little  
20 over time.

21           DR. CYNTHIA POWELL: That's okay. Thank

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

55

1 you very much, Dr. Cuthbert. And, yeah, we're  
2 going to open this up to questions and comments  
3 from the Committee members first and then from the  
4 organizational representatives. So, if the  
5 operator could please open the lines for Committee  
6 members and organizational representatives on the  
7 conference line, and you're welcome to stay up  
8 there or you can go ahead and have a seat. Thank  
9 you.

10           So, I want to thank Dr. Cuthbert. I also  
11 want to thank the families, clinicians, and  
12 researchers for bringing this important topic to  
13 the Committee's attention during the April  
14 meeting. I'm happy to hear that the CDC is  
15 working on evaluating the sensitivity,  
16 specificity, positive predictive value, negative  
17 predictive values of current screening methods.  
18 The Committee needs more information on the  
19 current state of the science to determine if and  
20 how they want to address the issues raised at the  
21 April meeting related to risk assessment for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

56

1 homocystinuria. So, this is an important first  
2 step. We'll continue to follow this issue and  
3 bring in experts to present to the Committee at  
4 future meetings.

5           And at this point, I see Mei Baker.

6           DR. MEI BAKER: Colleagues, wonderful  
7 presentation. But the one thing you said that  
8 actually caught my attention is combined first-  
9 tier, second-tier markers together. So, you used  
10 the example leucine, isoleucine, and allo-  
11 isoleucine. I was thinking that the future  
12 direction, did you foresee if isoleucine can get a  
13 first-tier panel -- I mean analyze it, do you even  
14 still need a leucine isoleucine? Because  
15 isoleucine gives us false positive, because if you  
16 have a liver function problem, you have other  
17 things. If you have isoleucine to use, you need  
18 leucine -- I mean allo-isoleucine, you don't need  
19 leucine isoleucine, right?

20           DR. CARLA CUTHBERT: Let me try to  
21 understand that you're saying. Are you trying to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

57

1 say should we put allo-iso on the --

2 DR. MEI BAKER: I thought you were trying  
3 to put the first-tier marker, right?

4 DR. CARLA CUTHBERT: Right. So,  
5 technically it's a second-tier. I mean, you'll  
6 see -- they're iso bars. So, you're looking for  
7 allo-iso, but they -- they come out at the same  
8 peak. So, you actually have to do a secondary  
9 test to determine what's under that particular  
10 peak. So, allo-iso and leucine. So, to avoid  
11 doing a first-tier and a second-tier, we put them  
12 together. Am I not getting what your question is?

13 DR. MEI BAKER: I hope I understand  
14 correctly because I think the concept that you're  
15 trying to introduce is a combined first-tier and  
16 second-tier?

17 DR. CARLA CUTHBERT: Correct, yes.

18 DR. MEI BAKER: If -- for allo-  
19 isoleucine, you can put first-tier --

20 DR. CARLA CUTHBERT: Yes.

21 DR. MEI BAKER: -- then potentially you

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

58

1 will not need a leucine isoleucine. That's -- I  
2 thought that this was a very good direction to go,  
3 right?

4 DR. CARLA CUTHBERT: Sure. Yes, yes. I  
5 man, allo-isoleucine is the biomarker you want to  
6 look at for MSUD. Absolutely.

7 DR. CYNTHIA POWELL: And on the phone  
8 line, we have a question from or comment from  
9 Scott Shone.

10 DR. SCOTT SHONE: Hey, Carla. So,  
11 excellent presentation, and as always excellent  
12 work by your team. So, thank you so much for  
13 sharing it. I'm trying to bring this back to sort  
14 of like a broader system view of the  
15 implementation of this work. We're going to hear  
16 some more, I think, over the next day or two on  
17 implementation of disorders, but I'm also thinking  
18 about this in terms of these types of assays and  
19 their ability to make what we do more specific and  
20 sensitive.

21 One of the facilitators that's often

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

59

1 highlighted is the availability of a commercial  
2 assay or even FDA-cleared assays and obviously  
3 this is laboratory developed, and you're doing a  
4 great job with training people. But do you see  
5 potential barriers with states being able to and  
6 programs being able to bring these assays up and  
7 running and -- and combining that with perhaps the  
8 commercial options that they're using as first-  
9 tier, and do you know of any vendors who are  
10 looking at perhaps bringing on second-tier  
11 commercial tests that would help, you know, build  
12 into what we're hearing from the system -- our  
13 facilitator's implementation?

14 DR. CARLA CUTHBERT: So, one of the big  
15 reasons that we actually want to publish on -- on  
16 these tests is so that vendors can look at it, and  
17 they can choose. Certainly, we cannot approach  
18 anyone and sort of ask them to do this. We hope  
19 that they're just paying attention.

20 So, in terms of whether or not we know  
21 whether or not anyone is actually doing this, no.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

60

1 I think that as we look at the future of newborn  
2 screening, we know that we have to consider ways  
3 to combine these markers and perhaps have better  
4 and more relevant markers on our tests. We know  
5 that this is going to be difficult to adopt. So,  
6 you know, I certainly do want to emphasize not  
7 necessarily for the states who already know this,  
8 but for those of you who are listening, these --  
9 these things are difficult. And, you know, while  
10 it might work well in our hands at CDC as we're  
11 doing many tests over and over, we really do have  
12 to get this into the hands of state programs.  
13 And, you know, we're -- we're not entirely  
14 discouraged if we get it into a state's program's  
15 hands and then it still requires tweaking. We  
16 really do want to figure out how we can actually  
17 create the best possible outcome for states.

18           So, Scott, you know better than I do that  
19 many of things require money. Speaking to states,  
20 many of these things also require people who are  
21 technically savvy -- technically capable of doing

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

61

---

1 these -- these kinds of tests and troubleshooting.  
2 And hence, you know, again wanting to make that we  
3 have funding available -- we don't yet. So, you  
4 know, this is still sort of things that are  
5 aspirational. And -- and we know that somehow we  
6 have to modify our training approach so that we  
7 can ensure that there's technical capability  
8 within your -- within your programs.

9 DR. SCOTT SHONE: Right, Carla. I just  
10 want to, I think, just funding, as you said, is  
11 just one thing, the expertise is another as this  
12 gets more complex. I just -- you know, we're  
13 already in this environment where people say if I  
14 was born in this state I'd get this panel and  
15 that, and we're, as a Committee, trying to think  
16 about addressing that. I just don't want to now  
17 get to well there are fewer false positives in  
18 this state because they go with this, and I had,  
19 you know, and I endured this path of the screening  
20 process. And so, I think as we're going this way,  
21 which I think is -- is excellent, but we need to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

62

---

1 be cognizant of setting up another one of these  
2 challenges that, as a Committee, we need to be --  
3 you know, we have the opportunity here to think  
4 about it as we're looking toward implementing and  
5 spreading this -- it's not just now disorders,  
6 it's second-tier, it's third tier, it's whatever,  
7 and that sort of class system of programs. We  
8 need to be aware of what we're thinking about and  
9 how do we -- and not that we shouldn't do it, but  
10 how do we help keep that from happening.

11 DR. CYNTHIA POWELL: And, I'm sorry, I  
12 forgot to remind everyone to please state your  
13 first and last names when you are asking a  
14 question or providing a comment so that we can  
15 ensure proper recording of the meeting.

16 Next, Melissa Parisi.

17 DR. MELISSA PARISI: Hi, Melissa Parisi  
18 from NIH. Carla, I just had a question about the  
19 pilot program for molecular testing for CAH, and  
20 you showed the diagram of the 72,000 samples that  
21 were screened, and then when you did the molecular

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

63

1 evaluation, 70 were identified with at least 2  
2 variants, which is a fairly high number. The true  
3 positives within those 70 was only 3, if I  
4 understand correctly. So, as you stated, that  
5 reflects either, you know, potentially our need to  
6 learn more about this gene and variants and their  
7 pathogenicity as well as the phase, as you  
8 mentioned, of whether or not they're on the same  
9 allele or different alleles. And I'm just  
10 wondering if you have some plans in the works to  
11 try to define that molecular analysis to make it  
12 more robust.

13 DR. CARLA CUTHBERT: Yes. Yes and yes.  
14 The -- there are many -- I think in one case there  
15 were many variants on one allele and one  
16 chromosome, which was astounding. Chris Green,  
17 who is the lead on this project, presented it to  
18 my boss, who is not a molecular biologist, and  
19 that just blew his mind. So, it just -- it just  
20 requires us to be very thoughtful about how we do  
21 it. Just because you find a variant or two, it

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

64

---

1 doesn't mean that this person is at risk for this  
2 disease.

3 DR. CYNTHIA POWELL: Any other comments  
4 or questions from the Committee members? So,  
5 we'll open this up to the organizational  
6 representatives. Georgianne.

7 DR. GEORGIANNE ARNOLD: Am I -- okay.  
8 Georgianne Arnold, Society for Inherited Metabolic  
9 Disorders. Was there any interest in opening this  
10 up to genes for low methionine homocystinuria like  
11 cobalamin disorders?

12 DR. CARLA CUTHBERT: Yes. A lot of this  
13 depends on how low can you go, right? So, with  
14 these new platforms, their ability to -- to detect  
15 low levels, we hope will be improved, and as such,  
16 being able to identify these low cutoffs is what  
17 we hope. That's something that we'll have to look  
18 at.

19 DR. GEORGIANNE ARNOLD: Yeah, know that  
20 they were looking at trying to this with lower  
21 cutoffs, but I was wondering if the lower cutoffs

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

65

---

1 plus the DNA would be -- is something they were  
2 thinking about working at. Okay.

3 DR. CARLA CUTHBERT: Yes. So, again,  
4 everything is dependent on resources, and, of  
5 course, within -- within our branch, we have so  
6 many projects. So, these are things that are on  
7 our radar. They're not actively being engaged on  
8 right now. But these are things that we're  
9 thinking about, Georgianne. Thank you. That's a  
10 great question.

11 DR. CYNTHIA POWELL: Susan Tanksley.

12 DR. SUSAN TANKSLEY: Susan Tanksley,  
13 Association of Public Health Laboratories. Thank  
14 you, Carla for the presentation. I was wondering  
15 if there had been a comparison of the two CAH  
16 second-tier assays, the molecular versus the LC-  
17 MS/MS and how that -- how they fared.

18 DR. CARLA CUTHBERT: I think I might have  
19 to defer to Amy Gaviglio, because Amy is from  
20 Minnesota, and I think I will have to bow out to  
21 her.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

66

1 MS. AMY GAVIGLIO: Yeah. Amy Gaviglio,  
2 National Society of Genetic Counselors. Yeah, we  
3 did look at performance between -- between the  
4 two, and it's a bit interesting, because we did  
5 pick up so many single-variant findings. Our  
6 carrier frequency was 1 in 13, which was much  
7 higher than we expected. And so, we had to think  
8 about -- about that. There was also the issue  
9 with multiple variants, and we had one child with  
10 eight variants who ended up being trait. So, it  
11 was a bit hard to actually look at -- look at  
12 performance metrics in terms of what are you going  
13 to call a positive result. Is it a one-variant  
14 finding or is it a multiple-variant finding, and  
15 are you able to do phasing before calling --  
16 calling that out? So, I would say that we were  
17 able to pick up our false negative cases, which  
18 was good, but if we're going to be calling out all  
19 of the single variants and calling those as false  
20 positives, then that becomes a different  
21 situation. So, it was a bit hard to kind of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

67

1 compare straight -- straight with like a steroid  
2 profile or an extracted 17OHP.

3           Interestingly, you do see a shift,  
4 whereas we typically saw most of our false  
5 positives, as Carla mentioned, in the low birth  
6 weight NICU population, you see it actually shift  
7 now to all of the single variants are primarily in  
8 your well-baby population, which makes sense given  
9 that their 17OHP in the NICU isn't because of CAH.  
10 So, you also see a different shift in population.

11           DR. CYNTHIA POWELL: Mei Baker.

12           DR. MEI BAKER: I just want to have a  
13 follow-up -- oh, Mei Baker, Committee member.  
14 Follow-up on Georgianne's question about low  
15 methionine. So, two things I want to make  
16 comments. First, in the newborn setting, identify  
17 low concentration is more challenging, just when  
18 we talk about using succinylacetone for OTC. It's  
19 just very hard to do, overlapped with so many  
20 different scenarios.

21           Second is when we talk marker, I just --

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

68

1 what comes to my mind is we need to think about  
2 the marker associated with disease. Is the  
3 disease justified to be identified? You know,  
4 newborn screening -- are you screening disorders  
5 or are you screening differentials? So, we have  
6 to keep this in mind.

7 DR. CYNTHIA POWELL: Sue Berry.

8 DR. SUSAN BERRY: So, the question I had  
9 was, Amy, could you say something about the  
10 pseudo-allele and how that impacts detection.

11 MS. AMY GAVIGLIO: For?

12 DR. SUSAN BERRY: For CAH.

13 MS. AMY GAVIGLIO: I believe the assay  
14 takes care of that.

15 DR. SUSAN BERRY: Okay. I just don't  
16 about the methodology well enough.

17 MS. AMY GAVIGLIO: I don't remember --  
18 yeah, yeah. No, I mean --

19 DR. SUSAN BERRY: So, that's not --

20 MS. AMY GAVIGLIO: The gene is  
21 exceedingly in a complex region.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

69

---

1 DR. SUSAN BERRY: Right.

2 MS. AMY GAVIGLIO: So, it causes a host  
3 of issues, which is why we kind of have a  
4 multistep assay. But we -- yeah, it -- it -- the  
5 assay seemed to be fine --

6 DR. SUSAN BERRY: Great. Thank you.

7 DR. CYNTHIA POWELL: Any other questions  
8 from --

9 DR. DEBRA FREEDENBERG: Yeah. This is  
10 Debbie Freedenberg.

11 DR. CYNTHIA POWELL: Yes, go ahead,  
12 Debbie.

13 DR. DEBRA FREEDENBERG: I'm an Academy of  
14 Pediatrics rep. Carla, thank you so much for that  
15 great talk. My question is, do you foresee any  
16 differences in utilization and implementation in  
17 these newer methods between one-screen and two-  
18 screen states?

19 DR. CARLA CUTHBERT: I'm -- I'm not sure.  
20 You know, if we have an opportunity to be able to  
21 partner with one-screen and two-screen states as

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

70

1 we consider what this would look like, I think  
2 that would be helpful to identify any unique  
3 challenges that two-screen states would have. But  
4 -- but again, I -- we're at the very early stages  
5 of trying to identify first a test that might be  
6 useful. There's going to be a whole other series  
7 of questions once we start doing the clinical  
8 validation and looking at the utility within  
9 newborn screening environment. But thank you for  
10 asking that, Debbie. That's going to be an  
11 important question.

12 DR. CYNTHIA POWELL: Any other questions  
13 from those on the line? All right. Thank you,  
14 everybody. Thank you again, Carla.

15 DR. CYNTHIA POWELL: Thank you,  
16 Catharine. We opened up the comments about the  
17 nomination, the RUSP Condition Nomination and  
18 Evidence Review Process from the broader community  
19 of stakeholders, and the Committee welcomes  
20 feedback from stakeholders and blocked off time on  
21 today's agenda to hear feedback specific to the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

71

---

1 RUSP processes. We're going to have another  
2 public comment session tomorrow. And we have  
3 several individuals who signed up to provide  
4 public comment. And we have a general -- first,  
5 we have, let's see, a general public comment from  
6 Margaret McGlynn, who will be speaking today about  
7 homocystinuria.

8 MS. MARGARET MCGLYNN: Thank you, and  
9 thank you for letting me speak today, as Catharine  
10 was flexible, because I can't be here tomorrow.  
11 It's not specifically related to RUSP, but it's a  
12 condition that's on RUSP. But, thank you.

13 I met many of you in April when I  
14 presented at this forum along with two others.  
15 I'm Margie McGlynn, and I'm the co-founder and  
16 President of the Board of HCU Network America,  
17 which is an advocacy organization I founded along  
18 with Janae Bartke, who you met in April, in honor  
19 of my two sisters who lost their lives to HCU. My  
20 hope is that no family in the future has to suffer  
21 from losing a child or an adult like mine did to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

72

1 this disease.

2           So, I'm here today to follow up on the  
3 comments that I had made in April, and I thank  
4 Carla for summarizing my comments. I'm flattered  
5 to be quoted by the CDC, Carla. But I also thank  
6 Carla for the work of her and her team in this  
7 important area on improved assays to detect HCU.

8           Since the last meeting, we've had the  
9 opportunity to talk to some of the states about  
10 their programs and their experience with detecting  
11 HCU, and almost everyone told us that they believe  
12 that they are detecting all patients with HCU, but  
13 they did acknowledge they don't have the feedback  
14 loops to know whether that is really true. Well,  
15 unfortunately, we don't believe that they're  
16 detecting all of the patients, and that's based  
17 upon not only the estimate in the literature that  
18 50 percent of patients are missed by the current  
19 approach, but also a published abstract on medical  
20 claims data specific for classical HCU, and I know  
21 many I've talked to about this said oh it's an

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

73

1 upcoding that occurs, but they have other clinical  
2 sequela which are consistent with HCU, and they're  
3 being treated with vitamins and other products  
4 that are known to be used for classical HCU.

5           There is also analysis recently completed  
6 that will be published of a genetic database that  
7 looked only at the specific defects that are shown  
8 to cause disease. Both of these sources would  
9 suggest there are even more than 50 percent of  
10 patients who are missed, many of whom suffer later  
11 in life from premature stroke or blood clots.

12           But the most important evidence we have,  
13 we mentioned last time, are the patients who tell  
14 us they were missed at birth, and we have  
15 identified 22 patients across 12 states that were  
16 diagnosed in states where newborn screening was in  
17 place at birth, but they were not detected until  
18 later in life due to clinical issues. All 22 were  
19 pyridoxine non-responsive, which is the more  
20 severe type. And we believe we've only scratched  
21 the surface. You've heard about a few of these

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

74

---

1 patients last time -- I won't go into details --  
2 but to remind you, a little girl from Montana  
3 diagnosed with a blood clot at age 3. You heard  
4 from a mother of a boy in South Carolina diagnosed  
5 after uncontrollable seizures spending 29 days in  
6 the ICU in a medically induced coma. And most  
7 tragically, you heard from us about a little boy  
8 in North Carolina diagnosed at age 6, who suffered  
9 a blood clot at age 8 on his way home from a  
10 baseball game and unfortunately died after a week  
11 in the ICU.

12           We know that every one of you involved in  
13 this effort and all of the staff and leadership at  
14 the state programs and state labs want to detect  
15 all patients at birth to give them the best chance  
16 of getting optimal care and avoiding clinical  
17 sequela. And, as Carla said, we all believe the  
18 best long-term solution is to enable a first-tier  
19 screen of homocysteine. So, we're hopeful for the  
20 efforts you have underway. We are also offering  
21 grants through our global grants program for the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

75

1 development of a primary HCY test.

2           But, we also believe that in addition to  
3 improving newborn screening, there needs to be  
4 ongoing screening past the newborn stage to detect  
5 those older children and adults who may not have  
6 had elevated levels at birth, and we hope to work  
7 with some of the organizations represented at this  
8 meeting to figure out how to best approach that.

9           So, while that first-tier screen may be  
10 years away, we are hopeful that there are tiered  
11 testing approaches in place today that can be  
12 evaluated by the Committee and can be implemented  
13 in the near future. One of those approaches was  
14 proposed more than ten years ago by the group at  
15 the Mayo Clinic, and that was published in JIMD in  
16 2007, where they recommended lowering methionine  
17 cutoff and then using the second-tier test to  
18 assess homocysteine and MMA using the same dried  
19 blood spot. Their approach included a lower  
20 cutoff for methionine, simultaneous measurement of  
21 methyl citric acid, along with homocysteine and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

76

1 MMA, and that's published in Clinical Chemistry in  
2 2010. And this enables better detection of not  
3 only CBS-deficient homocystinuria, but also the  
4 question asked earlier of cobalamin defects,  
5 methylation disorders, propionic acidemia, and  
6 remethylation disorders, and it avoids the impact  
7 of false positives on families.

8           As many of you know, Mayo also  
9 implemented bioinformatic tools known to the  
10 Committee as CLIR in order to further reduce the  
11 overall screening cost and reduce the need for  
12 second-tier testing.

13           A few states in the US are already taking  
14 advantage of this approach, and some have  
15 contracted with the Mayo Clinic to provide the  
16 second-tier testing.

17           Other countries have also picked up on  
18 the two-tier screening approach, as I mentioned at  
19 the last meeting, and most recently a publication  
20 by EHOD or the European Network and Registry for  
21 Homocystinuria and Methylation Defects reiterated

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

77

---

1 the importance of this approach and has a lot of  
2 the data that came from programs that came from  
3 programs that justified why a conversion to  
4 second-tier approach made sense.

5           Now, we know this is a complex area. We  
6 know the resource issues and complexities that  
7 programs are dealing with. But we would urge the  
8 Committee to take on this effort, which was  
9 described by some at the last meeting as low-  
10 hanging fruit. While we would love to pick that  
11 fruit and we would love to come up with a better  
12 approach to help this patient community. So, we  
13 encourage the Committee to evaluate these tiered  
14 approaches being utilized in the US and  
15 internationally. We have connected three experts  
16 at Catharine's request to the Committee, and all  
17 are willing to engage with the Committee and to  
18 present their experience and that includes both  
19 the US and two countries internationally.

20           So, we encourage the Committee to support  
21 the ongoing work of the CDC. We've also consulted

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

78

---

1 APHL and ACMG on this effort, and we thank them  
2 for their input. And we also encourage NICHD to  
3 get involved, as we believe that their mission to  
4 refine and improve the analytical approach to NBS  
5 would make them an important contributor to this  
6 effort.

7           So, again, on behalf of the HCU community  
8 and especially those families who have patients  
9 missed by newborn screening, we thank the  
10 Committee for listening. We really do. I was  
11 very impressed when we had comments from the  
12 outgoing Chair, incoming Chair, and many Committee  
13 members both publicly and informally to Danae and  
14 I after the April talk. It's clear that you  
15 really do want to hear from the patient community,  
16 but most importantly, you listened to us, and  
17 action was already underway but is being further  
18 encouraged by the Committee and so, we offer our  
19 support to you as you embark upon this effort. We  
20 urge you to take action to address this low-  
21 hanging fruit and to come up with a solution that

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

79

---

1 can be implemented within the next few years.

2 Thank you again.

3 DR. CYNTHIA POWELL: Thank you, Margaret.

4 Thank you for emphasizing the importance of this

5 situation and the importance of the Committee to

6 continue work in this area. We look forward to

7 having additional presentations at our next

8 meeting from experts from not only in the US but

9 hopefully from others internationally and continue

10 this work.

11 So, next up, there will be three

12 individuals who signed up to provide public

13 comments on the RUSP Nomination Evidence Review

14 and Evaluation Processes. First we have Joseph

15 Schneider.

16 DR. JOSEPH SCHNEIDER: Good morning and

17 thank you. Thank you very much. Good morning.

18 I'm Joseph Schneider. I'm a practicing

19 pediatrician in the newborn nursery of Parkland

20 Hospital from UT Southwestern. I'm a member of

21 the Texas Newborn Screening Advisory Panel, Chair

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

80

---

1 of the Texas Medical Association, HIT Committee,  
2 and a former Chief Medical Information Officer of  
3 a few large health care organizations over the  
4 past 20 years. I'm also a retired businessman who  
5 graduated from medical school at the ripe old age  
6 of 43.

7 I've been on the Long-term or  
8 Longitudinal Follow-up Committee for about 18  
9 months and we still have -- I still have a lot to  
10 learn. I'm commenting today because I think we're  
11 about to talk about how to change the newborn  
12 screening candidate process and therefore the  
13 program as a whole.

14 I see the newborn screening program as an  
15 investment. Like any investment, we need to know  
16 its long-term effects. In many cases, simply  
17 screening and doing limited follow-up is not  
18 enough. Newborn screening saves lives, but I  
19 believe we want to understand the long-term  
20 physical, psychological, and social impacts in  
21 these lives so that we can continue to improve

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

81

---

1 them. Much less has happened in cystic fibrosis  
2 and in the separate field, pediatric oncology.  
3 With this background, I'd like to stress three  
4 things as the Committee considers changes.

5 I recognize that the Committee can't make  
6 these changes directly, but I think that you can  
7 set the vision. First, to achieve this goal of  
8 continuous improvement, we need to create a  
9 learning health system that starts with newborn  
10 screening patients. Simply put, the learning  
11 health system is where every activity leads to  
12 improvements. To do this, we need to have a  
13 culture of seeing virtually every patient as  
14 continuously contributing to research and quality  
15 improvement. Today, patient visits are recorded  
16 as transactions for patient care and billing.  
17 Changing our culture to where each visit and the  
18 time between visits provides data for research and  
19 quality improvement is hard, but it's needed. So,  
20 creating a vision of newborn screening and follow-  
21 up as the start of a learning health system is

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

82

---

1 point number one.

2           My second point is that we need to  
3 standardize our data, data collection processes,  
4 reporting, and analytics nationally so that we can  
5 make it efficient and electronic. If we do this,  
6 we can get the attention of EMR and other health  
7 information technology vendors who will build in  
8 these capabilities. But if each physician, each  
9 clinician, each children's hospital, and each  
10 state program persists in doing things their own  
11 way, we'll never get there, because EMR vendors  
12 and IT groups have many other important things to  
13 think about. So, national standardization is  
14 point number two.

15           My final point is that we need to get  
16 patients and parents involved and to provide them  
17 affordable and easy-to-use tools that they can use  
18 to contribute to this continuous research and  
19 quality improvement effort, and we need to foster  
20 their trust and support. I've read the law, and  
21 it's not the job of the Advisory Committee to do

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

83

1 what I've described. But I think it is the  
2 responsibility of the Committee to create a vision  
3 -- to create or recreate a vision of the future  
4 and advocate strongly for these three points;  
5 learning health system, data and process  
6 standardization, and patient/parent involvement.

7           It's said that a journey of a thousand  
8 steps starts with one step. Newborn screening has  
9 come a long way, and I deeply appreciate that  
10 certainly as a physician that I am. But we still  
11 have nearly a thousand miles to go. Let's take  
12 that first step today, and let's take it in the  
13 right direction. I hope that we can -- as we  
14 consider modifications to the candidate review  
15 process and the program, we can keep these three  
16 points in our vision. Thank you very much for the  
17 opportunity to comment, and you have a copy of  
18 this.

19           DR. CYNTHIA POWELL: Thank you, Dr.  
20 Schneider.

21           Next, we'll hear from Vikram Pansare.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

84

1 Are you on the line? No? Okay. We do have  
2 hopefully Heidi Wallis on the line. Are you ready  
3 to present?

4 MS. HEIDI WALLIS: Good morning.

5 DR. CYNTHIA POWELL: Hello.

6 MS. HEIDI WALLIS: I am.

7 DR. CYNTHIA POWELL: We can hear you.

8 Thank you.

9 MS. HEIDI WALLIS: Okay, great. Thank  
10 you. Hi. My name is Heidi Wallis, and I serve as  
11 the Vice President for the Association for  
12 Creatine Deficiencies. I also work for the Utah  
13 Newborn Screening Program. But today I would like  
14 to speak to you as a parent and advocate for  
15 children affected by GAMT deficiency in regard to  
16 the Nomination Review Process of New Disorders.

17 In May of 2015, I provided comments in a  
18 meeting where GAMT deficiency had been nominated  
19 for addition to the RUSP. In that same meeting,  
20 just minutes before, discussing GAMT, the  
21 Committee had voted to change the rules for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

85

1 considering any nomination for review. A key  
2 change was the addition of the requirement that a  
3 disorder could not be moved forward without a  
4 perspective find; 1) A baby identified at birth  
5 with the disorder through the process of a dried  
6 blood spot, tested alongside the general  
7 population. This small change resulted in GAMT  
8 not moving forward that day by one vote. Two  
9 years and four months later in New York in  
10 September of 2017, a beautiful and seemingly  
11 healthy baby girl was born. That baby's parents  
12 would go through an agonizing 19-month odyssey of  
13 begging doctors for answers as their daughter  
14 seemed to slip away before finally receiving their  
15 GAMT diagnosis this past spring of 2019.

16           GAMT is a degenerative disorder. The  
17 very best outcomes are only seen with children who  
18 receive treatment soon after birth. I know this  
19 firsthand, having a daughter diagnosed at 5, who  
20 is now 16 and intellectually disabled. She turned  
21 16 this past Sunday, and she believed that she

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

86

---

1 would then be allowed to start driving a car. But  
2 that will never happen. She will never live  
3 independently. She recently underwent an invasive  
4 surgery to try to stop her recurrent seizures,  
5 which have lately resulted in broken bones, holes  
6 in drywall, et cetera as she is now adult size.  
7 The impact of this disease never ends for her or  
8 for our family.

9           On the other hand, my son is 7 and has  
10 been treated from birth. He has a normal IQ,  
11 enjoys playing sports, reading books, and playing  
12 with friends.

13           I believe that the baby born in New York  
14 in 2017 was directly affected by the May 2015  
15 decision. New York is a very progressive state  
16 and they have voluntarily added GAMT to their  
17 panel this past fall. If GAMT had been added to  
18 the RUSP in 2015, there is a good chance New York  
19 would have moved even quicker to start screening.  
20 Just this one life would have been all worth it.  
21 Just that one vote we didn't get, all because one

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

87

1 perfective find hadn't happened yet.

2           I don't think babies were meant to be  
3 harmed when a rule is updated, but it's what did  
4 happen. I personally had to explain this to the  
5 mom from New York when she questioned RUSP and why  
6 her child had not been diagnosed at birth.

7           I tell you all of this to shed some light  
8 on the seriousness of the decisions made by this  
9 Committee, not to point fingers, but to ask you to  
10 please make a change. GAMT is indeed rare.  
11 Estimates typically range from 1 in a 125,000 to 1  
12 in 500,000. For comparison, I looked at some of  
13 the primary conditions recommended on the RUSP,  
14 and a few ultra-rare disorders stood out that  
15 appear to be even rarer than GAMT. BKT deficiency  
16 is estimated to occur at a rate of 1 in a million.  
17 HMG is "very rare" with fewer than 100 cases  
18 reported worldwide. TFP deficiency is extremely  
19 rare with the number of cases unknown. But we  
20 keep screening for this -- these disorders. Why?  
21 Because this is not about profit. It's not about

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

88

1 how we can get the most bang for our buck when we  
2 screen. It's about the core purpose of newborn  
3 screening. If we screen for this, will we  
4 potentially save a life? And when the answer is  
5 yes, we screen for it, and we keep screening and  
6 screening even if it takes years to find a baby.

7           In closing, I'd like to say that  
8 requiring a disorder to be first found in a baby  
9 prospectively is an unachievable requirement from  
10 very rare disorders when like in the case of GAMT,  
11 states like Georgia reviewed the evidence  
12 supporting the treatment of the disorder is  
13 simple, safe, and effective, and they want to add  
14 the disorder to a pilot, but the disease is rare.  
15 There aren't big bucks backing the disorder, and  
16 no one is able to fund the pilot, while the pilot  
17 never happens.

18           Our organization can't fund enough pilots  
19 in enough states to quickly find that baby we  
20 need. I remind you we are very rare, and this  
21 means small pockets. We already know a baby has

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

89

1 been missed since this requirement has been added.  
2 I would ask that you please consider removing the  
3 requirement for one perspective find from the  
4 requirement for a disorder to be moved forward.  
5 If this can't be agreed upon to be removed, please  
6 consider perhaps rewording it with a clause to  
7 consider robust population studies conducted  
8 invalidating assays as also acceptable evidence of  
9 the efficacy of testing for the disorder. This  
10 would be much more of a realistic ask for very  
11 rare disorder groups to fund.

12 Thank you for this opportunity to speak.

13 DR. CYNTHIA POWELL: Thank you, Ms.  
14 Wallis. We do appreciate your comments.

15 Given the time, although we're a little  
16 bit early, we'll break for lunch. But, first  
17 Catharine has some announcements.

18 DR. CATHARINE RILEY: Hi. Thank you.  
19 Just -- this is just a general reminder as we  
20 break for lunch. The café is just across the  
21 pavilion, and then if you exit the building,



**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

91

---

1 DR. CYNTHIA POWELL: Jane DeLuca.  
2 DR. JANE DELUCA: Here.  
3 DR. CYNTHIA POWELL: Carla Cuthbert.  
4 DR. CARLA CUTHBERT: Here.  
5 DR. CYNTHIA POWELL: Kellie Kelm.  
6 DR. KELLIE KELM: Here.  
7 DR. CYNTHIA POWELL: Michael Warren.  
8 MS. JOAN SCOTT: Joan Scott is sitting in  
9 for Dr. Warren.  
10 DR. CYNTHIA POWELL: Joan, okay. I'm  
11 here. Melissa Parisi.  
12 DR. MELISSA PARISI: Here.  
13 DR. CYNTHIA POWELL: Annamarie Saarinen  
14 MS. ANNAMARIE SAARINEN: Here.  
15 DR. CYNTHIA POWELL: Scott Shone.  
16 DR. SCOTT SHONE: Here.  
17 DR. CYNTHIA POWELL: Beth Tarini.  
18 DR. BETH TARINI: Here.  
19 DR. CYNTHIA POWELL: Catharine Riley.  
20 DR. CATHARINE RILEY: Here.  
21 DR. CYNTHIA POWELL: And for the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

92

1 organizational reps, Robert Ostrander.

2 DR. ROBERT OSTRANDER: Here.

3 DR. CYNTHIA POWELL: Debra Freedenberg.

4 DR. DEBRA FREEDENBERG: Here.

5 DR. CYNTHIA POWELL: Mike Watson.

6 DR. MICHAEL WATSON: Here.

7 DR. CYNTHIA POWELL: Steven Ralston. Jed

8 Miller.

9 DR. JED MILLER: Here.

10 DR. CYNTHIA POWELL: Susan Tanksley.

11 DR. SUSAN TANKSLEY: Here.

12 DR. CYNTHIA POWELL: Chris Kus.

13 Jacqueline, I think, is not. Jennifer Kwon.

14 DR. JENNIFER KWON: Here.

15 DR. CYNTHIA POWELL: Theresa Hart.

16 MS. THERESA HART: Here.

17 DR. CYNTHIA POWELL: Natasha Bonhomme.

18 MS. NATASHA BONHOMME: Here.

19 DR. CYNTHIA POWELL: Siobhan Dolan.

20 DR. SIOBHAN DOLAN: Here.

21 DR. CYNTHIA POWELL: Amy Gaviglio.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

93

1 MS. AMY GAVIGLIO: Here.

2 DR. CYNTHIA POWELL: Georgianne Arnold.

3 DR. GEORGIANNE ARNOLD: Here.

4 DR. CYNTHIA POWELL: Thank you. All  
5 right.

6 DR. CYNTHIA POWELL: So, this afternoon,  
7 we're going to be discussing the RUSP Conditions  
8 and Evidence Review Process, and what we've  
9 discussed thus far, what we plan on discussing  
10 today, and the next steps.

11 So, I wanted to go through a little bit  
12 about our approach to this and the timeline. As I  
13 said, today we'll be focusing on the systematic  
14 evidence-based review continuing our discussion on  
15 that, the principles of evidence review have  
16 evolved, and we need to determine whether changes  
17 need to be made. This review of the Committee's  
18 current evidence-based review process includes how  
19 evidence and information are gathered for the  
20 evidence review, the types of data and information  
21 included, how the evidence is graded and presented

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

94

1 to the Committee, and the appropriate method for  
2 determining the strength of evidence. It also  
3 includes a look at the decision matrix and the  
4 decision-making process. Our aim is to update the  
5 decision-making framework with the latest  
6 approaches for using evidence to successfully  
7 develop public health policies.

8           As you may remember from the April  
9 meeting, we're focusing our review on four main  
10 areas; the nomination, the systemic evidence-based  
11 review, the decision matrix, and the current  
12 conditions on the RUSP review.

13           In April, the Committee discussed case  
14 definitions at the start of the review process and  
15 the need to standardize terminology regarding  
16 primary and secondary targets and incidental  
17 findings pre-specifying outcomes and the use of  
18 intermediate outcomes such as biomarkers. The  
19 range of treatments that should be included;  
20 grading the evidence, identifying and synthesizing  
21 unpublished evidence and data. Today, we'll focus

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

95

1 our discussion on the systematic evidence-based  
2 review process, and in November, we'll discuss the  
3 decision matrix and the decision-making process.  
4 And in February of next year, we'll review the  
5 nomination process.

6           After the panel presents on the  
7 components of the current evidence review process,  
8 we'll have a discussion on the approaches to  
9 assess cost, implement population level modeling,  
10 and assess the impact on the public health system.  
11 We'll discuss a potential addition to the review  
12 process after the break, the assessment of values  
13 and the role this information could play in the  
14 decision-making process. As you listen to Dr.  
15 Kemper and his team present today, please be  
16 thinking about ways in which the methods used and  
17 data included in the evidence review can be  
18 modified to better inform the Committee's  
19 deliberations and decisions.

20           Okay. And Dr. Kemper and a panel of  
21 experts in the field will provide an overview of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

96

---

1 the current Evidence Review Process, and I'd like  
2 to invite Dr. Kemper up to the podium.

3 DR. ALEX KEMPER: Thank you. Dr. Powell,  
4 thank you for setting the stage for what we're  
5 going to do this afternoon. And so, really what  
6 I'm going to do is tee up some of the decisions  
7 that we've made based on the last presentation  
8 that we had and talk about things that we need to  
9 do moving forward. But the real meat of the  
10 presentation during this part is going to come  
11 from first Dr. Lisa Prosser talking about modeling  
12 -- most it closer, okay. I'll try to be a little  
13 louder. How's that? It's amazing what happens  
14 when you speak into it. So, Dr. Lisa Prosser is  
15 going to kick things off by talking about the  
16 modeling, and then Jelili Ojodu is going to come  
17 and talk about the Public Health System Impact  
18 Assessment and where the opportunities are there.  
19 An important component of that is the cost  
20 analysis, which we, you know, certainly have  
21 discussed in the past, but Dr. Scott Grosse is

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

97

---

1 going to come up and present where things stand  
2 and also some options with that moving forward.  
3 So, in this first part of the presentation, I'm  
4 just going to tee things up and, of course, I'd be  
5 remiss if I didn't thank K.K. Lam for all the work  
6 that she does on behalf of this.

7           So, our overall project objective is to  
8 look at the evidence-based process leading up to  
9 the addition of a condition to the RUSP or at  
10 least consideration for addition to the RUSP, and  
11 identify ways to improve the process.

12           So, as I talked before, I'm just going to  
13 give an overview of the process reason for  
14 updating things. I'm going to recap decisions  
15 that we've been made -- that have been made and  
16 then we're going to do this deep dive into the  
17 modeling and the Public Health System Impact  
18 Assessment, of which cost is an important  
19 component.

20           So, just to remind you, back in February  
21 of 2019, we had an Expert Advisory Panel, which

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

98

---

1 considered the full range beginning from  
2 nomination through the evidence review process,  
3 the decision making, and as part of that, there  
4 was a consideration -- there was a discussion of  
5 consideration of how to review conditions that are  
6 already on the RUSP. Again, we're not going to be  
7 talking about that part today. Our goal is to  
8 have a summary report based on all that by March.  
9 And we're having, at these meetings a series of  
10 facilitated discussions. So, in the March 2019  
11 meeting, we provided an overview of what the  
12 Expert Advisory Panel said and then in the April  
13 meeting -- the meeting we had just previous to  
14 this -- we talked about the systematic evidence  
15 review process. Today, we're going to be talking  
16 about decision modeling and the Public Health  
17 System Impact Assessment, cost assessment, and  
18 then after potentially a break that is much  
19 needed, we will talk about values. Then in  
20 November, we're going to talk about the decision  
21 matrix, and then that will lead us into February,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

99

1 where we can talk about review of the conditions  
2 already on the RUSP as well as the nomination  
3 process.

4           So, I present this just so you have a  
5 good sense of where the train is going.

6           So, as everybody in this room listening  
7 to the webinar understands the evidence-based  
8 reviews are enshrined within the Newborn Screening  
9 Saves Lives Reauthorization Act, and included in  
10 that is the requirement that the Advisory  
11 Committee shall evaluate the public health impact  
12 including cost of expanding newborn screening, and  
13 then I'll also remind everyone there is this 9-  
14 month process from when a condition is handed off  
15 to when a vote first comes up. Now, I say 9  
16 months, but it's actually a little bit less than 9  
17 months based on the cadence of when the meetings  
18 are and when things get handed off. So, in  
19 reality, it's probably closer to like 7 months  
20 than 9 months, but given the language in the law,  
21 I have 9 months written here.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

100

---

1           So, the -- the three components include  
2 the evaluation of evidence of clinical  
3 effectiveness and net benefit, which again we  
4 talked about extensively, the public health impact  
5 assessment, which gives a population-level  
6 perspective, and again Dr. Prosser is going to  
7 talk about this in her modeling, and then there's  
8 the public health impact assessment side of things  
9 which looks at the newborn screening program side  
10 of things in terms of feasibility, readiness, and  
11 also the cost of this program expanding screening,  
12 and again Scott Grosse is going to talk about what  
13 we mean by this issue of cost and what we can get  
14 to.

15           So, I share this slide just to give you a  
16 sense of the timing of the various components  
17 broken into the three-month parts, and again, you  
18 know, it's sort of optimistically listed as nine  
19 months, but in reality it's not. The key takeaway  
20 from this slide is there are certain components  
21 that are dependent on other components. So, for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

101

---

1 example, the modeling that Dr. Prosser is going to  
2 talk about depends upon having a good  
3 understanding of the evidence that's out there to  
4 be able to build the model. So, not each  
5 component of the process can begin at the same  
6 moment because of this dependency.

7           This is just another way of breaking out  
8 the timing and the point to make here is that we  
9 have things set up so that there is an interim  
10 Advisory Committee meeting where we can present  
11 what we have learned so far, and that gives us an  
12 opportunity beyond just working with the liaisons  
13 from the Advisory Committee who are involved in  
14 the review process, but the whole group to see if  
15 what we are doing meets the needs of the upcoming  
16 vote or whether or not we need to modify anything.

17           So, I'm going to go through and just talk  
18 about the decisions made around the Systematic  
19 Evidence Review Process, and then I'm going to be  
20 handing off, like I talked about before. I think  
21 this presentation is going to work best if at the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

102

---

1 end of these major sections that are going to be  
2 presented, if there are clarifying questions, I  
3 think we ought to put them up. But in terms of  
4 the more detailed considerations, because  
5 everything sort of depends upon each other, I  
6 think it makes sense to wait until all the  
7 component presentations are done. Does that make  
8 sense to you all? Okay, good.

9           So, in terms of recap of the  
10 recommendations that we've gotten from the case  
11 definition, we got good advice about how to be  
12 more streamlined and focused on that. From the  
13 health outcomes that we look in the evidence  
14 review process, we have developed over time the  
15 standard prespecified outcomes as well as  
16 condition-specific outcomes that we'll be able to  
17 identify earlier on in the process. We will be  
18 more clear about the issues of time horizons for  
19 outcomes. And then, we will -- we've also  
20 developed ways to be more clear about the key  
21 treatments that we need to look at, which, you

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

103

1 know, can be pharmaceutical treatments or non-  
2 pharmaceutical treatments. They can be specific  
3 for the condition or could be nonspecific, and by  
4 that I mean more sort of broad, supportive  
5 interventions. We can look across all those  
6 different types of interventions. But, the  
7 important thing is just making sure that we  
8 identify them early enough in the process so that  
9 we can evaluate them. We have a quality --  
10 quality appraisal process that's based on looking  
11 at each individual question in the evidence review  
12 as well as -- I mean looking at each article as  
13 well as across the -- the particular key question,  
14 and that was based on grade, which we talked about  
15 before. And then, we have more clear ways of  
16 handling the gray literature. Again, this are all  
17 things that we talked about at the last meeting.

18           So, with that by background, and again, I  
19 just really wanted to make sure that everyone  
20 understood what has come before as we transition  
21 to talk about new issues in the evidence review

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

104

---

1 process. I'm going to ask Lisa Prosser to come up  
2 here, and as she does, if anybody has any  
3 clarifying questions on our approach in terms of  
4 what things we're looking at or on the evidence  
5 review process, otherwise we can dig into it more  
6 later. I'm going to go really quickly since I  
7 don't see any hands up and I've learned the art of  
8 stepping away before they do come up.

9 DR. LISA PROSSER: All right. Great,  
10 thanks Alex. Terrific. Properly named here,  
11 right, identifiable grade. Thanks very much.  
12 Well, thanks everyone for an opportunity to talk  
13 about our population -- population-level estimates  
14 here today. So, can everybody hear me okay?

15 So, I'm going to start before I jump into  
16 the slides just by giving a little bit of a  
17 background as to why we're doing decision analytic  
18 modeling as part of the evidence review process.  
19 When you think about similar processes for other  
20 types of evidence review in other areas of public  
21 health or evaluating health interventions, that it

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

105

---

1 is typically a more traditional evidence review  
2 process where we evaluate what evidence is out  
3 there, sometimes including the gray literature,  
4 and then we'll summarize that and report that to  
5 the Advisory Committee.

6           In 2011, we took a pause here -- this  
7 Committee and the Evidence Review Group -- after  
8 there had been a number of conditions that had not  
9 moved forward due to a lack of sufficient  
10 evidence. So, there was a determination made that  
11 it wasn't beneficial to potentially screen for  
12 these conditions, but the determination was that  
13 there was insufficient evidence to decide one way  
14 or the other. So, at that point, we took a pause  
15 and evaluated other methodologies that we could  
16 incorporate into the evidence review process to  
17 make the best advantage of the evidence that we  
18 did have available for these very rare conditions.

19           What we decided to do was incorporate  
20 decision analytic modeling or decision modeling or  
21 simulation modeling -- I'll use those terms

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

106

---

1 interchangeably during this presentation -- which  
2 is a systematic approach to decision making under  
3 conditions of uncertainty, and I'll give an  
4 example in a few slides of how we've used that in  
5 evaluating past conditions.

6           More broadly across the evaluation  
7 spectrum, it can be used to simulate randomized  
8 control trials, for example, for drugs that have  
9 not been tested head-to-head, but we'd like to  
10 simulate that head-to-head trial for new  
11 interventions to project estimates beyond the  
12 trial time frame and that is certainly something  
13 that we've done here to compare treatment  
14 protocols also, not directly compared in head-to-  
15 head trial, but also to evaluate in creating  
16 assumptions of how those interventions might  
17 perform in populations beyond which the clinical  
18 trial or the study data are available for, which  
19 is another option that we've used here.

20           Overall, our goal when using decision  
21 modeling is to identify which alternative or which

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

107

---

1 strategy, and here we're comparing population-  
2 level screening compared to no screening or  
3 clinical identification, which one is expected to  
4 yield the most public health benefit.

5           We can also use decision modeling, and  
6 we've done that here, to characterize  
7 uncertainties in the data, understanding the long-  
8 term clinical and economic outcomes and what is  
9 the range of uncertainty around those estimates,  
10 as well as where are the key data gaps. So, when  
11 we're conducting the analysis as we vary those  
12 parameter inputs, we have many uncertainties, and  
13 the level of evidence that's driving those  
14 assumptions, and we can identify where, looking  
15 down the road if we wanted to invest in terms of  
16 additional research data collection, that those  
17 would likely yield the most benefit in terms of  
18 narrowing those -- those intervals.

19           And so, how we've applied it here to the  
20 condition reviews is narrowly to estimate the  
21 range of health outcomes expected for universal

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

108

---

1 newborn screening for a specific condition  
2 compared to clinical detection, and based on a  
3 very specific case definition that's the objective  
4 of newborn screening, and so we'll talk about that  
5 in a couple of slides. And so, we project  
6 estimates based on a US birth cohort of 4 million  
7 children, the projected number of cases of the  
8 condition detected at birth through newborn  
9 screening compared to clinical identification, as  
10 well as projected health outcomes, so deaths  
11 averted, cases of ventilator dependence avoided,  
12 other potential health benefits, if we have enough  
13 data to do that.

14           So, just a brief overview of our current  
15 approach is that for each of the conditions since  
16 2011 that have been evaluated, we've developed a  
17 simulation model. This has been done  
18 collaboratively with a technical expert panel that  
19 represents national experts in the clinical  
20 condition, and we also typically have liaison  
21 members from the Advisory Committee who are part

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

109

---

1 of the Evidence Review Group, and we develop a  
2 structure for the model, develop input parameters,  
3 identify what the key outcomes are, and often  
4 that's an iterative process that revises the  
5 analytic model as well as the assumptions along  
6 the way. Typically, we, as with any type of  
7 model, we start with a more complex model and then  
8 as we evaluate the evidence, we typically prune  
9 that to reflect the evidence that we have that's  
10 available.

11           So, I'm going to give an example of using  
12 SMA of how we apply this to evaluate the target  
13 population, specifically focusing on one type of  
14 SMA, the intervention, so looking at newborn  
15 screening and applying the data that we have to  
16 presymptomatic infants where we had primarily data  
17 on the treatment of symptomatic infants. The time  
18 frame in this case was only one year, and we're  
19 using this as an example because it really  
20 illustrates some of the questions that came up  
21 during the Expert Advisory Panel that we held in

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

110

---

1 March of this year, and the key health endpoints  
2 here were mortality and ventilator dependence.

3           And if you think about simulation  
4 modeling more broadly, typically many of the  
5 models that we have are much more complex than  
6 this, but here, the intent is really to keep the  
7 models as simple as possible so that the  
8 assumptions are easily understood that this --  
9 this analysis can be completed within the time  
10 frame that's required. One of the areas that  
11 would be very advantageous, we've talked about it  
12 on this committee before and Scott Grosse will be  
13 talking about in a little bit, is the addition of  
14 cost and how we might potentially be able to  
15 incorporate that into this analysis.

16           So, this slide here just shows an example  
17 of the -- of the simulation model for SMA. As you  
18 can see, we've defined all the health states. I  
19 will not go through this in detail, but just to  
20 state that as we build this model, we reflect the  
21 structure back working with the Technical Expert

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

111

---

1 Panel. We typically meet with them several times  
2 throughout the process. During that interim  
3 meeting with the Advisory Committee, we'll present  
4 the preliminary model. Here you can see all the  
5 health states that are involved. We define the  
6 health states, we define the outcomes, and then  
7 every single arrow on this model represents a  
8 probability that must be estimated. And so,  
9 sometimes we have so little data that we're  
10 actually varying that probability potentially all  
11 the way from zero to 1. Typically, we have some  
12 evidence that we can narrow that down, but it's  
13 important to keep in mind as we build these  
14 models, we're applying probabilities to each one  
15 of those arrows that's represented in the model.

16 So, this slide shows the results from  
17 that specific example of SMA. So, comparing in  
18 the middle column, again, this is assuming a  
19 healthy annual newborn cohort of 4 million, not at  
20 higher risk of SMA. The target for screening that  
21 was agreed for in terms of the analysis was Type I

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

112

---

1 SMA, understanding that there are likely to be  
2 benefits for other types of SMA, but that was not  
3 the focus of the evidence review or the simulation  
4 model that we were focused on the specific  
5 category of the disease that was likely to benefit  
6 the most from newborn screening.

7           And so here, we're able to project  
8 estimates both for the number of newborns that  
9 would be identified in total in newborn screening  
10 compared with clinical identification. I think  
11 what's interesting to note for SMA is that for  
12 newborn screening clinical identification, the  
13 assumption was that the number of newborns that is  
14 identified would be the same. We often observe an  
15 increase in detection under newborn screening  
16 compared with clinical identification, and  
17 typically that's something we would incorporate  
18 into the modeling and can give us an estimate of  
19 what the range of those benefits are likely to be,  
20 depending on how much that varies across  
21 conditions.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

113

---

1           I'm not going to go through these in  
2 detail, but just to note that in parentheses, that  
3 represents the range of results -- the uncertainty  
4 around these results for the condition. In terms  
5 of longer-term health outcomes for SMA, we only  
6 had one-year outcomes that we were able to model,  
7 and so there were -- we modeled a substantial  
8 model of deaths averted as well as ventilator-  
9 dependent cases that were averted. I think in the  
10 context of the conversation today, important to  
11 note that this is the shortest time frame that we  
12 modeled in any of the conditions that we've  
13 modeled so far. For most of the conditions we've  
14 modeled, we've been able to model several years of  
15 data, for some up to age 8, and for one condition,  
16 through age 15.

17           So, just in terms of the summary, so  
18 again the goal of the decision analysis is to  
19 project population-level health outcomes and also  
20 to identify what that range is given the best  
21 available evidence that we have. And so here

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

114

---

1 we're able to identify the number of cases as well  
2 as the number of Type I cases, and identifying  
3 that there would be both reduced deaths in cases  
4 of ventilator dependence for newborn screening  
5 compared with clinical identification, and again  
6 noting that there are additional benefits, and  
7 this can be part of the discussion but was not  
8 part of our specific modeling exercise.

9           Important to note and one of the areas of  
10 conversation that came up repeatedly during the  
11 modeling of SMA was that we only had 52 weeks of  
12 treatment effectiveness data as well as for the  
13 new natural history. So, trying to estimate what  
14 the long-term outcomes would be for newborns that  
15 were screened and then treated pre-  
16 symptomatically, we had overall very little data  
17 in terms of modeling those that combined the  
18 clinical trials represented on not quite 200  
19 patients, and again 52 weeks was the longest time  
20 frame that we had within those data sets. And so,  
21 just to understand that there's a lot of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

115

---

1 uncertainty around long-term outcomes for that and  
2 compared to other conditions, it's fair to say it  
3 seemed as if we were kind of on the edge of having  
4 enough evidence to model or not. And so, that was  
5 a discussion that we had during the EAP meeting in  
6 March is considering the availability and type of  
7 evidence on the condition, can we do this before  
8 the evidence review to make a determination as to  
9 whether there is sufficient information to  
10 complete all of the parts of the evidence review  
11 including the population-level modeling, or if it  
12 might be necessary to go forward with the evidence  
13 review but insufficient evidence for the -- for  
14 the population-level estimates. And where we came  
15 out -- and just to note, I think, you know, Alex  
16 covered this in the last meeting talking about a  
17 systematic method for including assessing  
18 unpublished or expert derived evidence as part of  
19 the overall process.

20           So, I mean, in the context of the  
21 evidence review process here that we -- we are

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

116

---

1 always working with rare disorders. I mean, that  
2 is the definition of what we're doing here, and  
3 the evidence base will always reflect that. We'll  
4 have small studies, we'll have single-arm studies,  
5 and that's why we're using decision modeling as an  
6 approach to evidence synthesis to be able to  
7 really make the best advantage of the data that we  
8 do have, and we do need to rely on the gray  
9 literature and expert input for modeling  
10 assumptions.

11           But what has been observed is over the  
12 last few years that more recently nominated  
13 conditions are being nominated for the RUSP  
14 earlier in that pathway of, you know, where the  
15 treatment is in terms of the level of evidence, so  
16 that there is a lower evidence base at the time of  
17 the nomination, and a recognition that modeling  
18 may be feasible for some nominated conditions  
19 depending how soon that happens.

20           So, we had an expanded discussion about  
21 whether we could think about a criteria for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

117

---

1 determining at the time of the nomination if it  
2 was -- if there would be sufficient evidence to  
3 conduct modeling or not, and where we came out was  
4 that it's -- it would be difficult to define a  
5 specific set of criteria because of the  
6 variability of the types of evidence that we use  
7 in this process and because for every condition,  
8 it's going to be a different combination of types  
9 of studies, sample sizes, et cetera. But that  
10 what we would recommend going forward is just to  
11 ensure, as we typically do -- we strive to do, is  
12 that there's transparency during the model  
13 development, that we have an open conversation  
14 about summary tables of the studies that are being  
15 used in the model, ongoing active communication  
16 with the Advisory Committee. If, during the  
17 review process, it turns out there may not be  
18 enough evidence, that we would have that  
19 conversation that we may need to forego modeling  
20 for some of the condition review processes and to  
21 discuss what that means for the Committee process.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

118

---

1           So, I'm going to pause there. I'm happy  
2 to take clarifying questions at this point, and  
3 then I'll turn it over to Jelili.

4           MR. JELILI OJUDU: Good afternoon,  
5 everyone. Let's see here. So, in continuing on  
6 with the conversation here as noted a number of  
7 times today, as part of the Newborn Screening  
8 Saves Lives Act or the Reauthorization of the  
9 Newborn Screening Saves Lives Act, there was a  
10 particular line that included the evaluation of  
11 the Public Health System Impact of all of the new  
12 conditions that are added to the Recommended  
13 Uniform Screening Panel, and that's where our  
14 lives started to change a little bit.

15           The purpose of the Public Health System  
16 Impact ideally is to get a sense from the newborn  
17 screening committee and stakeholders including  
18 advocacy groups about the difficulties -- well,  
19 let me take that back -- the opportunities,  
20 challenges, and other kinds of implementation  
21 barriers as to what states may be facing when

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

119

---

1 they're adding new conditions to their own state  
2 panels. Describing the overall feasibility and  
3 readiness of adding a new condition, which I'll  
4 talk about in a little bit, and then describing  
5 the cost and, you know, no better person to talk  
6 about cost than Dr. Grosse. So, I'll talk briefly  
7 about that, and he has a number of slides that  
8 he's going to highlight on cost prospectively,  
9 retrospectively, and some of the things that we're  
10 thinking about in the future.

11           So, how do we do all of the things that  
12 we do related to the Public Health System Impact  
13 as part of the Evidence Review Workgroup  
14 activities? It's first gathering a good amount of  
15 information. Now, let's step back for a minute.  
16 Most of the states that are thinking about adding  
17 a new condition -- in this case, conditions that  
18 have been nominated to be added to the RUSP -- are  
19 not actually screening for those conditions. And  
20 so, they don't have enough information regarding  
21 testing, everything related to the implementation,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

120

---

1 treatment. So, in essence, we developed a number  
2 of informational fact sheets that we gather  
3 working with a number of states, and there are a  
4 few states that will normally start screening for  
5 these conditions whether in pilot stage or  
6 actually mandating the screening for one of these  
7 conditions before it's nominated to the RUSP.  
8 Gather that information and work with a number of  
9 folks to be able to provide that to state newborn  
10 screening programs in the form of webinars --  
11 informational webinars that contain, among other  
12 things, the cost of testing, testing modalities  
13 and methodologies, how much it cost to be able to  
14 start the implementation kind of activities,  
15 whether it's the laboratory, reagents, short-term  
16 follow-up. We don't get too much into the  
17 treatment aspect; however, we do note exactly, you  
18 know, some of the -- the path or, you know, some  
19 of the activities related to in fact what the  
20 folks at ACMG then put into their own factsheets  
21 for those new conditions as they come up.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

121

1 I'm going to take you another step  
2 backwards. Dr. Kemper briefly mentioned that  
3 although we have a stipulated mandate to be able  
4 to do all of this great work in nine months, and  
5 he said it really is seven months, from our  
6 perspective, it's actually less than that, and  
7 I'll highlight some of the reasons why, whether  
8 it's administrative or, you know, how we are able  
9 to gather all this information and informing our  
10 members, state newborn screening programs, and  
11 making sure that they can then respond back in the  
12 surveys that they provide to us information on --  
13 hypothetical information on how they would screen  
14 or bring on a new condition into their state  
15 panels.

16 When we survey states or anytime I say  
17 survey states, it's 53 newborn screening programs,  
18 so 53 states, Guam, Puerto Rico, and the District  
19 of Columbia, we would administer and this -- it  
20 takes a village to do these kinds of things -- an  
21 online survey and distribute it to all of the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

122

---

1 state newborn screening programs to be able to get  
2 a sense of how feasible it is to be able to bring  
3 on a new condition. The hope -- and we stress  
4 this a number of times -- is that the newborn  
5 screening program directors -- it could be at a  
6 laboratory level or program level, at follow-up,  
7 newborn screening lead -- distributes the survey  
8 extensively throughout their own state newborn  
9 screening system, and I'll talk a little bit about  
10 that in a minute as well. And then, we also do  
11 follow-ups, so these surveys normally take -- we  
12 survey our members to death, and sorry about that,  
13 this is important, and we try to emphasize why as  
14 part of ACHDNC consideration in adding a new  
15 condition, why members should be providing  
16 information back to us.

17           It normally takes about four weeks --  
18 four to six weeks to be able to get 40 to 60  
19 percent of the states to respond to the survey.  
20 It takes another two to three weeks to follow up  
21 calling, saying hello, please complete the survey

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

123

---

1 in question to state newborn screening programs,  
2 and then for the remaining states that haven't  
3 either responded to us, we find other means to be  
4 able to get them to do -- complete that survey.  
5 And as part of the overall activities, analyze the  
6 survey and final report. This is to all newborn  
7 screening programs, as I noted.

8           We also do an in-depth overview of  
9 follow-up activities to newborn screening  
10 programs, for lack of a better word, early  
11 adopters, those one, two, or three states that  
12 brought on the condition, whether in pilot stage  
13 or they're almost at the point of bringing the  
14 condition to their state newborn screening panel  
15 or have a mandate to screen for those conditions,  
16 you know, and extensive in-depth kind of overview  
17 about their processes. This helps a great deal to  
18 better understand their own newborn screening  
19 system, which, I think, is very helpful to a  
20 number of states.

21           I should note though that for the most

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

124

---

1 part, although the information that we gather from  
2 states that are early adopters to bring on a new  
3 condition is helpful, it is not necessarily  
4 transferable to every state newborn screening  
5 program, because there are a number of nuances  
6 that make each state different. We anonymously  
7 provide this information to you all and make sure  
8 that folks know that we have their best interest  
9 in hand in sharing and responding to, you know,  
10 the needs of the Evidence Review Panel here.

11           It's been mentioned quite a bit today  
12 about the meeting that occurred I think in  
13 February of this, the Expert Advisory Panel, and  
14 they -- we met here in HRSA, a group of folks, and  
15 they came up with a number of issues or things  
16 that we should consider as part of our Public  
17 Health System Impact as we move forward, and these  
18 are observations of Public Health System Impact  
19 that we've done for at least the last four  
20 conditions, Pompe and PSI, X-ALD, and SMA. I get  
21 up here, I present the results of the survey, and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

125

---

1 I almost always tell you that it will take about a  
2 year to three years for these conditions to be  
3 added to state newborn screening programs. But,  
4 in fact, that's -- at least from this group's  
5 perspective -- it wasn't informative, and there is  
6 good reason to actually understand that. The  
7 understanding of the burden of sub-specialties  
8 when it comes to either true positives or the  
9 false positives is something that I think we need  
10 to do a better job of either pulling out of our  
11 survey or asking from our state public health  
12 programs when they are providing information back  
13 to us, the need to better make sure that states  
14 are pushing this information out to all of the  
15 folks in their newborn screening systems. And  
16 again, you know, some states may not have actually  
17 reached out to some pertinent sub-specialty that  
18 will be involved in their newborn screening system  
19 when these conditions are being considered. And  
20 so, you know, it's something to consider for sure.

21           The long-term aspects -- long-term

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

126

---

1 follow-up aspects of some of the things that we do  
2 are not known certainly by the time we're creating  
3 this informational packet, and certainly for many  
4 years afterwards, it's something that we are still  
5 learning.

6           And finally -- and this was just a few of  
7 the things that were raised by the evidence -- by  
8 the Expert Advisory Panel -- and it's something  
9 that we've heard from a number of states, what is  
10 the -- how is the Public Health Impact -- System  
11 Impact information either used to consider or make  
12 that final decision on adding a condition, and  
13 what is that impact of that public health system  
14 information that we are providing? I think there  
15 are a number of states that from time to time ask  
16 us that question, and I think it's something that  
17 we would certainly need to do a better job of  
18 translating to them and also getting guidance from  
19 you all Committee members.

20           So, I noted a few times the hypothetical  
21 aspect of a survey and asking a question, what

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

127

---

1 will it take or how long will it take or what do  
2 you need to be able to add a new condition to your  
3 panel. This assumes that a state newborn  
4 screening program has the authority to actually do  
5 or screen babies, which is something that is --  
6 there are a number of things that have to happen  
7 before they get that authority to screen, and then  
8 this question asked, you know, after all of that,  
9 what are those hypothetical feasibility and  
10 readiness kinds of aspects including funding,  
11 which almost always is going to be a barrier.  
12 But, you know, the legislative processes, I think  
13 there are -- the majority of states actually have  
14 to have a legislative mandate to be able to screen  
15 for a condition, and without that, the questions  
16 that we are asking, you know, need to be either a  
17 little bit more clear or we have to have -- we  
18 have to at least go in with this -- knowing this  
19 limitation and expecting or not expecting too much  
20 when it comes to the final results and how that  
21 shapes our thinking for the next one, three, or

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

128

---

1 many years that it will takes states to be able to  
2 add a condition.

3           I'm not going to talk too much about OMB  
4 at this point, other than the fact that when  
5 you're surveying a number of states, we do have a  
6 process in play where we are -- we've been able to  
7 have a broad survey -- electronic survey that we  
8 send out to state newborn screening programs for  
9 any one of the conditions that are added to the  
10 RUSP. To change anything in a survey at that  
11 level takes a longer time than -- than the nine  
12 months that we are expected to come back with  
13 results from the Public Health Systems Impact.  
14 So, you know, think about the -- the different  
15 conditions and how each of them have unique  
16 characteristics when it comes to state newborn  
17 screening programs. Our survey, while we have  
18 worked on improving it, is somewhat limited in the  
19 kind of information that we can get back.  
20 Revision is underway and already completed.

21           So, I don't want to sound all doom and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

129

---

1 gloom here. This, in fact, survey does help to  
2 inform you all, and I do have one slide that  
3 actually shows a good amount of information that's  
4 been collected over the last several years. As  
5 part of NewSTEPS, we collect information related  
6 to states readiness to be able to implement a new  
7 condition, and we are looking for -- at this  
8 point, we are in the process of finding ways to be  
9 able to incorporate things that we collect as part  
10 of NewSTEPS related to this readiness tool ideally  
11 to be part of the, you know, the Public Health  
12 System Impact Survey, and being that states are  
13 already providing us with this information for  
14 conditions that are being either considered or  
15 added to the RUSP. We have made changes to the  
16 survey in question including the interview  
17 questions over the last year and a half that I  
18 think will help improve and enhance what we  
19 currently do. And again, it's encouraging -- we  
20 want state screening programs to be able to make  
21 sure that they share this -- the Public Health

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

130

---

1 System Impact Survey with all of the stakeholders  
2 in their newborn screening program.

3           There's a lot of information here. I'm  
4 not sure it's -- the only thing we're highlighting  
5 here is if you can see the left-hand side, at  
6 least that -- that side on the left-hand side.  
7 That's our -- just the snapshot of our -- the  
8 beginning of our survey that expired in 2018 and  
9 again, it's, you know, how long would it take to  
10 achieve the following assuming that condition "x"  
11 is added to your state newborn screening panel if  
12 allocations or if funds were available. That "if"  
13 makes a big difference there. One year or less,  
14 one to three years, or three years or more. Fast  
15 forward to what we have right now, that is going  
16 through the system, and I think hopefully will be  
17 approved. We've broken that timeline down into a  
18 little bit more that I think we'll be able to  
19 better understand. In fact, you know, if it takes  
20 a little bit less than a year, and the timeline is  
21 in months there. That will be hopefully more

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

131

---

1 informative as we move forward.

2           So, potential recommendations and  
3 solutions. I think we have worked hard to be able  
4 to describe two state newborn screening programs  
5 and the process of, in fact, obtaining the  
6 legislative approval. I think we need to do more  
7 here, but -- and then, as it relates to the  
8 condition nomination team, it probably will be  
9 helpful to be able to get some kind of long-term  
10 strategies in helping us and you all better  
11 understand how to move forward, especially noting  
12 some of the limitations that I mentioned earlier.

13           So, these are the last four conditions  
14 that have been added to the Recommended Uniform  
15 Screening Panel, the dates that they were  
16 nominated to or they were nominated to the -- to  
17 be added, the decision matrix number that followed  
18 after the decision was made, the date that the  
19 condition was added. I talked earlier about  
20 reaching out to state newborn screening programs  
21 to be able to get a sense of how population

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

132

---

1 screening works when those early adopters are  
2 screening.

3           At the time of screening -- at the time  
4 of bringing on a new condition for any one of  
5 these three conditions, as you can see, the most  
6 number of states that were screening that  
7 condition, whether as a pilot or mandate was  
8 three. So, the majority of states, 95 percent or  
9 more, weren't.

10           Let's fast forward a year to three years  
11 and see how many states were screening for those  
12 conditions. Most, again, is about nine of the  
13 fifty-three newborn screening programs, and in  
14 fact, it's the last condition that's been added to  
15 the RUSP incidentally. Three years out, there are  
16 about eighteen states that are screening for the  
17 majority of those conditions -- the majority of  
18 the three -- of the four conditions that have been  
19 added to the RUSP, or wait, and then years and  
20 then how many conditions are screened -- how many  
21 states are screening today. Oh, today is August

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

133

---

1 1st, yes. Approximately twenty states are  
2 screening for these conditions as it relates to  
3 their state newborn screening programs today.

4           Now, there are a number of caveats here.  
5 I'm not sure if we will be able to have these many  
6 states screen for these conditions if it wasn't  
7 for implementation funding from a number of feds  
8 around the table, whether it's NIH, CDC, or  
9 indirectly through HRSA. A majority of the states  
10 that are screening for any one of these four  
11 conditions actually got funds with IDIQ funds from  
12 NIH or implementation money to be able to support  
13 their screening there. Just something to think  
14 about. Then, the uniqueness of every condition  
15 that has been added to the RUSP.

16           I see that part of my slide set was taken  
17 out there, but the last column talked briefly  
18 about the -- the vote for each condition as it  
19 relates to the -- the ACHDNC recommendation and  
20 the Committee's vote, and there is a variability  
21 in the number of -- in votes. I don't think we

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

134

---

1 have had a unanimous vote for any one of the  
2 conditions that have been added to the RUSP -- at  
3 least not yet -- and as we move forward with  
4 adding new conditions, keeping in mind that this  
5 is what we have gotten through at least for the  
6 last conditions that have been added. I think it  
7 will be very important to be able to at least set  
8 the stage and understand the challenges and  
9 opportunities that we have facing state newborn  
10 screening programs when it comes to adding  
11 conditions and how long it may take them to do so.

12           So, with that, I'm going to have Scott  
13 come and talk a little bit about cost.

14           DR. SCOTT GROSSE: Thank you, Jelili.  
15 Thank you. I'm going to start by talking about  
16 the processes used for the SMA cost assessment.  
17 Previous conditions used different approaches.  
18 There was a Cost Assessment Workgroup that met and  
19 came up with the recommendations for a new  
20 approach. That tool that was developed asks for  
21 states that have started screening or about to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

135

1 screen one of the proposed conditions to come up  
2 with costs for separate components; the staff  
3 time, equipment, reagents and other disposables,  
4 and facility overhead and space, and then the  
5 information from those states that are able and  
6 willing to share is then pulled and reported in  
7 aggregated form. The other costs can be reported,  
8 but the focus is on the direct costs of screening  
9 and the confirmatory testing.

10           So, SMA was the first condition for which  
11 that new framework was used. The two states, New  
12 York and Wisconsin, provided information. Both  
13 states were multiplexing SMA with the SCID  
14 molecular assay, and the overall cost estimate was  
15 between 10 cents and \$1 per infant. The report  
16 did not provide the breakdown on that cost;  
17 however, all the -- or almost all the disposable -  
18 - the reagents and other disposables, the  
19 assumption was there would be no additional labor  
20 for this screening time or additional equipment.

21           So, challenges with this whole process of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

136

---

1 trying to estimate costs. The estimates are  
2 projected costs because the states that are giving  
3 these cost estimates typically have not yet  
4 started implementing screening. They are  
5 projecting what they expect the costs to be. The  
6 estimates may differ that when states actually are  
7 implementing and need to calculate how much  
8 they're going to have to raise the fee when they  
9 implement the screening, there may be other cost  
10 components that need to be considered,  
11 administrative costs in particular, as well as the  
12 short-term follow-up costs. Only a limited number  
13 of programs, the early adopters, that are the  
14 pioneers may have very different infrastructure  
15 and experience in adding costs. Other states may  
16 have very different cost experiences. There are  
17 assumptions that are made about equipment costs,  
18 prorating the equipment cost. You need to know  
19 what is the useful life of the machine? How many  
20 years it is -- three years or ten years. Do you  
21 include the cost of maintenance contract, what

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

137

---

1 about utilities? It's very difficult to ensure  
2 that the estimates that are being provided are  
3 standardized, let alone generalizable to different  
4 states.

5           There's high variability across states  
6 and across screening laboratories in terms of the  
7 numbers of tests being performed, and it's really  
8 the laboratory cost is a function not of the  
9 number of births in the states, it's the function  
10 of how many specimens are being processed by the  
11 laboratory, and that varies also with one specimen  
12 or two specimens per states. There are contact  
13 labs. Do states purchase the equipment, or do  
14 they rent the equipment along with the reagents?  
15 There's a lack of -- there's high variability.  
16 It's not a limitation, it's just a feature.

17           The costs differ depending upon whether a  
18 condition is multiplexed or if it's a standalone  
19 test. May states cannot provide us information,  
20 because they are actually contracting. The  
21 information may be proprietary.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

138

1           And finally, there's a short -- may be a  
2 short shelf life of the cost estimates to the  
3 extent there is a change in the technology. If a  
4 standalone test is replaced by multiplexing, the  
5 cost may go down substantially from what it was  
6 originally estimated.

7           Then, there's a broader question like  
8 Jelili asked about the PHSI -- how are the  
9 estimates actually being used by the Committee?  
10 To date, all the estimates, all the conditions  
11 that have been approved have had cost estimates of  
12 less than \$10 per infant. Would -- it appears  
13 that those costs have not factored into the  
14 decisions. Would a higher-cost test -- if there  
15 was a condition that the screening test cost \$20  
16 per infant, would that move the needle? Would  
17 that affect the decision by the Committee? I  
18 don't think the Committee has addressed that  
19 decision. Does the Committee actually need a  
20 numerical cost estimate to make its decisions, or  
21 would a qualitative estimate be sufficient? Would

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

139

1 it be sufficient to say we think it's less than  
2 \$10 per infant? How have the cost estimates been  
3 used by states? Have states found those cost  
4 estimates that have been generated through this  
5 process useful? What has their experience been?  
6 I think it would be helpful to get some feedback  
7 from the states.

8           In retrospect, we can look back at the  
9 conditions like SMA. We now have at least one  
10 other state that has implemented, and they've  
11 confirmed that about \$1 per infant is a reasonable  
12 cost estimate, but others think the cost may be  
13 substantially higher. It very well may be higher  
14 if it's a standalone test -- it would be. I found  
15 a quote of someone who suggested the cost of SMA  
16 might be as much as \$10 per infant. Who knows?

17           Issues that were raised by the Expert  
18 Advisory Panel, they say the cost estimates need  
19 to be both internally valid and generalizable  
20 across states. That would be wonderful if we  
21 could provide cost estimates as part of this

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

140

---

1 process. But within the time constraint and the  
2 lack of states actually doing the screening,  
3 that's not going to happen. They ask which costs  
4 were most important, how should they be measured,  
5 and how should that information be communicated.  
6 Well, is it the cost components that are important  
7 or the ones that are feasible to estimate? There  
8 may not be much overlap between the two. Not all  
9 that's important can be measured. So, we can give  
10 you the data that we can collect and address the  
11 limitations saying that there are costs -- other  
12 costs that should be considered.

13 Follow-up costs should be included. Yes,  
14 we agree. Follow-up costs, short-term follow-up  
15 staff, monitoring, that should all be included.  
16 The cost assessments typically do not account for  
17 the effort of the leadership of the program in the  
18 health department -- the director's time is a  
19 valuable and scarce commodity. Quality control,  
20 contractual issues with upgrading equipment, and  
21 also how does the cost differ depending upon the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

141

---

1 level of funding -- external funding that may be  
2 available.

3           Potential solutions and recommendations  
4 moving forward -- it would be great to have a  
5 consistently frame cost assessment tool, even more  
6 than what we had previously. So, we just need to  
7 refine that. But more importantly, we need to  
8 have some kind of an incentive for the state  
9 programs to provide that information. And so, one  
10 possibility that we've discussed is that all --  
11 moving forward in the future -- that pilot studies  
12 that are federally funded might be -- the  
13 recipients might be asked to collect and report  
14 that cost information using common data elements  
15 to make the estimates more comparable. That  
16 retrospectively, someone might collect cost data  
17 from the programs that have already implemented  
18 screening for new disorders, and those data then  
19 could be analyzed to come up with a cost function  
20 on how costs vary based on characteristics such as  
21 the number of specimens per infant, the number of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

142

---

1 births per state, so we could actually come up  
2 with a better predictive model in the future of  
3 how costs might vary across states based on those  
4 different characteristics.

5           It has also been suggested by some that  
6 the cost assessment be broadened. The legislative  
7 mandate did not specify how costs were to be  
8 estimated. The decision was made several years  
9 ago to focus on the short-term costs to the  
10 newborn screening programs due in large part to  
11 the time constraint of expectably seven months,  
12 because realistically to do a more complete cost  
13 assessment would take a minimum of a year and a  
14 half. It's not going to happen within this time  
15 frame, and that doesn't mean that it can't be done  
16 in the future to estimate both broader cost and  
17 cost effectiveness, but that would have to be done  
18 in a different context. It could be done  
19 potentially as part of a post-RUSP review if  
20 sufficient funding were available to allocate to  
21 that, and that depends both on broader budgets and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

143

1 priorities for allocating available funding.

2 That's it. Thank you.

3           So, who's going to moderate the  
4 discussion, Alex?

5           MR. ALEX KEMPER: What I'd like to do, I  
6 think that [inaudible] I was going to invite  
7 Jelili and Lisa to come up as well, because I  
8 think that each of us oversee a discrete component  
9 of the -- of the Evidence Review Process, and I  
10 think it makes most sense for us to open things  
11 up. So, you know, I'd like to hear what people  
12 have to say about each of these three components  
13 or the process overall.

14           So, you've heard recommendations about  
15 ways to adjust the modeling, adjust the survey  
16 work that we're doing, and adjust the cost, and  
17 although, you know, we're always welcome to advise  
18 and open to answering questions, we wanted to take  
19 this time to open it up to the floor.

20           DR. CYNTHIA POWELL: Thank you. Thank  
21 you, Alex, and thank you -- all of you for your

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

144

---

1 presentations. So, we're going to first open it  
2 up to the Committee members and then followed by  
3 the organizational representatives. So, if the  
4 operator can please open the lines for Committee  
5 members and organizational representatives on the  
6 conference line, and just a reminder again, when  
7 speaking, please give your first and last names to  
8 ensure proper recording. And first, Joan has a  
9 question.

10 MS. JOAN SCOTT: Joan Scott, HRSA. Thank  
11 you so very much. This was an excellent, I think,  
12 overview of all of the complexity around  
13 components of the -- of the Evidence Review  
14 Process. My question, Lisa, was for you. You had  
15 started your presentation around some of the  
16 decision that went into why we started to do  
17 modeling because of the rarity of some of these  
18 cases, and then in your last slide, though, you  
19 indicate that modeling may not be feasible for  
20 some of the nominated conditions. So, can you say  
21 more about what those circumstances would be and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

145

---

1 how that may affect the information that the  
2 Committee would have available to make decisions?

3 DR. LISA PROSSER: Yeah. And I think,  
4 you know, that's a great question and exactly  
5 where this discussion should be that, you know,  
6 there could be cases were you -- we could imagine,  
7 but we haven't seen this yet, that the condition  
8 comes up for nomination very quickly after  
9 treatment has been approved, and we may have even  
10 less than a full year of data available for  
11 modeling that condition. And so, what, you know,  
12 we have discussed, you know, is there a time frame  
13 or a sample size that we could create some  
14 parameters with it, you know, beyond which it  
15 would not be possible to model, and it didn't seem  
16 like that was the appropriate path because again,  
17 this is modeling. So, we're making the best use  
18 of the available evidence, and I think, you know,  
19 when I'm teaching modeling, that I often say, you  
20 know, well it's easiest and the most fun to model  
21 in the absence of data, and clearly we don't want

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

146

---

1 to model in the absence of data for this  
2 application, but, I mean, there are circumstances  
3 in which we can model with very little data. What  
4 we'll see is that we'll be much wider ranges  
5 around those estimates. I do think -- but we do  
6 think that there could be some situations in which  
7 we start to create the model and there is -- there  
8 really is not sufficient evidence to parameterize  
9 all of those branches that we showed on -- on the  
10 model, the example that I showed there. And if  
11 that's the case, we would want to have the  
12 opportunity to come back to the Committee to  
13 discuss that, and then I think it would be a case-  
14 by-case decision or I think this would be a  
15 discussion as to whether a nomination can proceed  
16 if it's not feasible to do the decision modeling,  
17 if that is an essential part of the condition  
18 review, or if it can move forward and be evaluated  
19 fully, you know, with the evidence review -- the  
20 population health impact, but without the decision  
21 modeling projections, because I do think that's a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

147

---

1 possible scenario going forward.

2 MS. JOAN SCOTT: So, from that, the way I  
3 think of this, then, you know, the less data or  
4 the shorter time intervals we've got data, the  
5 more uncertainty you're putting into your  
6 decision, and so do we get to a point where the  
7 data is so uncertain about the impact that that is  
8 -- that it would be difficult for the Committee to  
9 move forward on it?

10 DR. LISA PROSSER: That's right, and that  
11 would be kind of the implication of not being able  
12 to complete the decision modeling task, yeah.

13 DR. CYNTHIA POWELL: Scott Shone.

14 DR. SCOTT SHONE: Hello. Scott Shone.  
15 I'll state my name even though it was just said  
16 for me. So, I have a -- I have a couple different  
17 questions, but I'll just start on one, and then if  
18 I come back at the end after the rest of the  
19 Committee goes, I'd appreciate it. So, I want to  
20 preface it by saying that throughout the  
21 presentations and especially with Jelili and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

148

---

1 Scott, I get the sense that we are -- that we keep  
2 giving lip service to newborn screening as a  
3 system, but a lot of the focus of the impact  
4 assessment and cost -- we're just program. We're  
5 just lab and maybe a smidge of follow-up. To  
6 suggest that implementing a disorder will only  
7 cost \$3 a sample is patently ridiculous and so, I  
8 think that we have to either decide we're focusing  
9 on newborn screening as a system and think of  
10 system wide solutions and assessments and  
11 understanding or -- or stop kidding ourselves.  
12 Because I think that the huge gains we had with  
13 timeliness was because we began to engage  
14 everybody. We engaged the hospitals, we engaged  
15 couriers, we engaged informatics, and brought  
16 together a broader solution to make sure samples  
17 were getting screened and reported out as quickly  
18 as possible. We've got a lot more work to do on  
19 that front, but still, that was why we -- we had  
20 gains here. You know, Jelili, I'm just going to  
21 focus my question to you first, and Scott, I'd

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

149

---

1 love to come back later and talk about cost. But,  
2 you know, you started out by saying the one to  
3 three years is what you have -- you have stood in  
4 front of the Committee for the last several  
5 disorders and said one to three years, and you  
6 acknowledge that it -- it is not reality, and that  
7 your slide hammered that home. You know, the  
8 disorders are progressing at very different paces.  
9 Dr. Kellar-Guenther's presentation to us at the  
10 last meeting really dove into the nitty gritty of  
11 why that's happening, and I think that perhaps we  
12 need to use that information, and can you comment  
13 on can we combine what you're getting out of the  
14 readiness tool as well as the structure of the  
15 impact assessment? Because it doesn't seem like  
16 asking the same question every time is getting us  
17 anywhere. There are different things that arise.  
18 You know, SMA might be moving faster because the  
19 treatment is being viewed as transformative as  
20 opposed to the other disorders where there might  
21 not be will within the state to do ALD.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

150

---

1           So, can we incorporate some of those  
2 lessons into this as opposed to just changing the  
3 timeline for how long a state might think, you  
4 know, it takes to implement if we don't assume  
5 there's legislative support and budget?

6           DR. ALEX KEMPER: So, I just want to  
7 preface before Jelili answers your particular  
8 question about the data gathering process is to  
9 just remind you and others that for each of these  
10 individual components, it's one data point that  
11 has to be considered within the whole milieu. I  
12 mean, there's no simple question, and that's why  
13 we have the Advisory Committee in the first place,  
14 to use your -- your experience and your knowledge  
15 of newborn screening to evaluate these discrete  
16 data points that you have. And I just want to be  
17 clear about separating the information that we can  
18 provide based on either published evidence or  
19 surveys with states and that kind of thing versus  
20 how that information is subsequently used.  
21 Because that's where sort of the dividing line is

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

151

---

1 between what our group can do and what the  
2 Advisory Committee can do, and I just want to make  
3 sure that -- that we're clear about what that line  
4 is. And that's why I just jumped in front of  
5 Jelili, not because he's -- he's going to give you  
6 a very thoughtful answer about what's available  
7 and the readiness tool and all that, and I just  
8 want to be -- I just want to be very clear about  
9 what I see as a decision versus data-gathering  
10 point. But I -- and I agree with all the points  
11 you just made, Scott.

12           MR. JELILI OJODU: So, thanks, Scott.  
13 Let's see, where do I begin? The idea, in fact,  
14 is to be able to make some changes or ideally  
15 bring in some of the information that we collect  
16 as part of the readiness tool. As you know,  
17 readiness tool information are the information  
18 that we gather are from conditions that have  
19 already been added to the RUSP. So, I think if we  
20 can combine partly some of that information that  
21 we collect with the survey or the revised survey,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

152

---

1 the Public Health System Impact Survey, I think we  
2 may be able to get a little bit more refined  
3 answers.

4           But to your initial question, I'm not --  
5 the evidence speaks for itself from when the  
6 conditions have been added, what we got from the  
7 results of the survey, and what is actually  
8 happening in state newborn screening programs. I  
9 wanted to emphasize a little bit more about, you  
10 know, and in fact some external sources that  
11 probably made it so that we are where we are for  
12 the number of conditions that we're screening for,  
13 and if it wasn't for again some of those funding  
14 streams afterwards, you know, the numbers would be  
15 even lower. So, I'll stop there.

16           DR. SCOTT SHONE: This is Scott Shone.  
17 Just real quick, can I just ask, you know, for the  
18 organizational reps, because the Committee always  
19 ends up hogging time, so I want to keep my mouth  
20 shut, but for the organizational reps, you know,  
21 could we bring in genetic counselors, could we

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

153

1 bring in SIMD, could we bring in all the other  
2 groups that are part of this Committee, maybe not  
3 as voting members, but as part of the Committee to  
4 help gauge the impact of your stakeholders? So,  
5 it's not just APHL having to do this Public Health  
6 System Impact, but can we -- maybe we need to  
7 think a little differently than what we've been  
8 doing to broaden the view and not just focus on --  
9 I'm not -- I'm not picking on you in terms of you  
10 have to do all this work, but could we think  
11 outside of it -- what we've been doing the last  
12 several years to -- to bring -- to -- to gather  
13 everybody that's in that room -- I'm sorry I'm not  
14 there -- but everybody that's in that room to --  
15 to get a better answer.

16 DR. JENNIFER KWON: Jennifer Kwon, Child  
17 Neurology Society. Yes, absolutely. I think  
18 that's what we need to do, especially I mean, I  
19 think it's interesting that Lisa brought up SMA as  
20 an example, and I think that it's an example  
21 that's worth remodeling based on the additional

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

154

---

1 data that we're getting from the clinical trials.  
2 I think that's really important because as we  
3 treat these infants who are being born, we are  
4 changing the phenotypes that we are used to  
5 seeing, and so, the only evidence that we have of  
6 efficacy and the proportion of later-onset forms  
7 of SMA, all that is becoming old data -- data that  
8 we're going to be losing. So, I really think this  
9 is the time, at least for that particular newborn  
10 screening program, to really engage child  
11 neurologists who are involved in treatment.

12 DR. CYNTHIA POWELL: Sue Berry.

13 DR. SUSAN BERRY: Sue Berry. Just a  
14 couple comments. I noticed in -- this is sort of  
15 a specific and then a more general comment.  
16 Scott, you mentioned in one of your slides that we  
17 ought to be adding the cost of therapy and follow-  
18 up costs to our consideration. That's certainly  
19 not something we have done previously, and by the  
20 same token, we have never considered the impact on  
21 the system we're generally beyond -- I know what

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

155

---

1 the -- what it said Public Health Impact but if  
2 we're really thinking about the system, we're not  
3 thinking about people power, we're not thinking  
4 about the cost and implications of longer-term  
5 follow-up, particularly as we add disorders with  
6 late-onset phenomenon. And so, I was excited when  
7 we started adding in the consideration of the  
8 Public Health Impact, but we really didn't ask a  
9 question about the system impact when that  
10 happened, I don't think, just about the test. And  
11 that, I think, is a little bit of what Scott was  
12 talking about here.

13 DR. SCOTT GROSSE: To clarify, I didn't  
14 say that I thought we should add those components.  
15 I said the Expert Advisory Panel members suggested  
16 that should be included.

17 DR. SUSAN BERRY: However you voiced it,  
18 it's not part of the discussion.

19 DR. SCOTT GROSSE: And I said -- but then  
20 -- right. But then the question was, is that  
21 feasible to do within the nine-month constraint,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

156

---

1 and the answer is no. It's not feasible to  
2 include within the present process. It would be  
3 desirable to have that information, but it would  
4 require a separate process.

5 DR. SUSAN BERRY: I hear your careful  
6 parsing of this question, but it is not something  
7 we've considered.

8 DR. CYNTHIA POWELL: Organizational  
9 representatives, do you have any comments or  
10 questions? Yes.

11 MS. AMY GAVIGLIO: Amy Gaviglio, National  
12 Society of Genetic Counselors. I think this may  
13 be going a little off of what Scott said as well,  
14 but as he noted, it's not uncommon for us to see  
15 kind of that one to three year metric as to how  
16 long it's going to take to add a condition, but  
17 then we're only seeing maybe a third of states  
18 actually meeting that time frame, which suggests  
19 that the way we're asking the questions in the  
20 Public Health Impact Assessment perhaps is relying  
21 on too many assumptions and assuming in an ideal

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

157

---

1 state, which that isn't actually reflective of  
2 what the context with which we're trying to add  
3 conditions. I'm wondering if there has been  
4 consideration of trying to add some of that --  
5 asking those questions of what else is going on in  
6 our public health environment that may preclude  
7 you from -- from adding a condition at, you know,  
8 under less than ideal circumstances, and if that  
9 could give us a better sense of timing for adding  
10 conditions.

11 MR. JELILI OJUDU: Yes. We just went  
12 through a revision of the survey itself, Amy, and  
13 again I think part of your question and something  
14 that Scott brought up earlier is collecting  
15 information that may be better suited not  
16 necessarily as part of this survey, but other  
17 information that's being collected. How we  
18 integrate that into the final package and how that  
19 information is being used to make a decision, I  
20 think is something that states do deserve to -- to  
21 know prior to going into this whole process,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

158

---

1 because it takes time to respond to these surveys,  
2 and it -- the onus is on the state newborn  
3 screening lab directors or program directors to be  
4 able to move it around. I like the idea of making  
5 sure that a number of subspecialty groups are  
6 actually able to come together and provide more  
7 information on the system impact, but again, it's  
8 what that information is going -- how that  
9 information is going to be used to make that final  
10 decision that's important.

11 DR. ALEX KEMPER: I have a question for  
12 the Advisory Committee, but I don't want to  
13 preempt anyone else's question. So, Scott brought  
14 up something I think is -- is really compelling,  
15 and I thought that it would generate more  
16 discussion, so I'm going to bring it up again,  
17 which is a lot of work is put into trying to get  
18 like a fine estimate around the cost per screen,  
19 and it's very complicated given all the things  
20 that Scott talked about. So, one of the proposals  
21 that Scott had, which I think is a good one, is to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

159

---

1 have a more qualitative assessment. So, instead  
2 of, you know, \$1 per screen, have it be, you know,  
3 we can come up later with what the different, you  
4 know, cut points might be, but, you know, less  
5 than \$1, \$1 to \$10, \$10 to \$100, you know,  
6 whatever it is. And I just wanted to gauge what  
7 the Advisory Committee thinks about that approach.  
8 This isn't obviously not -- but I just want to  
9 hear some thoughts about that.

10 DR. CYNTHIA POWELL: Mei.

11 DR. MEI BAKER: I think it's an excellent  
12 idea. I think just over time, I felt -- because  
13 different states have different situations. I'd  
14 rather give me a list, you know, the early  
15 adopters in what's involved, and also different  
16 states introduce a little bit different. For  
17 example, SMA, some states choose to do the digital  
18 PCR, do the SMA2 copy numbers. Some people may  
19 not. So, you list there, and you list there you  
20 say how much it's going to cost. Well, one state  
21 said I'm not going to have these items, so my

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

160

---

1 costs will be different. I think it's much, much  
2 better useful information than saying per baby,  
3 how many -- then, you also avoid in terms of  
4 different size states, because you can calculate  
5 yourself in terms of each items. I really think  
6 that I would really support this idea going  
7 forward, have this more quantitative and you have  
8 less because then you also overcome different  
9 diseases have different situations, like it  
10 depends on the technology used. So, you have  
11 second-tier. You can all include this, and people  
12 look at that, it much, much useful information, I  
13 think.

14 DR. CYNTHIA POWELL: Okay. I think Susan  
15 Tanksley, you were next.

16 DR. SUSAN TANKSLEY: So, sorry, I wanted  
17 to go back to the concept of collecting  
18 information -- more information from the system,  
19 and I like the idea of using the organizational  
20 representatives so that they can gather  
21 information from their perspectives. I -- as a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

161

---

1 representative of a state newborn screening  
2 program, I know that when we do the newborn  
3 screening Public Health Impact Assessment, we  
4 attempt to go out, and we attempt to, you know,  
5 we'll send the survey out to like our specialists  
6 who are going to be seeing children for that  
7 disorder. We'll send it out to our Newborn  
8 Screening Advisory Committee. But it's hard for  
9 us to get a broader perspective, and often the  
10 information that comes back is completely  
11 conflicting. So, we'll have specialists from the  
12 same field who have very different views of how  
13 it's going to impact them. And so, I think it  
14 would be very helpful to have the broader  
15 perspective represented. And it's -- it's  
16 probably different questions completely from  
17 what's already being asked.

18 DR. CYNTHIA POWELL: Joan Scott.

19 MS. JOAN SCOTT: Joan Scott, HRSA. Alex,  
20 you had mentioned in your summary from the last  
21 meeting about some changes that might be made, and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

162

---

1 I wanted to go back to one, because it does  
2 impact, I think, our conversation here,  
3 particularly around the modeling. And you had  
4 said that some of the recommendations, one of them  
5 was to include standard prespecified outcomes as  
6 well as the condition specific. And what I was  
7 wondering is have those been defined yet, or you  
8 were in the process of defining those.

9 DR. ALEX KEMPER: I was going to go back  
10 and find the slide. Of course, now I can never  
11 find it when I'm looking for it. But, so it's --  
12 it's surprising straightforward to figure out the  
13 ones that we should prespecify that have come  
14 across all the conditions. So, it's really  
15 survival, you know. So, you know, death within,  
16 you know, whatever time frame, and it has been  
17 primarily around need for mechanical ventilation.  
18 Those are two things that generally come across.  
19 Now, more recently, we've done ones that affect  
20 neurodevelopment, and there -- there are a bunch  
21 of different ways going about that. So, we're in

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

163

---

1 the process of figuring out exactly that those  
2 things are. But I think that if we had a good  
3 measure of survival and need for mechanical  
4 ventilation and neuro or cognitive development,  
5 those would hit the big things, and of course it  
6 would be great to have, you know, quality of life  
7 measures and that kind of thing. But they just  
8 have yet to appear.

9 MS. JOAN SCOTT: Okay. Thank you. And  
10 ultimately, I think making sure those parameters  
11 are transparent and clear to everybody would be  
12 really important to everybody knows what's being  
13 looked at.

14 DR. CYNTHIA POWELL: Kyle Brothers.

15 DR. KYLE BROTHERS: I wanted to respond,  
16 Alex, to your question about the qualitative  
17 representation of cost, and my suggestion -- I'm  
18 also amenable to that. It seems to me that we  
19 want to weigh cost against benefit in some kind of  
20 general way that there's obviously no strict  
21 method for doing that. But a qualitative type of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

164

---

1 thing could be useful there. But I wonder if it  
2 might be better instead of prespecifying  
3 qualitative categories to think about it as a  
4 confidence interval, and you could -- you wouldn't  
5 have to say it's 10 cents per child, but we don't  
6 know what it is. It's between 50 cents and \$2.35,  
7 you know, something like that I think would be  
8 adequate. It might give you just a little bit  
9 more comfort in representing a number that you  
10 really don't know what the point number is. Yeah.  
11 And I have another question for you, Scott.

12 DR. SCOTT GROSS: Good idea.

13 DR. KYLE BROTHERS: Okay. And then, you  
14 mentioned earlier -- this kind of thing just ticks  
15 me off about the -- we have a contractual  
16 requirement, we're not allowed to give you the  
17 cost. And it just seems, I mean, absurd, but also  
18 in this context, that may be exactly the kind of  
19 disclosure the company would want. So, it seems  
20 like it would be -- there's a knee-jerk reaction  
21 that oh, it's in our contract, we can't tell you,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

165

---

1 but really, if there was -- if states were to dig  
2 deeper, they would find out that maybe this would  
3 be a circumstance in which within certain  
4 boundaries they might be able to, if they just  
5 could talk to a human being at the company and  
6 confirm that this is okay. I don't know if you  
7 have a feel for that. I may be showing my naivety  
8 about those things.

9 DR. ALEX KEMPER: I'm going to defer to  
10 people who run newborn screening programs, but  
11 we're often told that they can't share those, it's  
12 proprietary.

13 DR. MEI BAKER: I think the term may be  
14 another priority. I think it's more confidential,  
15 right? Because they have to deal -- it's more  
16 business practice because -- so they -- the  
17 company -- I give you good price. It's not list  
18 price, but don't tell anybody else. It may be  
19 that.

20 DR. SCOTT GROSSE: It's not just newborn  
21 screening. The whole US healthcare system has a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

166

---

1 lack of price transparency.

2 DR. BETH TARINI: Except the whole US  
3 health, as evidenced by the current political  
4 climate, the whole US healthcare system is not  
5 federally or state run, and these are state  
6 programs. So, having worked at two institutions  
7 that were public programs, proprietary -- I don't  
8 -- there are very, I believe, I'm not a lawyer,  
9 circumstances in which that proprietary  
10 information cannot be held back if it's state  
11 funded. It's -- at least, I agree -- it's at  
12 least something on face value that seems to not  
13 hold complete sniff test. That should be dug into  
14 deeper, given that there's federal and state  
15 dollars, federal probably coming through Title V  
16 to these programs, and then state dollars coming  
17 through as well.

18 DR. KYLE BROTHERS: Yeah. This is Kyle  
19 Brothers, and from the company's perspective,  
20 obviously every state in the country adopting a  
21 particular kind of test and them being in a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

167

---

1 position to be able to help that happen, it seems  
2 like they could be partners in this kind of thing  
3 that that would be in their interest.

4 DR. MEI BAKER: Also, the state program,  
5 I can speak for Wisconsin, the newborn screening  
6 is through a fee system. We don't have state  
7 funds to do that. So, this is a -- to actually  
8 comes to the patients.

9 DR. BETH TARINI: So, you don't have any  
10 -- right. So, you don't have any Title V dollars,  
11 right?

12 DR. MEI BAKER: Not for newborn  
13 screening.

14 DR. BETH TARINI: So, right. If you had  
15 -- yes, if -- it would just be curious in a state  
16 -- in a situation what state touched -- let's say  
17 a program had federal dollars that it touched or  
18 state dollars, and it could be in follow-up, it  
19 doesn't have to be in lab.

20 DR. MEI BAKER: Yeah. Anything beyond  
21 5,000, you have to put a bid. The bid you put in

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

168

---

1 maybe this is accessible information. I just --

2 DR. CYNTHIA POWELL: Susan Tanksley, I  
3 think you had a comment.

4 DR. SUSAN TANKSLEY: Susan Tanksley,  
5 Association of Public Health Laboratories. I was  
6 just going to state that, I mean, from -- from a  
7 state perspective, it may be the granularity of  
8 the question you're asking. So, we may be able to  
9 give you a lump sum number that has nothing to do  
10 with any confidentiality or anything; whereas if  
11 you ask for a very specific number, we may not be  
12 able to give you that very specific number.

13 DR. CYNTHIA POWELL: And taking the  
14 Chair's prerogative, Ann Comeau, I think, had a  
15 comment that you wanted to make and could I ask  
16 you to use the microphone and give your name and  
17 your affiliation.

18 DR. ANNE COMEAU: Thank you. Anne Comeau  
19 from Massachusetts. I think that there are a  
20 variety of these contractual kinds of things and  
21 they have begun to address them and some different

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

169

1 views of companies. So, part of the question that  
2 I would ask is what might a state expect to get  
3 back from contributing such granular data. So, if  
4 the granular data is going to drive companies to,  
5 for instance, have everybody get the same price  
6 for a particular reagent instead of the very big  
7 states being able to drive deals better than  
8 smaller states, that's something nice. But  
9 another -- another aspect is that some states to  
10 sell services, and if we're selling services, and  
11 if we are going out to bid against companies, then  
12 -- then giving very granular data puts us at risk.

13           So, if there is -- if we contribute such  
14 data and it can be de-identified, then -- then I  
15 think that would be -- if it can be de-identified  
16 and if the states who go to the trouble of working  
17 these -- working through very difficult data can  
18 do this, can expect to get some benefits from  
19 this, then you might get some more.

20           MR. JELILI OJODU: Thanks, Dr. Comeau.

21 Point well taken. I -- we almost always do share

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

170

---

1 aggregate data, and, in fact, what you suggested  
2 is something that we certainly plan to incorporate  
3 or have started to incorporate into the  
4 information that we're collecting relating to  
5 cost. But highlighting the point of what we give  
6 back to the state and how it's going to be used is  
7 important.

8 DR. CYNTHIA POWELL: Okay. I think we're  
9 going to have to break for now. We are going to  
10 break for now. We will resume this topic after  
11 the break, and I'm going to turn things over to  
12 Catharine.

13 DR. CATHARINE RILEY: Thank you. Just  
14 again a reminder that as visitors, you have access  
15 to the pavilion room and the -- the fifth floor  
16 and the cafeteria, restrooms, et cetera. We will  
17 begin again promptly at 2:15. Thank you.

18 [BREAK]

19 DR. CYNTHIA POWELL: Okay. We're going  
20 to get started. Can everybody take their seats,  
21 please? All right. Thank you, everybody, for

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

171

---

1 your comments and discussions. We're going to  
2 continue.

3 [Speaking off mic.]

4 DR. CYNTHIA POWELL: All right. So,  
5 we're going to continue this momentum with a  
6 presentation from Dr. Kemper on assessing values,  
7 and that will be followed by Committee discussion.

8 MR. ALEX KEMPER: Okay. Great. Now that  
9 we've resolved all those easy issues -- that's a  
10 little bit of a joke -- we will dig into something  
11 bigger. I'd like to say that Dr. Bocchini, who  
12 was the former Chair of the Advisory Committee  
13 really, really pushed us to think about values,  
14 and he is still someone that we speak to a lot,  
15 and he's very much engaged in the process. So,  
16 I'm not sure if he's on the webinar or not, but I  
17 know that he'd be happy that we are talking about  
18 this issue today in terms of stakeholder values  
19 and decision-making.

20 It's a -- it's a challenging topic to  
21 talk about values and make sure that we're all on

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

172

---

1 the same page in terms of thinking about it, and  
2 so, I'm going to really begin with this at the  
3 30,000 foot view, because really what we're  
4 talking about are the things that go into making  
5 an important decision. And so, when you think  
6 about it, there's certain things that you need to  
7 have for something to be an important decision,  
8 and then I'm going to use that to bridge to what  
9 we mean by values.

10           So, the first thing is when you're making  
11 an important decision, there have to be competing  
12 options, right? So, there's no, you know, if the  
13 only option is to do this if there's no real  
14 competing option, then there's no important  
15 decision to be making. So, in this case, of  
16 course, we have whether or not to add a condition  
17 to the -- to the RUSP, whether or not all newborns  
18 should be tested for a particular condition.

19           The second thing is you need to have  
20 outcome preference. So, if you have competing  
21 options but you really don't care about what the

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

173

---

1 particular outcomes are, if you're, you know, in a  
2 restaurant, and you can't figure out if you want  
3 to get the meat or the fish, and it doesn't really  
4 matter that much to you, then it's obviously not  
5 an important decision. But here, we do have  
6 important outcome preferences around the long-term  
7 health outcomes from the -- the newborn screens.

8           And then the third thing you have to have  
9 is uncertainty, and I think everyone knows from  
10 the discussion that we just had, there's a lot of  
11 uncertainty, right? So, we don't -- it's hard to  
12 predict necessarily what the outcomes of our  
13 decisions are going to be, but a lot of the work  
14 that we do is to try to understand and minimize  
15 the uncertainty.

16           So, at the highest level, these are the  
17 things that you have to have in order for there to  
18 be an important decision that needs to get made.  
19 And, of course, the outcome preference issue is  
20 where a lot of the value stuff comes into play.

21           So, going back to the conversation that

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

174

---

1 we just had with the Evidence Review Process,  
2 while we begin by describing the options, so we  
3 talk about newborn screening versus usual case  
4 detection, that's the stuff that Lisa Prosser does  
5 the modeling in primarily, but there are also  
6 sometimes alternative strategies for newborn  
7 screening. That's not something that we really  
8 face, but there are different ways to screen  
9 newborns for many of the conditions.

10           We characterize the outcomes. We look at  
11 the immediate outcomes of the screening, how many  
12 positives and negatives, and how many of the  
13 positives turn out to be true positives or false  
14 positives. We look at the individual level of  
15 health impact, so that gets to the things that we  
16 were talking about in terms of survival or need  
17 for mechanical ventilation or neurocognitive  
18 development -- those kinds of things.

19           And then we also, to the best of our  
20 ability, look at the impact on newborn screening  
21 systems. So, those are the kinds of outcomes that

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

175

---

1 we look at.

2           And then, in the Evidence Review Process,  
3 we outline uncertainty, right? So, we have, for  
4 example, range of test accuracy. Well, we're not  
5 entirely sure, but from the pilot studies that  
6 have been done, we think the sensitivity goes from  
7 here to there. We think the specificity is here  
8 to there. We think the number of true positives  
9 and false positives are in here. We talk about  
10 the distribution of potential outcomes, and then  
11 one of the things that we spend a lot of time in  
12 our final presentation is talking about gaps in  
13 the evidence. Where does the uncertainty lie that  
14 we couldn't answer with the Evidence Review  
15 Process?

16           So, this is how the Evidence Review  
17 Process currently addresses those three components  
18 of what goes into an important decision. I told  
19 you I was going to really go back and go to the  
20 30,000-foot view, but this is the way that I can -  
21 - I sort of internalize the values part.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

176

---

1           So, the challenges that we have in the  
2 newborn screening decision making is competing  
3 options, right? So, we're making these options  
4 about -- we're making decisions about newborn  
5 screening within public health, but it affects,  
6 you know, wide groups of people, individuals and  
7 their families. We have challenges around  
8 outcomes. So, we -- we give summary measures the  
9 population of benefits and harms. So, in general,  
10 for example, how many babies might be expected to  
11 live longer? How many babies will be exposed to  
12 harm? But we don't really -- that doesn't really  
13 get to what might happen at the individual level.  
14 We do look at issues and differences in timing,  
15 and this is important because often times, the  
16 harms of newborn screening and things like false  
17 positives may be proximal to the newborn screen,  
18 but the benefits might not happen until much  
19 later. So, there's this kind of funny thing where  
20 the timing of benefits and harms don't come out at  
21 the same time.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

177

---

1           One of the things that's great about  
2 newborn screening is it helps decrease health  
3 inequalities. So, I think back to when the  
4 decisions were being made around newborn screening  
5 for critical congenital heart disease and it was  
6 Chris Kus who made the compelling argument that  
7 one of the reasons to make this part of newborn  
8 screening is to make sure that everybody has  
9 access to it. So, but that again doesn't really  
10 fit neatly within the -- how we consider things.

11           And then, there's also regret. So, there  
12 can be decisional regret. We wish we screened, or  
13 we wish we had done something like that, or we  
14 wish we had avoided a false positive.

15           There is uncertainty on both the benefits  
16 and the harms side with insufficient evidence to  
17 really minimize things, and part of it is things  
18 are fast moving. There's advances in both  
19 screening and treatment. I laugh because New York  
20 has provided us so much pilot information for many  
21 of the conditions, and inevitably before those

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

178

1 final votes, I also go to Michele Caggana and say  
2 you know, find any new babies today, you know? Do  
3 I have the most recent, up-to-date stuff? And, of  
4 course, there are all those trials. I neglected  
5 to say happy birthday to Michelle. I don't know  
6 where she's sitting right now. Maybe she's out  
7 celebrating. Everyone say happy birthday to  
8 Michele. So, and I apologize if it's anybody  
9 else's birthday. You can stand up if you want.

10           So, but things are fast moving. And then  
11 the challenge that many people have written about  
12 -- certainly Rod Howell has written about this --  
13 is that you -- you have this problem with the  
14 benefit of early detection like beyond CLIR, but  
15 if you were to do the, you know, more pilot  
16 screening or implement screening more broadly,  
17 then you might be able to resolve some of this  
18 uncertainty, and how do you think about, you know,  
19 pushing screening forward when, you know, part of  
20 it is for this better sort of research side of  
21 things.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

179

---

1           So, there are things that we can resolve  
2 partially through the evidence review, and then  
3 there are things that are just kind of, I guess  
4 you would say, ineffable, right? We can't  
5 necessarily resolve them.

6           So, that's where it's important to get  
7 stakeholder perspectives. That's pretty cool.  
8 Does it work on the big screen? Okay, I don't  
9 want to make anybody sick. So, I'm going to move  
10 past it.

11           So, I'm just going to read this quote  
12 about values from the guidelines GRADE, as I think  
13 most of you know is the approach to evidence  
14 review that's really given birth to a lot of the  
15 stuff that we do. So, from their work, "Values  
16 and preferences is an overarching term that  
17 includes patients' perspectives, beliefs,  
18 expectations, and goals for health and life. More  
19 precisely, they refer to the process that  
20 individuals use in considering the potential  
21 benefits, harms, costs, limitations, and

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

180

---

1 inconvenience of the management options in  
2 relationship to one another. For some, the term  
3 "values" has the closest connotation to these  
4 processes. For others, the connotation of  
5 preference best captures the notion of choice.  
6 Thus, we use both words together to convey the  
7 concept."

8           So, I -- I hope that sort of gives a --  
9 so, it was better written than anything I could  
10 come up with, and I am going to use values and  
11 preferences in the rest of my talk. And, you  
12 know, they talk about in GRADE looking at patient  
13 perspectives, and most of the work around GRADE is  
14 really for these kinds of individual -- more  
15 individual clinical decision-making and not the  
16 more public health stuff that we talked about.

17           But our -- the perspectives that we want to  
18 get go beyond the individual patient and families.  
19 So, I didn't want you to think that I'm being  
20 overly restrictive just looking there.

21           So, if you look at the process of going

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

181

---

1 from evidence to recommendation, GRADE does a lot  
2 of the stuff we do. They look at magnitude of  
3 estimates on important health outcomes,  
4 confidence, right, so we do that, estimates of  
5 typical values and preferences, we don't do that,  
6 and confident in those estimates, so how confident  
7 are you about typical values and preferences,  
8 variability of values and preferences, and resource  
9 use. And we just talked a little bit in the cost  
10 part and in the Public Health System Impact on  
11 resource use. But, again, the issue that I want  
12 everyone to think about now is -- is how we think  
13 about values and preferences, how we can not only  
14 estimate them but understand the values --  
15 understand the variability and what drives them as  
16 well, and then ultimately how we can use that in  
17 the decision-making process.

18           And just in case anyone thinks I'm going  
19 to have a slide at the end where I'm going to give  
20 the answer, sadly I do not. And so, I think we're  
21 going to be able to have a rich conversation

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

182

---

1 around that in a little bit, and it's going to be  
2 an ongoing conversation.

3           So, from our perspective, right, I'm  
4 going to lay out questions, and I don't think that  
5 we need to -- I'm going to go through the  
6 presentation and lay out a bunch of the questions,  
7 and then we can go back and revisit them. So, I'm  
8 going to encourage you to, you know, jot notes as  
9 we go through. But I think it helps to see  
10 everything first so you know kind of where we are.

11           So, who's values do we value, right, in  
12 terms of patients and family members and public  
13 health and even the general public who may not  
14 have a child. How do we figure out what values  
15 are we interested in. And then, related to that,  
16 how do we have a process so that we can understand  
17 the values of these stakeholders. How can we do  
18 that within the context of what we do as part of  
19 the Evidence Review Process? Again, I'm not sure.  
20 How can values and preferences be assessed, and  
21 how can values and preferences be incorporated

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

183

---

1 into the decision-making process. So, even if we  
2 were to be able to do this, how does it inform the  
3 process? Again, I want you to think about this.  
4 We're not going to go back to revisiting the  
5 matrix today. But it does help to think about  
6 like what's the ultimate use of values and  
7 preferences.

8           So, one of the standard ways in a  
9 quantitative manner to look at values and  
10 preferences is to look at utility, and from a  
11 utilitarian perspective, there's a measure called  
12 the Quality-Adjusted Life Year. So, one Quality-  
13 Adjusted Life Year would be like living a year in  
14 perfect health. It's a standardized measurement  
15 of health outcomes, and it can be used to  
16 facilitate comparisons across health conditions  
17 and across populations, because it's a  
18 standardized unit.

19           Now, I'm talking about, you know,  
20 qualities, but there are other similar measures  
21 and just for the purposes of the talk, that's why

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

184

---

1 I'm putting quality up here in case anybody is  
2 wondering, you know, why I don't have dailies or  
3 anything like that, that's why.

4           So, Quality-Adjusted Life Years is, as I  
5 sort of implied, is a function of time and  
6 utility, and utility can range from zero, which is  
7 death, to 1 being perfect health. Sometimes you  
8 hear people argue that things could even be worse  
9 than zero. But for the purposes of today, we're  
10 talking zero to 1. And there are a bunch of  
11 strategies for measuring utility, and this is not  
12 a method -- I'm not going to drill into this --  
13 but it's time -- there's time tradeoffs. So, a  
14 simplistic way to think about this is, you know,  
15 if you were to go off and go to sleep and wake up  
16 and have the problem gone, you know, how much time  
17 would you be willing to trade off to resolve the  
18 problem. There's a standard gamble where you can  
19 trade off having the health condition that's under  
20 consideration versus, for example, death and life  
21 and, you know, what -- what -- what risk would you

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

185

---

1 be willing to take between dying and living versus  
2 having the condition. And so, there's an  
3 iterative process that you can do at the  
4 individual level called the standard gamble.  
5 There's the visual analog scale, where you have  
6 like, you know, zero here and 1 here, and you ask  
7 someone what they feel about something, and they  
8 can put a dot in there. The visual analog scale  
9 is not the most rigorous thing as it turns out,  
10 like if you change the visual analog scale from  
11 this way to that way, people put different  
12 answers. People talk about there's like  
13 psychological gravity. People tend to go lower  
14 when it's up and down. And then there are other  
15 standardized quality of life instruments, and they  
16 can be converted to things like qualities.

17           There's lots of issues with qualities.  
18 I'm not here necessarily to defend qualities, but  
19 I want to put qualities up there as one measure,  
20 and I'm looking over it as Lisa Prosser and Scott  
21 Grosse, who I'm going to ask them to answer any

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

186

---

1 difficult questions anybody asks about qualities.

2           That being said, I think it's fair to

3 show some qualities that appear in the literature,

4 just to show how they can be kind of funny. So,

5 this is a study that was done by Anna Carroll and

6 Steve Downs that was published in the Journal of

7 Pediatrics ten years ago now where they just kind

8 of went all around Indianapolis to practices,

9 urgent care centers, health fairs, and even the

10 Indiana State Fair, just getting convenient

11 samples of individuals just to get a sense of what

12 utility they put on things and use the standard

13 gamble. And if you go to the article, they have

14 like lists and lists and lists of things that

15 people wrote about different conditions.

16           And I picked four things that were

17 chronic diseases just to kind of show you when

18 they did the standard gamble. So, living with

19 mild ADHD had a utility of 0.94 with a range of

20 0.72 to 1.0. so, that's a pretty big range and,

21 you know, I kind of think like well mild ADHD,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

187

1 maybe I have mild ADHD when I look at how I get  
2 things done. But, you know, is that -- how big of  
3 a deal is that?

4           Monocular blindness. So, imagine being  
5 blind in just one eye. The range in there from  
6 the 5th to the 95th -- so, the median was 0.88  
7 with a range of 0.5 to 1.0. So, that's a huge  
8 range, and I kind of think about like if I were  
9 blind in an eye, like, how terrible would that be,  
10 you know. But I'm surprised that that's below  
11 mild ADHD and the numbers they got.

12           Severe bilateral vision loss. So, that's  
13 being blind in both eyes. Actually, it didn't  
14 seem that far in terms of the median from being  
15 blind in an eye.

16           And then, severe intellectual impairment,  
17 as you might guess, had a much lower utility with  
18 a very wide range from 0.1 to 1.0.

19           So, the reason I put this up is just to  
20 show how much variability there can be in utility  
21 assessments and, you know, nobody has to share

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

188

---

1 with me what they think, but think about how you  
2 feel about, you know, where you might fall within  
3 these things.

4           So, beyond that, there are challenges in  
5 figuring out utilities. So, understanding a  
6 health condition, right? So, you can't really  
7 give a, you know, report your utility on something  
8 unless you really understand the health condition,  
9 and a lot of the health conditions that we're  
10 talking about are really complicated. It's  
11 important to understand the perspective. And  
12 there are also all sorts of contextual factors,  
13 factors outside of the condition itself that could  
14 affect what the utility is. Again, at the end of  
15 my talk, maybe I'm going to invite Scott or Lisa  
16 to see if they have anything else to say about  
17 quality or utility assessment.

18           So, there are other ways of getting  
19 utility, and one of the things that I got really  
20 excited about after we had that in-person meeting  
21 was this notion of a citizens' jury. So, a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

189

---

1 citizens' jury -- the notion is you pick a group  
2 of people that are representative of the public.  
3 They typically have up to 20 people, and it's kind  
4 of like Grand Jury. You give them tons of  
5 information and substantial time to deliberate --  
6 as much time as they need, and let this group come  
7 and let you know how, you know, the range of  
8 values or what they think ought to be done.  
9 Obviously, there's substantial risk of bias if  
10 that's not done properly, and I think, you know,  
11 all of us have seen, you know, like the focus  
12 groups that they do on the news around election  
13 time to show what the public is thinking about,  
14 you know, candidates and that kind of thing. You  
15 know, it probably makes everyone break out in  
16 hives. But, that being said, if you do the  
17 citizens' jury thing right, it can really be  
18 informative, and they've been used in Europe and  
19 in Australia for all sorts of things.  
20           So, I'm just going to read this list,  
21 because I think it's so interesting. Legislative

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

190

---

1 reform of insurance for injury compensation after  
2 motor vehicle collision, taxing soft drinks,  
3 ethics of mitochondrial donation using assisted  
4 reproductive technology, extend of patient control  
5 of their medical records for research, cystic  
6 fibrosis carrier screening in Italy, bariatric  
7 surgery in Australia, government funding of  
8 adolescent vaccinations, and screening for  
9 prostate cancer in Italy. So, other groups have  
10 used citizens' juries, but the -- when you read  
11 these articles where they talk about the use of  
12 citizens' juries, these citizens' juries got, you  
13 know, weeks and weeks and tons of information  
14 about it, and when you think about newborn  
15 screening, even though I was like initially  
16 excited about this idea, the -- the practicality  
17 has sort of come into play.

18           Another method is this issue of using  
19 public surveys, and I would like to acknowledge  
20 Committee member Dr. Beth Tarini, who has done  
21 some of this survey kind of stuff to get a sense

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

191

---

1 of public preferences around newborn screening.  
2 So, they are certainly more feasible to administer  
3 surveys to a nationally representative panel.  
4 Now, that's not to say it's easy, but it's more  
5 feasible. And depending on how you set things up,  
6 you can assess preferences using sophisticated  
7 approaches like those that are used in marketing.  
8 You know, these -- not like a simple, you know,  
9 like Survey Monkey kind of thing that we get, but  
10 things with real logic that can, you know, drill  
11 more deeply into preferences and values. And, you  
12 know, it was interesting because, you know, I  
13 don't know, Dr. Tarini, if you want to stop now  
14 and comments on this, or if you want me to keep  
15 going. But this was a study of adults asking them  
16 about characteristics related to newborn screening  
17 that they, you know, think are important. And  
18 just pulling from the discussion section, the  
19 impact of newborn screening on treatment success  
20 was not associated with the recommendation for or  
21 against newborn screening for a profile condition.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

192

1 I'm quoting that, I think, correctly. And that  
2 cost was the most important attribute, and then  
3 the age at which treatment would start. And, you  
4 know, it sort of flies in the face of a lot of the  
5 kind of more policy stuff that we talk about, and  
6 there's reasons that that could come up. I mean,  
7 certainly, that could just be what people think,  
8 but also it's sort of the challenge too of  
9 training people to think in a -- in a public  
10 health perspective is also challenging.

11 But I think that this -- this report is  
12 particularly important, and I would encourage  
13 members of the Advisory Committee to take a look  
14 at it. Dr. Tarini, I don't know if you want to  
15 comment on this or if I should just keep going.

16 DR. BETH TARINI: Just to thank Dr.  
17 Prosser, who had the AHRQ R01 that funded this  
18 project, which I was the co-investigator, and she  
19 is the senior author.

20 MS. JOAN SCOTT: Can I ask a question  
21 since we're pushing pause on here? Was this a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

193

---

1   discreet choice of methodology?

2                   DR. BETH TARINI:   It was -- go ahead.

3   This is the expert.

4                   DR. LISA PROSSER:   I just want to make a  
5   couple of -- thank you -- comments about this  
6   paper, because --

7                   DR. CYNTHIA POWELL:   Please state your  
8   name.

9                   DR. LISA PROSSER:   Lisa Prosser,  
10   University of Michigan, thank you.   So, this  
11   survey, one of our conclusions after going through  
12   this process, it was extremely difficult to frame  
13   these questions in a way that the public could  
14   answer them in a reasonable manner, and, in fact,  
15   we're presenting in this -- in this paper the  
16   results from the best, which worked much better  
17   than the discreet choice experiment, which part of  
18   our conclusions was that that part of the survey  
19   really did not work well.   And one of the  
20   conclusions that we come to in this paper is that  
21   a citizens' council or a citizens' jury approach

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

194

---

1 for newborn screening would likely be much better  
2 because of the extreme complexity of the process.  
3 We have used this technique well in other public  
4 health interventions like vaccines where people  
5 have a baseline knowledge of that decision-making  
6 process. But here, they really need much more of  
7 an introduction, and that's very difficult to do  
8 in a 15-minute survey.

9 DR. BETH TARINI: Thank you.

10 DR. ALEX KEMPER: Anything else? Okay.

11 Any other questions about the study in particular  
12 before I keep moving on? Okay.

13 So, one of the things that our group and  
14 certainly K.K., Ashley, and I talk a lot about is  
15 this notion of multi-criteria decision analysis,  
16 which builds on top of all the things we have been  
17 talking about. So, I'm going to highlight one  
18 particular multi-criteria decision analysis  
19 process, not because I necessarily think it's the  
20 best, but it's the one that we know the most  
21 about, and we've, you know, in the past spoken to

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

195

1 representatives of the group. It's called EVIDEM,  
2 and they look at the value of an intervention by  
3 domains including the need, comparative outcomes,  
4 economic consequences, knowledge about the  
5 intervention, and then what we're talking about  
6 today, population priorities. And they have this  
7 like very complicated model of points and that  
8 kind of thing. But the key thing is it's -- it's  
9 a process that pulls together these different  
10 things within different domains as a way to make  
11 sure that you're thinking systematically about all  
12 the components that need to be considered, and  
13 it's also, I think, a nice framework for  
14 explaining the different attributes of a decision.

15           So, again, just reading from their work,  
16 it's situated within contextual factors such as  
17 alignment with priorities. This gets to a lot of  
18 the stuff we were talking about in newborn  
19 screening parameters before, environmental  
20 sustainability, system capacity, and the  
21 political, historical, and cultural context. So,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

196

---

1 obviously there's a lot of stuff that goes into  
2 decisions.

3           So, the EVIDEM framework when they look  
4 at value, looks at -- at need for the  
5 intervention. So, how bad is the condition,  
6 what's the size of the potential population, what  
7 are the current unmet needs, what are the  
8 comparative outcomes, what are the types of  
9 benefits, is it a preventative service or a  
10 therapeutic service, what are the economic  
11 consequences both medical and nonmedical, how  
12 certain are we -- they talk about the knowledge,  
13 about the intervention including the degree of  
14 evidence and expert consensus, and the do have a  
15 scoring system that has been adapted for rare  
16 disease, not newborn screening, but rare diseases  
17 that affect adults.

18           So, here's an example of therapeutic  
19 interventions that have been assessed using  
20 EVIDEM, so pulmonary arterial hypertension,  
21 gastroenteropancreatic neuroendocrine tumors,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

197

---

1 which again is not a pediatric thing necessarily,  
2 non-Hodgkin lymphoma, thyroid cancer, dementia,  
3 and Prader-Willi syndrome.

4           And then on the prevention side, there  
5 may be other things, but what I was able to find  
6 was looking at comparing different methods of  
7 cervical cancer screening in South Africa.

8           So, I think I've probably opened up a lot  
9 of questions that you all have, none of which I'm  
10 probably going to be able to answer. But I'm  
11 going to just throw in other things to think  
12 about. So, first of all, I think that, as with  
13 everything that we do when we start gathering  
14 data, it's starting from square one, why assess  
15 these and how is it going to be used in the  
16 decision-making process, who are our stakeholders,  
17 what values and preferences are needed to  
18 facilitate the decision-making process, so maybe  
19 we don't need to evaluate everything, or maybe  
20 there are certain values and preferences that we  
21 can assess, even in the absence of, you know, like

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

198

---

1 a particular newborn screening condition that  
2 would like carry through and that you could reuse,  
3 and what are the key points that are needed, how  
4 can the relevant values and preferences be  
5 elicited.

6           So, we talked about some methods, and  
7 again thinking about the review process itself and  
8 maybe this is like self-serving, but just to think  
9 about the constraints that we're in when in the  
10 review process, should values and preferences be  
11 elicited. And, like I said before, I think that  
12 there are probably some things in general that we  
13 might be able to work on ahead of time and, you  
14 know, have kind of a plug-and-play for certain  
15 things. But there are going to be a lot of  
16 condition-specific things.

17           So, now I have asked a bunch of questions  
18 and I'm a little nervous standing here because I  
19 don't know any of the answers.

20           DR. CYNTHIA POWELL: All right. We can  
21 open this up to Committee members first. Melissa.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

199

---

1 DR. MELISSA PARISI: Melissa Parisi, NIH.  
2 So, I'm really intrigued by this notion of a  
3 citizen jury and how that might be incorporated  
4 into the review process. And I guess I'm thinking  
5 out loud here, would you conceivably include  
6 family members who have some familiarity with the  
7 condition? Would you want people who, you know,  
8 would just come in blinded and not have  
9 familiarity with newborn screening or the  
10 condition and then basically give them the same  
11 framework of educational information? And could  
12 that be done at a process of the evidence review  
13 that you would already have some of the basic  
14 evidence gathered, but perhaps at the same time  
15 when you're doing the public health assessment  
16 such that if it took, I don't know, several weeks  
17 or it was a series of conference calls or  
18 something along those lines, you could actually  
19 incorporate it and include it in the reporting  
20 back.

21 DR. ALEX KEMPER: So, like I said, I

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

200

---

1 don't know any of the answers, but I'll tell you  
2 what I've been thinking about. So, I got really  
3 excited after our in-person meeting about the  
4 notion of citizens' jury, and then, you know, when  
5 you think about them supposed to be broadly  
6 representative and, you know, who exactly should  
7 be on it, you know, parents or individuals with  
8 the affected condition versus the general public,  
9 I -- I sort of like, you know, wilted under the  
10 pressure and got nervous that that was going to be  
11 feasible to do, especially with how much work it  
12 takes to educate people. But, this is one the  
13 things where I was going to call on Lisa as well  
14 because I know that you've spent a lot of time  
15 thinking about it. I know if you -- do you want  
16 to come up here so people can see you better?

17 DR. LISA PROSSER: So, I think, you know,  
18 leaving -- leaving aside for now the practical and  
19 logistical considerations, I think, you know,  
20 ideally if we could incorporate both the patient  
21 and family perspective as well as the public

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

201

---

1 perspective, that that would really enhance the  
2 process. And when we think about health  
3 technology assessment, we typically view those as  
4 two separate but very important views in the  
5 process. That the patients and families, you  
6 know, have a perspective, that they have  
7 experienced these diseases, you know, in the  
8 broader literature of evaluating health conditions  
9 from, you know, all different types of health.  
10 Public values -- people that thinking about  
11 imaging a health condition tend to place different  
12 values than people that have experienced the  
13 condition or -- or have a family member that's  
14 experienced that condition. But both of those  
15 perspectives are typically important, especially  
16 when we're thinking about a public health program  
17 that will be, you know, is being funded, you know,  
18 at the public level.

19 MS. JOAN SCOTT: Just to both of those  
20 perspectives -- sorry, Joan Scott, HRSA. Both of  
21 those perspectives are important and potentially

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

202

---

1 different. In a citizens' jury format though,  
2 with only 20 people, are you suggesting that those  
3 would be incorporated into that --

4 DR. LISA PROSSER: I would suggest we  
5 have two.

6 MS. JOAN SCOTT: Yeah, okay.

7 DR. LISA PROSSER: Two, yeah. And you  
8 could set up, you know, two citizens' juries, not  
9 that they can't ever talk to each other --  
10 actually it might be quite interesting to have  
11 some cross-talk among those groups, that if you  
12 set them up over -- typically they have a standing  
13 term, you know, of two to three years. And so,  
14 it's not as if, you know, on the public surveys,  
15 you know, we're trying to educate people at a  
16 single point in time every time we ask them a  
17 question, and here they would be able to have the  
18 background and the context of this whole decision-  
19 making process when new conditions come up.

20 DR. CYNTHIA POWELL: Beth.

21 DR. BETH TARINI: Beth Tarini. To remind

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

203

---

1 the members of the Committee that the Iowa Newborn  
2 Screening Program held a citizen jury about their  
3 newborn screening that Kim Piper and Dr. Michelle  
4 Gornick led, and I believe Kim presented to the  
5 Committee on the findings -- I don't -- I wasn't  
6 involved -- which were there -- there were diverse  
7 and at-odds in some -- it was just as ours found,  
8 if I remember correctly, but I don't want to quote  
9 it directly.

10 UNIDENTIFIED FEMALE SPEAKER: Was it  
11 general public?

12 DR. BETH TARINI: It was general public.

13 DR. CYNTHIA POWELL: Natasha.

14 MS. NATASHA BONHOMME: Hi, Natasha  
15 Bonhomme. I have two comments. One is, I think  
16 it's great to be discussing ways to incorporate  
17 both the public perspective as well as those who  
18 are affected or, you know, touched by a condition  
19 more closely. But I don't honestly think that  
20 those are the only kinds of public things that we  
21 lump into public or advocacy groups. There are

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

204

---

1 also organizations that look at the trends the  
2 same way that you can have a pediatrician on a  
3 panel, but then you can also have a representative  
4 of EAP that may be looking at trends in the field.  
5 So, I -- I think sometimes we distill all the  
6 groups just into public/advocate/family and that  
7 there may be a lot of others in the context, and  
8 this is more a comment not just for this piece but  
9 the discussion of the entire afternoon. So, just  
10 wanted to note that.

11           And then, I also wanted to -- I  
12 apologize, I don't know if this is a question or a  
13 comment -- but just some discussion around what  
14 does it mean to be assessing value and the  
15 decision-making process in a mandatory program. I  
16 think that is something that often times comes up,  
17 especially when we're talking about educating and  
18 engaging people, but the concept of decision-  
19 making is that there is a decision to make, and a  
20 mandatory program depending on how someone  
21 interprets that is that there is less of a

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

205

---

1 decision to make. And just as we're bringing up  
2 these different words, just what does that mean in  
3 this context.

4 DR. LISA PROSSER: So, can I comment on  
5 this -- or to comment on the stakeholder groups, I  
6 think that's a really important point, and during  
7 the EAP meeting, we did discuss to some extent  
8 that there are many stakeholder groups who may  
9 have values and preferences about this process.  
10 But the discussion really focused on that the most  
11 important groups that we're not including right  
12 now, at least from our discussion, and I think  
13 that's for the Committee and others to discuss as  
14 well, are the patient/family preferences and  
15 public preferences that we don't have those  
16 incorporated into the process. I'll let you add  
17 to that.

18 DR. ALEX KEMPER: Can I just attention  
19 add -- sorry, but I just -- so, you know, we have  
20 a really big country too, and I always worry about  
21 when there's like one or two families that are

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

206

---

1 supposed to represent the few points of all  
2 families or in this case, even 20 families,  
3 especially when you think about the, you know, the  
4 rampant disparities in our healthcare system even  
5 beyond the, you know, individual differences in  
6 perspectives. And I will say, you know, MCHB has  
7 done great work in addressing disparities that we  
8 have in our healthcare systems. I just wanted to  
9 acknowledge that. But it's just really hard for  
10 me to figure out who -- how, you know, in a  
11 country of whatever it is -- 300 million people --  
12 that we have this kind of generalized thing.  
13 There's again probably a solution to that if the,  
14 you know, if this is the approach that will be  
15 used, but I just think it's really important to  
16 get in there, and I think, you know, Natasha, you  
17 raised the question of what's the decision. Well,  
18 I mean, the decision ultimately is whether or not  
19 individual programs added onto their newborn  
20 screening program not at the individual level when  
21 something is added to newborn screening unless

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

207

---

1 people opt out, and obviously we don't want that  
2 to happen. But I think that's one of the reasons  
3 why it's so important to assess these values and  
4 preferences and incorporate it into the process.

5           And it could be that, you know, at the  
6 end of the day, different methods will have to be  
7 used in terms of, you know, these are not  
8 exclusive, right? So, you could have a citizens'  
9 jury, you could also do some sort of other online  
10 approach, expert advice, you know, point scaling  
11 like EVIDEM would suggest. I mean, it could be  
12 amalgam of things. But these are all really  
13 difficult questions. I'm sorry, I didn't mean to  
14 preempt you.

15           DR. CYNTHIA POWELL: So, next we have  
16 Kyle and then Annamarie, Robert, and Beth. Kyle.

17           DR. KYLE BROTHERS: I think -- I feel  
18 like I'm still kind of forming my opinions about  
19 this. It's such a complex set of considerations.  
20 Just from the perspective of thinking about this  
21 is a Committee that's supposed to make decisions

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

208

---

1 and should be hearing from stakeholders, and then  
2 what does it mean for someone else at some other  
3 point in time to hear from stakeholders selected  
4 in some way and then represent those preferences  
5 and values through some kind of report, and how do  
6 we distinguish between those two sets of  
7 information and how we balance them. I mean, it  
8 becomes -- I mean, this whole process is about  
9 rhetoric in some ways, right? It's about -- it's  
10 not so much about understanding what the values  
11 and preferences of stakeholders are, I think  
12 that's really quite important, but the decision-  
13 making of this kind of body seems to me to take  
14 into account the sort of rhetoric from the  
15 perspective of what are the techniques or methods  
16 used to convince another person to think  
17 something, right, or to agree with you. So, just  
18 thinking about, you know, the really compelling  
19 stories that we hear from families can be very  
20 convincing. That's a very convincing kind of  
21 rhetoric, right?

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

209

---

1           But then thinking about, you know, what  
2 about the false positive families, and they don't  
3 really get together as kind of an advocacy group.  
4 They just kind of like randomly pass through this  
5 false positive process, and then they kind of go  
6 on with their lives. But they have a perspective,  
7 and it would be really hard to hear that  
8 perspective. So, I guess what I'm saying is I'm  
9 still trying to think through what it would mean  
10 for you all to bring values and preferences as a  
11 part of a report versus -- and representing other  
12 perspectives to us versus us as a Committee being  
13 in a position where we have to make a decision and  
14 consider stakeholders directly speaking with us.  
15 So, anyway, no answers there. There's just a lot  
16 of complexity.

17           DR. ALEX KEMPER: Well, and I'm going to  
18 channel my inner Joe Bocchini. I mean, part of  
19 the reason that he wanted to push forward with his  
20 notion of assessing values was to be able to reach  
21 beyond just the group of individuals that -- and I

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

210

---

1 don't mean to minimize, I mean, they're incredibly  
2 important, but to make sure that there's more  
3 holistic assessment of values and preferences, and  
4 that's one of the things that gave birth to this  
5 whole thing.

6 DR. KYLE BROTHERS: Yeah. In that case,  
7 I think citizen jury might not be the best  
8 context, because it could be that there are rather  
9 isolated kinds of perspectives like the true  
10 positive perspective just as an example, but there  
11 could be others that it would be really hard from  
12 20 people or 50 people or 100 people or ever 1,000  
13 people to really get the perspectives -- to really  
14 get a holistic view of the perspectives.

15 DR. ALEX KEMPER: I'm just going to jump  
16 in. Somebody's listening in and typing. So, if  
17 you're typing, put your phone on mute.

18 DR. CYNTHIA POWELL: Yeah, I was going to  
19 ask -- is there someone on the phone line who  
20 wants to make a comment, in which case, I'll add  
21 you to the list. If not, could you mute your

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

211

---

1 line, please?

2 DR. LISA PROSSER: So, I will respond by  
3 saying that I think that what the value -- the  
4 value of adding values and preferences would be is  
5 that we would move from the individual experience  
6 to more of a group perspective. And again, given  
7 that we have a very large country and we're still  
8 going to be only including a select number of  
9 individuals there, I think viewing this from the  
10 perspective of, you know, this is a process of  
11 health technology assessment, that the discussion  
12 that was happening was that there is this very  
13 important group of stakeholders, and we hear from  
14 them to some extent, but is there a way that we  
15 can systematize and better reflect that group  
16 perspective for the Committee. And I don't know  
17 that we've settled on a specific process for that,  
18 but that's the goal.

19 DR. CYNTHIA POWELL: Annamarie.

20 MS. ANNAMARIE SAARINEN: Thanks. I may  
21 have -- I'm not going to be very elegant here,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

212

---

1 because I've kind of lost what I initially wanted  
2 to say as a follow-up to Melissa's comment, and I  
3 just wanted to say how much I appreciate you,  
4 Melissa, because you were saying exactly what I  
5 was thinking at the time. I think the processes  
6 that you were outlining, Alex, could be incredibly  
7 useful and, like you said, they don't need to be  
8 done in a vacuum. You could do a citizen jury in  
9 one state and maybe look at a different method in  
10 other states.

11           But if you look out into the real world,  
12 there are focus groups, for lack of a more medical  
13 term, conducted every day among the private sector  
14 when they're developing products and services, and  
15 part of having a focus group is trying to get a  
16 representative sampling so that you know how  
17 different audiences that might want that product  
18 or service will respond to it. And I think if you  
19 sort of watched how things have progressed over  
20 the last 20 years or so when it comes to vaccines,  
21 there are some really important lessons to be

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

213

---

1 learned there, both on the plus side in terms of  
2 communication and advocacy and outreach, and on  
3 the not so plus side. I'm sure if you asked a  
4 person about their perception of vaccines, if  
5 their children had them on schedule and had gone  
6 through their process that they would be, you  
7 know, have a completely different perspective than  
8 someone whose child may have had a vaccine injury.  
9 So, our perspectives among families who are  
10 impacted by conditions, I think we all know how  
11 important that perspective is. And how will that  
12 -- like, let's just say if you're trying to -- and  
13 I'm not sure you were trying to say that this was  
14 something you would do -- but if you're trying to  
15 equalize the perspective of families who have  
16 children impacted by conditions with the general  
17 population, then that would be a difficult thing  
18 to do, because 20 people in that one group and 20  
19 people in the other group, I think that wouldn't  
20 be useful for us as a Committee to be taking that  
21 information and trying to input it into a dataset

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

214

---

1 that allows us to make these decisions. But I  
2 really appreciate all the thoughtfulness that  
3 you've all put into us thinking about this so that  
4 stakeholders are more broadly represented in the  
5 process, and I think the end result will be  
6 something better.

7 DR. LISA PROSSER: Great. Thank you for  
8 that comment, and we didn't mean to in any way  
9 intend to create a process that made any kind of  
10 value judgment about the, you know, the  
11 contributions of those different perspectives.  
12 And, in fact, part of our conversation was that to  
13 make sure that there was a more complete  
14 representation of the perspective of patient and  
15 families integrated directly into the assessment  
16 process. I think that -- I say I'm speaking for  
17 myself for these next few comments -- but that if  
18 we think about the evaluation of the evidence  
19 review that we do now, it's primarily  
20 quantitative, and that this would really bring  
21 into a qualitative perspective and I'd really

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

215

---

1 appreciate your comments about focus groups,  
2 because that's exactly what this -- whatever we  
3 decide here, that's the objective of that, to  
4 bring that kind of qualitative information into  
5 the Evidence Review Process.

6 MS. ANNAMARIE SAARINEN: And just one  
7 quick note about the amount of time and energy  
8 that Baby's First Test and Resources I think have  
9 put into kind of understanding the general public  
10 perspectives again on newborn screening. I mean,  
11 this goes back to, I don't know, Natasha, like ten  
12 years ago, I think. There's probably still some  
13 really, really relevant data there, yeah, that  
14 potentially isn't condition specific or maybe they  
15 even have some stuff that's condition specific.  
16 But I think there are some things there and what  
17 Beth said that was done in Iowa. I mean, there's  
18 things we can draw from.

19 DR. LISA PROSSER: Um-hum, absolutely.  
20 Absolutely.

21 DR. CYNTHIA POWELL: Robert.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

216

---

1                   DR. ROBERT OSTRANDER:  Bob Ostrander,  
2 American Academy of Family Physicians.  I'm going  
3 to touch on a very specific part of the evidence  
4 review and decision making and how it dovetails  
5 with this, I think, partly as an example and to  
6 get your thoughts on how one solves this type of  
7 dilemma.

8                   So, what I want to talk about is when the  
9 Committee and the Evidence Review team has  
10 uncertainty about the benefits of pre-clinical  
11 detection of a condition.  It's something we see  
12 all the time in primary care adult medicine, but  
13 we have this issue with cancer screening, and  
14 there is a big value piece to this.  If people  
15 have early detection, it doesn't necessarily  
16 change -- and it truly doesn't necessarily change  
17 the outcome.  The value preference when someone  
18 has clinical symptoms is I'm sure glad we caught  
19 this early because now I know that everything was  
20 done that could have been done, even though in  
21 reality that didn't really change the outcome or

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

217

---

1 initiation of treatment.

2           On the other hand, if you diagnose  
3 somebody in the pre-clinical phase, the harms of  
4 early detection are very real, as simple as losing  
5 good quality of life years worrying about when the  
6 shoe is going to drop, and I went through this  
7 with a kid with pre-leukemia once on the pediatric  
8 side. We go through it all the time with people  
9 with, you know, PSAs that are abnormal waiting for  
10 the shoe to drop and then the other harms of, you  
11 know, maybe starting treatment early that are  
12 toxic because you've made the diagnosis and you've  
13 robbed people of the blissfully ignorant high-  
14 quality lifetime they have. And I think you're  
15 going to have a hard time getting focus groups and  
16 -- and, you know, clinical or, you know, juries to  
17 necessarily understand or see that, and how do we  
18 wrap our heads around, you know, the harms of  
19 early detection and including that in our value  
20 matrix when it comes to these situations where  
21 there's a question about the value of early

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

218

---

1 detection. I hope that was clearer than mud.

2 DR. ALEX KEMPER: Well, I'm going to --  
3 so, you're right. These are really, you know,  
4 difficult clinical questions that come up, and I  
5 just want to drill in to make sure that we  
6 understand your question, and then I'll going to  
7 make Lisa answer, which is how do you make sure,  
8 like if you go to the citizens' jury perspective,  
9 that the -- that the individuals who are on that  
10 understand the kinds of things they're weighing  
11 off against one another. Is that -- is that your  
12 question? Or just that in general these are  
13 really difficult things to do?

14 DR. ROBERT OSTRANDER: What I'm -- what  
15 I'm -- my question is, is how do you get the  
16 awareness of the harms of preclinical detection  
17 into the discussion, because I don't think that's  
18 something that non-clinicians -- lay people can  
19 wrap their head around very much, partly because,  
20 you know, everybody in my generation and older  
21 grew up with, you know, here's the eight signs of

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

219

---

1 cancer, and early detection is the key, and they  
2 think that about everything in life. So, how, you  
3 know, how do you -- how do you get that into the  
4 discussion so that it's given equal weight to the  
5 other side, which is the benefits of early  
6 detection, because, I mean, that's the struggle I  
7 have all the time, and I think it has a really,  
8 really big value. I think if people understood  
9 that value, they would have a little different  
10 vote on their citizen jury. So, how do you -- how  
11 do you --

12 DR. ALEX KEMPER: So, I --

13 DR. BETH TARINI: Can I jump in? Because  
14 I've actually been on a citizen jury.

15 DR. ALEX KEMPER: Okay, yeah. Why don't  
16 you --

17 [Simultaneous speaking.]

18 DR. BETH TARINI: You've been in one?  
19 You've been in one?

20 UNIDENTIFIED FEMALE SPEAKER: Go ahead.  
21 No, I haven't. But I have comments about how we

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

220

---

1 see this play out.

2 DR. BETH TARINI: I have facilitated as  
3 part of a citizen jury -- oh sorry, Beth Tarini,  
4 Committee member. I've actually facilitated a  
5 citizen jury at the University of Michigan that  
6 was on designating authority to a surrogate on  
7 Alzheimer -- participation in Alzheimer clinical  
8 trials. Like, it was hard to get more complicated  
9 than that, and these people did not necessarily --  
10 they were taken out of the phone book, like they  
11 did not necessarily have anything. So, how did  
12 they -- to your question -- how did they do it?  
13 They very carefully -- Dr. Kim, who is now, I  
14 believe at the NIH, Scott Kim -- very carefully  
15 constructed a series of lectures and question and  
16 answer sessions which touched on all of the issues  
17 there. The speakers were very well prepared, you  
18 know, it wasn't -- there was no persuasion. The  
19 factor of persuasion was mitigated to the best of  
20 their ability, and the facts were presented, and  
21 all of the sides were presented, and then they

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

221

1 went through one by one. And I can tell you at  
2 the table anecdotally, I was impressed that they  
3 were able to -- what the public was able to pick  
4 up from the beginning to end. But everything was  
5 very well curated. He actually likens it to  
6 preparing a wedding. It is so highly orchestrated  
7 and curated. You would not believe the amount of  
8 work that goes into it.

9 DR. ROBERT OSTRANDER: So, without that,  
10 I probably wouldn't want to trust it.

11 DR. BETH TARINI: What did you say? I'm  
12 sorry.

13 DR. ROBERT OSTRANDER: Without that, I  
14 probably would not want to trust it with something  
15 where there's --

16 DR. BETH TARINI: Right.

17 DR. ROBERT OSTRANDER: -- where there's  
18 this -- where there's --

19 DR. BETH TARINI: It was an R01 funded  
20 NIH. So, I think --

21 [Simultaneous speaking.]

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

222

---

1 DR. ROBERT OSTRANDER: But, you've got --  
2 there's certain areas that there is implicit bias  
3 and this lead time thing is one of them, and in  
4 order to overcome that with a citizen jury, you're  
5 going to have to invest time to bring them kind of  
6 up to our level, if you will --

7 DR. LISA PROSSER: I think so.

8 DR. ROBERT OSTRANDER: -- and I wouldn't  
9 trust if that wasn't done.

10 DR. LISA PROSSER: I think so, and that -  
11 - that is the advantage of having a citizen jury  
12 in a context like this, because it would be a  
13 standing group that you could educate over time as  
14 opposed to a one-time focus group. I agree it's  
15 similar to our public survey, extremely difficult  
16 to get people to try to understand, you know,  
17 these concepts. But they can get there and it  
18 will take careful planning -- that's a great  
19 description.

20 The other piece of that is to recognize -  
21 - and I think this is the piece that would have

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

223

---

1 value for the Committee -- is that there is real  
2 heterogeneity in preferences around things like  
3 early detection, and that would be important to be  
4 a part of the conversation here.

5 DR. CYNTHIA POWELL: Joan.

6 DR. BETH TARINI: Did I -- oh, was I on  
7 the list because I just jumped in. But I have --  
8 I wanted to say, sorry, Beth Tarini, Committee  
9 member. First of all, Dr. Gornick from AKIAC  
10 [phonetic] gave me the three bullet points from  
11 that meeting -- from the -- the work -- she says  
12 she believes it's under submission, that the  
13 public was not for adding everything, actually, in  
14 their discussion, that they wanted more  
15 communication before the baby was born regarding  
16 what newborn screening is, what was on the panel.  
17 And they focused on specific conditions, but the  
18 point was taken that screening for everything just  
19 because the technology is there was not lost on  
20 the public. So, further -- again, this is her  
21 perspective having run in partnership with Kim

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

224

---

1 Piper, the head of the newborn screening program  
2 in Iowa -- that program that these -- these --  
3 these complicated issues are not lost on the  
4 topic, and on the public. And I want to say that  
5 I do think that with time that the public is --  
6 they've lived some of this. They've lived  
7 mammograms. They've lived prostate screening,  
8 especially the older members of the public. So, I  
9 -- I think that there's -- as a physician,  
10 sometimes we think it's just too complicated for  
11 the public to understand. But I think if done  
12 well, some of these complex issues can be  
13 communicated, and the public can understand them.

14           That being said, I want to remind the  
15 Committee and the community that this is a shift.  
16 We are talking about now -- when we say public at  
17 this meeting, immediately I would say many of us  
18 think advocacy groups. When we say public in a  
19 citizen jury, we mean like going to the DMV,  
20 right? And so, this is what you're going to get  
21 in terms of perspective. And so, the other

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

225

---

1 question I have is -- I'm not saying it is or is  
2 not a good idea -- is that what are we going to do  
3 -- if -- we need to be comfortable with the  
4 information we get, because if the public tells us  
5 it's not worth the money to screen, are we going  
6 to say okay, well the public just doesn't  
7 understand. If the public says we should screen  
8 for something for which there is no treatment --  
9 the information is the only -- there's no -- there  
10 is no modification of symptoms that the benefit is  
11 to the family or that information is the benefit,  
12 are we going to maintain a mandatory newborn  
13 screening system? So, we have to both be aware of  
14 the potential data that we get, and we, you know,  
15 what -- that we respect what we get, and how it  
16 fits within the structure of the system which is  
17 currently a mandatory state-based newborn  
18 screening.

19 DR. CYNTHIA POWELL: Joan.

20 MS. JOAN SCOTT: Everything Beth just  
21 said. I want to corroborate what Beth just said

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

226

---

1 about the complexity of the information, because I  
2 participate -- I've run some of not exactly  
3 citizen jury but citizen-like jury things, and  
4 it's interesting, approval often goes down over  
5 time as individuals learn more about the, oh, well  
6 I never thought about, oh, hmm, ah. And so,  
7 approval often can very easily go down. So, but -  
8 - and it can be very complex and nuanced  
9 information, but it does require a lot of  
10 resources and efforts to get to that point. It is  
11 not a trivial undertaking.

12           So, you know, one of the things I wonder  
13 is if -- a couple of things have been suggested  
14 here. There is information that is out there that  
15 has been done over the years in different context,  
16 and I think it would be useful to compile and hear  
17 about all of that -- about refresh ourselves on,  
18 you know, what we -- what is known about  
19 preferences in this area. And -- and I'm  
20 wondering if there's also a potential role though  
21 for -- maybe not for every single condition --

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

227

---

1 would there be information that, using one of  
2 these approaches, that we could find out about --  
3 about where the pain points are or what are the  
4 criteria by which individuals judge these things  
5 as opposed to every single -- the nuance of every  
6 single condition that may come up. So, that's  
7 another -- that's another potential approach.

8 [Simultaneous speaking off mic.]

9 DR. BETH TARINI: This is what Dr.  
10 Prosser -- in the survey we did, right? I don't  
11 know if you want to speak to it.

12 DR. LISA PROSSER: Go ahead.

13 DR. BETH TARINI: Beth Tarini, Committee  
14 member. We had -- we only had 15 minutes to  
15 explain, you know, how long we took the survey  
16 online. But what we did was aggregate the  
17 disorders as best we could and the types of  
18 disorders we knew of and could imagine as well as  
19 the treatment and everything into -- what's the  
20 word I'm looking for that we always use to use?

21 DR. LISA PROSSER: Attributes.

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

228

---

1 DR. BETH TARINI: Attributes, right.  
2 Like, you know, these can be aggregated up, if you  
3 will, into something that is serious and deadly  
4 but manageable early or, you know, has -- when the  
5 life span peters out, when the symptoms come on,  
6 all of these attributes is I think what you're  
7 saying, Joan.

8 DR. ALEX KEMPER: Like exemplar  
9 conditions, because you can imagine there's like  
10 congenital hypothyroidism, there's SCID that  
11 represents -- you know what I mean, like this  
12 different -- PKI, you know, these conditions that  
13 are similar to a lot of other ones.

14 DR. CYNTHIA POWELL: Kyle.

15 DR. KYLE BROTHERS: Yeah, this is Kyle  
16 Brothers. I'll just start off by saying I'm a  
17 qualitative researcher, so I have that bias, but  
18 on the other hand, I'm also an ethicist, so I'm  
19 always -- one of the issues I think about a lot is  
20 to what extent do we let public view, as something  
21 I said earlier, affect an actual decision, you

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

229

---

1 know, in terms of what weight does it carry, how  
2 do we decide what kind of weight it carries, all  
3 those sorts of things.

4           I just wanted to point out two things  
5 that we should consider. One is that there --  
6 there's sort of extensive evidence throughout the  
7 history of humankind that stated intentions,  
8 preferences, et cetera under a hypothetical  
9 situation can be very different in comparison to a  
10 real situation and so, you know, you think about a  
11 family that has actually been through a disease --  
12 that is a very powerful piece of information,  
13 because they have actually lived it, and they  
14 understand that. If you ask someone to  
15 hypothetically imagine what would it be like, it  
16 might come to a very different kind of result,  
17 even after a really careful deliberation, you  
18 know, of the information being presented. It's  
19 still a hypothetical to them.

20           And two, that it seems to me there is,  
21 you know, the kind of qualitative research I do,

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

230

---

1 it's typically we're really trying to understand  
2 what the experience of a person is, what they --  
3 how they feel about something, and there's really  
4 no decision at the end of that. We're just trying  
5 to understand what's going on. It's kind of a  
6 neutral kind of qualitative research. But this is  
7 the kind of research where there is this kind of -  
8 - it's not -- we're not neutral, and I'm just kind  
9 of trying to find out what people think. There's  
10 a decision that has to be made at the end, and I  
11 could imagine that that would also influence the  
12 kinds of information that we get. So, I might say  
13 something -- if you just think about, you know,  
14 the election going on, if you were to randomly  
15 call someone and say who are going to vote for and  
16 why, that would be very different from calling  
17 Cory Booker and asking him who he's voting for and  
18 why, right, because he, you know, he has a -- he's  
19 invested in a particular kind of decision, whereas  
20 a random person is not. And I could see that that  
21 -- this kind of dynamic where we're trying to make

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

231

---

1 a decision as a result of this information could  
2 influence what we're told, and we should think  
3 about that.

4 DR. BETH TARINI: This is Beth Tarini. I  
5 don't know that it's -- having done qualitative  
6 research but not to the level you have -- I don't  
7 know that it's really a qualitative study because  
8 it's not hypothesis generating. It has  
9 qualitative attributes to it, right, like it is an  
10 intervention. I mean, at its core, would you say  
11 it is an intervention that has -- of which the  
12 intervention packet, if you will, has these  
13 qualitative, I mean, you're giving information but  
14 because you're coming through a human, it has a  
15 qualitative lens. And at the end, you have -- and  
16 you have a metric. Before you're going to vote  
17 and at the end of you're going to vote. So, it --  
18 it's -- it's not qualitative exactly in the same  
19 way, and I -- but I do see where people get  
20 concerned that like, well I don't what the biases  
21 are, right? I don't know -- because I can't

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

232

---

1 measure it and I can't see it, and it depends who  
2 speaks. But at the same time, I -- I would just  
3 pause it that it's not as free-flowing cowboyesque  
4 and it's not as qualitatively generative, you  
5 know, as a qualitative interview would be or as a  
6 focus group. There is certainly discussion at  
7 individual tables, for instance, that occurs. But  
8 -- and there's interaction, but it's not totally  
9 qualitative.

10 DR. ALEX KEMPER: And I was just having a  
11 sidebar with Lisa, because, you know, another way  
12 to think about it, this is one more data point to  
13 inform the Advisory Committee's decision. So, you  
14 know, at the end of the day, the question is, you  
15 know, does the Advisory Committee want to vote  
16 without having an understanding of the values and  
17 preferences, or does one have it? Do you know  
18 what I mean?

19 DR. BETH TARINI: I think it depends on  
20 what the answer is.

21 DR. ALEX KEMPER: Well, I -- right. But

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

233

---

1 I'm just saying that that's -- that's how that  
2 information is going to be used is -- needs to be  
3 sorted out. But ultimately it's, you know,  
4 whether you want to have the information or not.

5 DR. CYNTHIA POWELL: Natasha, did you  
6 want to come up?

7 MS. NATASHA BONHOMME: [Gestures no.]

8

9 ADJOURN:

10 DR. CYNTHIA POWELL: All right. So, with  
11 that, thank you everyone for this discussion and  
12 your comments and presentation, Alex. So, we're -  
13 - I want to make sure that everyone has time to  
14 get to their work group meetings and the locations  
15 are going to be on the screen. There we go.  
16 Okay. And I look forward to hearing the  
17 workgroup's feedback tomorrow. As a reminder, the  
18 charges to the work groups for this afternoon are  
19 to discuss current gaps in the field, topics or  
20 issues the work groups could help address, and  
21 specific project ideas, and also to give feedback

**Advisory Committee on Heritable Disorders  
in Newborns and Children**

Meeting

08/01/2019

234

---

1 on the components of the RUSP Condition Nomination  
2 and Evidence Review Process discussed at today's  
3 meeting. So, I'm going to adjourn the Committee  
4 meeting for today. We will resume here tomorrow  
5 morning at 9:30.

6 [Whereupon, the meeting was adjourned.]

7