

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN  
NEWBORNS AND CHILDREN  
IN-PERSON/WEBINAR

HRSA HEADQUARTERS 5600 FISHERS LANE  
ROCKVILLE, MARYLAND 20852 (Pavilion)  
Thursday August 10, 2023  
10:03 a.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17

**Table of Contents**

COMMITTEE MEMBERS.....3

EX - OFFICIO MEMBERS.....6

ACTING DESIGNATED FEDERAL OFFICIAL.....7

ORGANIZATIONAL REPRESENTATIVES.....8

Welcome and Opening Remarks.....13

Public Comment.....31

Duchenne Muscular Dystrophy (DMD) Nomination Summary.....52

    Committee Discussion.....62

Lunch Break.....81

Vote on Moving DMD Forward to Full Evidence Review.....83

Expedited Review Process.....86

    Committee Discussion.....89

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23

**COMMITTEE MEMBERS**

**Ned Calonge, MD, MPH (Chairperson)**

Associate Dean for Public Health Practice  
Colorado School of Public Health

**Michele Caggana, ScD**

Deputy Director, Division of Genetics  
New York Department of Health

**Jannine D. Cody, PhD**

Professor, Department of Pediatrics  
Director, Chromosome 18 Clinical Research Center  
Founder and President  
The Chromosome 18 Registry & Research Society

**Jane M. DeLuca, PhD, RN**

Associate Professor  
Clemson University School of Nursing  
Metabolic Nurse Practitioner  
The Greenwood Genetic Center

**COMMITTEE MEMBERS**

(continued)

**M. Christine Dorley, PhD, MS**

Assistant Director, Laboratory Services

Tennessee Department of Health

**Jennifer M. Kwon, MD, MPH, FAAN**

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

**Ashutosh Lal, MD**

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

**COMMITTEE MEMBERS**

(continued)

**Shawn E. McCandless, MD**

Professor, Department of Pediatrics  
Head, Section of Genetics and Metabolism  
University of Colorado Anschutz Medical Campus  
Children's Hospital Colorado

**Chanika Phornphutkul, MD, FACMG**

Professor of Pediatrics and Pathology and  
Laboratory Medicine and Genetics  
Director, Division of Human Genetics  
Department of Pediatrics  
Brown University  
Hasbro Children's Hospital / Rhode Island Hospital

**EX - OFFICIO MEMBERS**

**Agency for Health care Research & Quality**

*Kamila B. Mistry, PhD, MPH*

Senior Advisor

Child Health and Quality Improvement

**Centers for Disease Control & Prevention**

*Carla Cuthbert, PhD*

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

**Food & Drug Administration**

*Paula Caposino, PhD*

Acting Deputy Director, Division of Chemistry

and Toxicology Devices

Office of In Vitro Diagnostics

**EX - OFFICIO MEMBERS**

(continued)

**Health Resources & Services Administration**

*Michael Warren, MD, MPH, FAAP*

Associate Administrator

Maternal and Child Health Bureau

**National Institutes of Health**

*Diana W. Bianchi, MD*

Director, Eunice Kennedy Shriver National Institute of  
Child Health and Human Development

**ACTING DESIGNATED FEDERAL OFFICIAL**

**CDR Leticia Manning, MPH**

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

**ORGANIZATIONAL REPRESENTATIVES**

**American Academy of Family Physicians**

Robert Ostrander, MD

Valley View Family Practice

**American Academy of Pediatrics**

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics,  
Community Health Improvement Texas Department of State  
Health Services

**American College of Medical Genetics & Genomics**

Cynthia Powell, PhD, FACMG, FAAP

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program Pediatric  
Genetics and Metabolism

The University of North Carolina at Chapel Hill



**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**American College of Obstetricians & Gynecologists**

Steven J. Ralston, MD, MPH

Chair, OB/GYN Pennsylvania Hospital

**Association of Maternal & Child Health Programs**

Karin Downs, RN, MPH

Maternal and Child Health Director (retired)

Massachusetts Department of Public Health

**Association of Public Health Laboratories**

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

**Association of State & Territorial Health Officials**

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Association of Women's Health, Obstetric and Neonatal  
Nurses**

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC  
Health Board Director  
Vice President, Research Officer  
University of North Carolina Health

**Child Neurology Society**

Margie Ream, MD, PhD  
Associate Professor  
Director, Leukodystrophy Care Clinic  
Director, Child Neurology Residency Program  
Nationwide Children's Hospital, Division of Neurology

**Department of Defense**

Jacob Hogue, MD  
Lieutenant Colonel, Medical Corps, US Army  
Chief, Genetics, Madigan Army Medical Center

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Genetic Alliance**

Natasha F. Bonhomme

Vice President of Strategic Development

**March of Dimes**

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive  
Science

Icahn School of Medicine at Mount Sinai

**National Society of Genetic Counselors**

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Society for Inherited Metabolic Disorders**

Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

**Welcome and Opening Remarks**

DR. CALONGE: I want to welcome everyone to the third meeting of the Advisory Committee on Heritable Disorders in Newborns and Children in 2023. The next slide please. As you may recall during our last meeting and thank you Dr. Kellie Kelm for her service to the ACHDNC. We're excited to welcome the new FDA Federal Official Dr. Paula Caposino.

Dr. Caposino is the Acting Deputy Director of her the Division of Chemistry and Toxicology. Dr. Caposino has over 14 years of experience at the FDA that includes working on or supervising the review of numerous newborn screening assays and newborn genomic and genetic testing.

Since 2020, Dr. Caposino has served as the FDA contributor to several standards on newborn screening topics and is currently a member of the Clinical and Laboratory Standards Institute's Expert Panel on newborn screening. Go to the next screen please.

I'm very pleased to share that Commander Leticia Manning has accepted the position of Designated Federal Officer for this Committee. You may remember she was serving in her role until recently, and now she

1 has the position outright. Additionally, Commander  
2 Manning was recently promoted from Lieutenant Commander  
3 to Commander in the Public Health Service.  
4 Congratulations.

5 Commander Manning also serves as a Senior  
6 Public Health Analyst in the Maternal and Child Health  
7 Bureau, Division of Services for Children and Youth with  
8 Special Needs, the Genetic Services Branch. She joined  
9 the division in December of 2013, and until recently  
10 served as a Project Officer for autism, and the Family-  
11 to-Family Health Information Center Program.

12 Prior to joining MCHB, Leticia worked for  
13 HRSA's Federal Office of Rural Health Policy, as a  
14 Program Director for the Rural Health Network  
15 Development Program. Commander Manning worked as a  
16 Public Health Educator in Tanzania, focusing on HIV/  
17 AIDS prevention, health promotion, reproductive health  
18 and maternal and child health.

19 Commander Manning holds a master's degree in  
20 public health from Boston's University School of Public  
21 Health, and a Bachelor of Science in neuroscience from  
22 the University of Rochester. Please join me in  
23 officially welcoming Commander Manning in her role as  
24 DFO, and in congratulating her on her well-  
25 deserved- promotion.

1 Commander Manning, we're excited that you're  
2 here. If Dr. Caposino has joined us, I should, if she  
3 just wants to make a remark or two? You're on mute.

4 DR. CAPOSINO: I'm very excited to be here, so  
5 thank you for the nice introduction.

6 DR. CALONGE: Thanks, and we're really pleased  
7 to have you here as well. I'm going to turn to Leticia,  
8 who is going to call and record attendance.

9 COMMANDER MANNING: Thank you Ned and thank you  
10 for those kind remarks. So now I'm going to begin with  
11 the Agency for Healthcare Research and Quality, Kamila  
12 Mistry? Michele Caggana?

13 DR. CAGGANA: I'm here.

14 COMMANDER MANNING: From the Centers for  
15 Disease Control and Prevention Cynthia Hinton?

16 DR. HINTON: Here.

17 COMMANDER MANNING: Jannine Cody?

18 DR. CODY: I'm here.

19 COMMANDER MANNING: From the Food and Drug  
20 Administration Paula Caposino?

21 DR. CAPOSINO: I'm here.

22 COMMANDER MANNING: Welcome. From the Health  
23 Resources and Services Administration, Dr. Michael  
24 Warren?

25 DR. WARREN: Here.

1           COMMANDER MANNING: Jennifer Kwon?

2           DR. KWON: Here.

3           COMMANDER MANNING: Lal Ashutosh?

4           DR. LAL: Present.

5           COMMANDER MANNING: Shawn McCandless?

6           DR. MCCANDLESS: Here.

7           COMMANDER MANNING: From the National Institute  
8 of Health, Melissa Parisi.

9           DR. PARISI: Here.

10          COMMANDER MANNING: Chanika Phornphutkul?

11          DR. PHORNPHTKUL: Here.

12          COMMANDER MANNING: And for organizational  
13 representatives, from the American Academy of Family  
14 Physicians, Robert Ostrander?

15          DR. OSTRANDER: Here.

16          COMMANDER MANNING: From the American Academy  
17 of Pediatrics, Debra Freedenberg?

18          DR. FREEDENBERG: Here.

19          COMMANDER MANNING: From the American College  
20 of Medical Genetics Robert Best?

21          DR. POWELL: This is Cynthia Powell. I'll be  
22 the new organizational representative for the American  
23 College of Medical Genetics and Genomics.

24          COMMANDER MANNING: Thank you. And if you can  
25 please email me with that information.



1 DR. POWELL: Yes. I'll be glad to do that.  
2 The college was supposed to be doing that, but I'll be  
3 glad to take care of it. Thank you. Nice to see many  
4 familiar faces.

5 COMMANDER MANNING: From the American College  
6 of Obstetricians and Gynecologists, Steven Ralston? The  
7 Association of Maternal and Child Health Programs, Sabra  
8 Anckner. From the Association of Public Health  
9 Laboratories, Susan Tanksley?

10 DR. TANKSLEY: Hello. I'm here.

11 COMMANDER MANNING: From the Association of  
12 State and Territorial Health Officials, Scott Shone?

13 DR. SHONE: Here.

14 COMMANDER MANNING: From the Association of  
15 Women's Health, Obstetric and Neonatal Nurses, Shakira  
16 Henderson? From the Child Neurology Society Margie  
17 Ream? From the Department of Defense Jacob Hogue?

18 DR. HOGUE: Here.

19 COMMANDER MANNING: From the Genetic Alliance,  
20 Natasha Bonhomme?

21 MS. BONHOMME: Here.

22 COMMANDER MANNING: From the March of Dimes,  
23 Siobhan Dolan?

24 DR. DOLAN: Here.

25 COMMANDER MANNING: From the National Society

1 of Genetic Counselors, Cate Walsh Vockley.

2 DR. WRIGHT: Cate was unable to make it, so I'm  
3 Erica Wright, and will be subbing for her today.

4 COMMANDER MANNING: Thank you. And from the  
5 Society for Inherited Metabolic Disorders, Gerard Berry.

6 DR. BERRY: Nope, not Gerard. Gerard isn't  
7 doing it anymore. I'm Sue Berry.

8 COMMANDER MANNING: Oh yes, yes. Thank you.

9 DR. BERRY: It's confusing because we have the  
10 same last name. We are not related, just so you know.  
11 Thank you.

12 COMMANDER MANNING: Thank you.

13 DR. CALONGE: Next slide please.

14 [crosstalk]

15 DR. DOWNS: Leticia, this is Karin Downs. I am  
16 representing MCHP rather than Sabra. I should have  
17 corrected you when you were doing roll call, sorry.

18 COMMANDER MANNING: Noted. I'll make that  
19 correction.

20 DR. DOWNS: Sure.

21 DR. POWELL: We can't hear you.

22 COMMANDER MANNING: (Speaker on mute.)....or a  
23 general partner unless you are also an employee of the  
24 organization, or unless you have received a waiver from  
25 HHS authorizing you to participate. As in the case

1 today when a vote is scheduled, or an activity is  
2 proposed, and you have a question about a potential  
3 conflict of interest, please notify me immediately, and  
4 you can email me at [lmanning@hrsa.gov](mailto:lmanning@hrsa.gov).

5 And now I just want to go over meeting  
6 participation. So, according to ACHDNC, all committee  
7 meetings are open to the public, and if the public  
8 wished to participate in the discussion the procedures  
9 for doing so are published in the Federal Register,  
10 and/or are announced at the opening of a meeting. For  
11 the August meeting in the Federal Register notice, we  
12 said that there would be a public comment period.

13 There are two public comment periods. Only  
14 with advanced approval of the Chair or myself, may  
15 public participants question Committee members or other  
16 presenters. Public participants may submit written  
17 statements. Also, public participants should be advised  
18 that Committee members are given copies of all written  
19 statements submitted by the public.

20 As a reminder, it is stated in the FRN or the  
21 Federal Register Notice, as well as the registration  
22 website, that all written public comments are part of  
23 the official meeting record and are shared with  
24 Committee members. Any further public participation  
25 will be solely at the discretion of the Chair, or the

1 Designated Federal Official, which is myself.

2           And now I just want to go over some webinar  
3 instructions. You all are already logged on, so just  
4 note this if you have to logout and log back in. When  
5 you login to Zoom, you'll be prompted to enter your name  
6 as you would like it to appear in the Zoom display name  
7 to assure the meeting host can easily identify you in  
8 the audience.

9           Please use your first and last name, along with  
10 any relevant organization name. If this screen does not  
11 appear for you, and your name is not clearly conveyed in  
12 the display name, please email Emma Kelly at  
13 ekelly@lrginc.com. Also note that when you are promoted  
14 to panelist, the system will briefly log you out of the  
15 meeting, and then you will automatically rejoin within  
16 ten seconds.

17           And lastly, I want to remind you, to give you  
18 some dates for our upcoming meetings. We'll have an in-  
19 person meeting November 2 through the 3rd. It will be  
20 located at the HRSA headquarters in Rockville, Maryland.  
21 We'll have a virtual meeting February 8th through 9th,  
22 and then we'll have an in--person- meeting May 9th  
23 through 10th. And now I turn it over to Ned.

24           DR. CALONGE: Thanks. Before we turn to the  
25 Committee, I just want to update you all on two

1 important grants recently awarded to the Maternal and  
2 Child Health Bureau Newborn Screening Propel and Newborn  
3 Screening Excel. Next slide please. Oh, this is the  
4 project map. This is the Propel Map. There are 28  
5 newborn screening programs that have been awarded as NBS  
6 Propel recipients.

7           They are shown here color coded by HRSA region.  
8 The state newborn screening systems priorities or NBS  
9 Propel program is a five-year program that began July 1,  
10 2023, and is expected to continue through June 30, 2028.  
11 Each recipient was awarded up to \$345,000.00 per year  
12 during the five- year project period. -Next slide  
13 please.

14           This slide goes over the NBS Propel focus  
15 areas. Focus area one includes activities related to  
16 improving collection of specimens, testing of specimens,  
17 and reporting out results, including improving the  
18 timeliness of these activities, and implementing  
19 screening for newly added RUSP conditions.

20           Focus area two includes activities related to  
21 improving short-term follow-up through long--term  
22 follow- up, and helping families understand and navigate  
23 the process from confirmation of the diagnosed  
24 treatment, and then follow- up through follow- up across  
25 the lifespan. -Next slide.

1           And again, this is a continuum. So, let's turn  
2 now to NBS Excel. The National Center for Newborn  
3 Screening Excellence, or NBS Excel Cooperative Agreement  
4 recipient is APHL. This program is funded at \$2.3  
5 million a year for up to five years. NBS Excel supports  
6 the funded Propel grantees, and all other NBS programs  
7 by providing leadership, technical assistance, and  
8 quality improvement expertise.

9           We want also to emphasize that subject matter  
10 expertise technical assistance, training and education  
11 includes those for youth and families to promote  
12 meaningful engagement, active participation with, and  
13 leadership by families and individuals with terrible  
14 disorders at all levels of the newborn screening  
15 program. Next slide.

16           Sorry. I just need to finish up and go back  
17 one. As part of HRSA's demonstrated commitment to  
18 support state territorial newborn screening programs in  
19 FY 24, HRSA will be funding a new NBS program Co-Propel.

20           NBS Co-Propel builds on previously funded HRSA  
21 grants to strengthen collaborations between state and  
22 territorial public health agencies, and with NDS  
23 partners such as universities, non-profits, or other  
24 institutions with expertise in newborn screening to  
25 achieve a common goal, to improve access to services and

1 outcomes for children identified with a heritable  
2 condition identified through NBS, so they are healthy,  
3 growing and thriving.

4 Now we're going to turn to Committee business.  
5 Next slide. So, we're talking about the ad hoc topic  
6 groups that we have been working on for the last meeting  
7 and a half I would say. I'm going to start with  
8 the -- it's listed as counting conditions. This is a  
9 condition naming, secondary conditions, second or higher  
10 tier testing group, and previously described the work of  
11 HRSA's NBS Excel program, which received a supplemental  
12 funding for leverage efforts described by Susan Tanksley  
13 and others during the May meeting.

14 The NBS Excel grantee will coordinate the work  
15 of three ad hoc work groups, condition naming, secondary  
16 conditions and second or higher tier testing. These ad  
17 hoc topic groups include stakeholders with  
18 varied -- sorry, various expertise in specialty areas.  
19 As far as conflict of interest, I presented to the  
20 Committee at the last meeting a proposal to address COI  
21 for both Committee members and organizational  
22 representatives.

23 I've been working closely with HRSA on the COI  
24 policy to ensure that we are abiding by all of the  
25 Federal Advisory Committee Act policies, and we hope to

1 bring an updated proposal to the Committee for  
2 consideration and possible vote at our next meeting in  
3 November.

4           Next, I would like to talk a little bit about,  
5 both nomination and prioritization and decision matrix,  
6 before we get to GAMT, so if we can go back one slide.  
7 Sorry. Thank you. During the May meeting we discussed  
8 possible revisions to the decision matrix, and decision  
9 making for the Advisory Committee on Voting to add  
10 conditions to the RUSP.

11           In regard to the decision making, our intent is  
12 to update the 2013 version of the matrix to match what  
13 is actually the current practice of the Committee. This  
14 is not an attempt to move the goal post, or change  
15 criteria for nominating conditions, but it's to ensure  
16 that the decision matrix reflects how the Committee has  
17 actually functioned over the past decade.

18           For example, B ratings sometimes are  
19 recommended for the RUSP, which the existing decision  
20 matrix does not support. Now we have thought about, and  
21 spent a lot of time thinking about, the best way to do  
22 this. We want to include a large amount of stakeholder  
23 input at all levels from families to actually, and  
24 advocacy groups -- the experts and advocates in the  
25 field.



1           And the issue is that the matrix itself is only  
2 a guideline for the Committee to think about structuring  
3 how it makes decisions. And I think even as we've  
4 elevated some of these to be added to the RUSP, we've  
5 shown that we make decisions that are supported by the  
6 matrix but aren't dictated by the matrix.

7           Being that it's a pretty straightforward  
8 structure, and decision support to all, we figured we  
9 would do better if we modified the RUSP -- I'm sorry,  
10 the decision matrix, and a smaller group of those who  
11 actually helped create it. A committee that would  
12 include subject matter experts and previous Committee  
13 members who have wrestled with the different  
14 categorizations.

15           And using the simplification I presented at the  
16 last meeting, see if we can strengthen the actual tool,  
17 make it more straightforward and transparent. On the  
18 other hand, implementation of the matrix, and how we  
19 make decisions within it, is where we really need  
20 enhanced participation and stakeholder work.

21           And to that degree, we're really hoping to open  
22 up a wide approach to gathering information from  
23 families and advocacy groups, as well as subject matter  
24 experts. We're going to ask Dr. Kemper and his group to  
25 talk about how the Committee should approach nominations

1 and prioritization, and how we should start thinking  
2 about what do harms really look like, and how do we best  
3 quantify those, and think about those?

4           What do benefits look like? And how do we deal  
5 with the fact that often benefits and harms are not in  
6 the same currency? It may be very different than the  
7 way we look at them. Hence, can we use stakeholder  
8 input, and subject matter experts to come up with a more  
9 transparent system, and I would say flexible way to make  
10 sure that we're thinking about the balances of harms and  
11 benefits in the right setting.

12           I would also say the same thing is true of  
13 certainty. Certainty is the other part of the matrix,  
14 which is our certainty that we're doing the right thing.  
15 I often think of certainty as the inverse, the other  
16 side is the risk of being wrong, so the higher  
17 certainty, the less there is to being wrong.

18           And so, I think it's in the implementation of  
19 how we think about this decision tool where we need  
20 stakeholder input and continued work. And so, we're  
21 going to reach out, talk about the core issues around  
22 how the Committee operationalizes that's not only in the  
23 decision matrix, but also how we can better support the  
24 entire newborn screening community in the nomination and  
25 prioritization of topics.

1 Provisions to the N&P process need to consider  
2 these reducing the burden on the nominators but assuring  
3 that they have sufficient input in the process, and then  
4 identifying the information most needed for the  
5 Committee who make the evidence based decisions that we  
6 are charged with.

7 We want to make sure that our Committee review  
8 process is efficient, it can address multiple  
9 nominations at once, and that it always includes  
10 supports and lifts up the voice of the stakeholder. I  
11 think the next best step is to build upon Dr. Kemper's  
12 work, and for the Committee to solicit feedback from a  
13 large stakeholder group, so rather than picking from  
14 folks who self-nominated from their interest to be on  
15 such a Committee, really trying to be strategic of  
16 including the voice of families, advocacy groups,  
17 subject matter experts and others. We will then bring  
18 this information back to the Committee to discuss.

19 We will also do a Federal Registry process for  
20 those who are unable to participate in subgroups but  
21 have the opportunity to contribute their thoughts and  
22 suggestions, creating the various subgroups will allow  
23 more people to  
24 participate. This is in response to the overwhelming  
25 interest we received in May for participation in the ad

1 hoc groups.

2 Leticia received names in May of several  
3 individuals interested in supporting this work, and we  
4 will reach out to you soon. If you're still interested,  
5 please email Leticia at [lmanning@hrsa.com](mailto:lmanning@hrsa.com). I do want to  
6 note that many of you know that Congress authorized the  
7 National Academies of Science, Engineering and Medicine  
8 to explore options for the future of newborn screening  
9 programs.

10 We understand the process is continuing to have  
11 more information in the future. We think this is  
12 important work that any radical change to the way we  
13 approach assessment of evidence, assignment of harms and  
14 benefits, thinking about the balance of those, and going  
15 to a recommendation. We think that the work of the  
16 National Academies is to really inform the Committee in  
17 making the best decisions.

18 Now I'd like to move on to GAMT. Next slide.  
19 As a reminder GAMT was approved by Secretary Becerra on  
20 January 4 of '23 to be added to the RUSP. The addition  
21 of GAMT deficiency to the RUSP is a recommendation.  
22 Noted that does not constitute a requirement for states  
23 to implement screening.

24 I'd also like to point out that the GAMT  
25 evidence review report was published last month in

1 Pediatrics. And I want to thank the evidence review  
2 team for making sure that that happens. Next slide.

3 I want to thank the Committee members and  
4 organizational reps for reviewing the May 2023 meeting  
5 summary. Leticia received some corrections and sent out  
6 revised minutes on Wednesday. I want to ask first if  
7 there are additional corrections to the meeting summary  
8 before we vote to approve?

9 Seeing none, I wonder if I could ask for a  
10 Committee member to unmute, and move to approve, and  
11 then someone else second. Please say your name and  
12 unmute.

13 DR. KWON: This is Jennifer Kwon. Hi. This is  
14 Jennifer Kwon, Committee member. I move to approve the  
15 May 23 meetings.

16 DR. CALONGE: We have a motion to approve. Is  
17 there a second?

18 DR. CODY: This is Jannine Cody, I vote to  
19 second.

20 DR. CALONGE: Now if I could ask all Committee  
21 members to unmute and signify approval by saying aye.

22 CHORUS: Aye.

23 DR. CALONGE: Are there any opposed? Unmute  
24 and signify by saying no. Minutes passed, thank you  
25 very much. Okay.

1           The public comment session today will be  
2 dedicated to DMD, and then we will have DMD nomination  
3 summary and Committee discussion, and the idea is  
4 hopefully we will move forward through that in an  
5 efficient manner.

6           The last topic for today will be presenting the  
7 concept and the methods for expedited evidence review.  
8 You will remember that we have talked about this at the  
9 last meeting. We had come up with a process that we  
10 distributed in the meeting materials. I will present  
11 those. We'll ask for comments, and then hold the vote  
12 to approve the expedited evidence review tomorrow.

13           We're going to transition, I'm sorry, the  
14 meeting topics for tomorrow include -- if I can have the  
15 next slide. Thank you. Focusing on health equity after  
16 newborn screening. There will be a special presentation  
17 I'm really looking forward to. Then we'll have  
18 additional public comment.

19           At that point, assuming we can approve the  
20 expedited evidence review process today, we will have a  
21 presentation discussion and potential vote to move  
22 Krabbe to the expedited evidence review, and so that  
23 hopefully will finish up our meeting for August.

24           Now at this point I'd like to move into  
25 Committee discussion, our next slide please, and see if

1 there are issues that Committee members would like to  
2 discuss based on my opening comments and initial  
3 presentations. I promise you, you will have more time  
4 to talk if you don't talk now.

5 **Public Comment**

6 DR. CALONGE: So what I'd like to do now is go  
7 to the next slide, and move into public comment. We  
8 received five requests by individuals to provide oral  
9 public comments, specifically related to DMD. They will  
10 provide their comments in the following order:

11 Emma Ciafaloni, sorry Ciafaloni, Jason Dempsey,  
12 Paul Melmeyer, Michelle Rengarajan and Niki Armstrong.  
13 And Emma, I wonder if you can unmute and get us started,  
14 please.

15 DR. CIAFALONI: On behalf of the Duchenne  
16 clinician community, thank you for the opportunity to  
17 speak today. My name is Dr. Emma Ciafaloni, and I'm a  
18 Professor of Neurology and Pediatrics, Associate Chair  
19 of Research, and the Director of Pediatric Neuromuscular  
20 Medicine at the University of Rochester.

21 I lead our certified Duchenne Care Center,  
22 which follows approximately 140 patients with Duchenne  
23 and Becker. I have chaired for many years, and  
24 currently serve as a member of the Clinical Research

1 Committee of the Muscular Dystrophy Surveillance  
2 Tracking and Research Network, MD STARnet, a CDC funded  
3 surveillance project on Becker Duchenne muscular  
4 dystrophy, and I'm an advisor for the New York State  
5 Duchenne Newborn Screening pilot.

6 I have diagnosed and cared for children with  
7 Duchenne for more than two decades, and improving the  
8 age of diagnosis has been a focus of my clinical  
9 research, and a passion of mine because I have witnessed  
10 first-hand the devastating consequences of the late  
11 diagnosis and care.

12 Using MD STARnet data, we published in 2009 the  
13 typical age of diagnosis of Duchenne in boys with no  
14 known family history was 4.9 years, a delay of two and a  
15 half years after symptoms are noted. Following this  
16 publication, multiple efforts were made with support by  
17 the CDC, an advocacy organization, to reduce the age of  
18 diagnosis.

19 These efforts included the convening of a  
20 National Task Force for Early Identification of  
21 Childhood Neurological Disorders. The task force  
22 developed a tool kit for healthcare providers to aid in  
23 early diagnosis.

24 The American Academy of Pediatrics published a  
25 complimentary algorithm and developed a patient facing



1 side about physical developmental delays to help educate  
2 families. These were broad efforts endorsed by key  
3 players in Duchenne in pediatrics. Unfortunately, they  
4 did not result in improvement in age of diagnosis. Ten  
5 years later our follow-up study looking at boys born  
6 after 2000 at the MD STARnet sites had identical  
7 findings.

8           The mean age of diagnosis is still 4.9, and  
9 diagnosis is persistently later in underserved minority  
10 groups. It has been confirmed in multiple other  
11 studies. After three decades, and significant effort to  
12 reduce the age of diagnosis, children with Duchenne are  
13 diagnosed too late, and their age of diagnosis is  
14 unchanged.

15           What has changed is that Duchenne is no longer  
16 an untreatable disease, and the number of therapeutic  
17 interventions available to our patients has grown  
18 significantly. We now have five FDA appointed  
19 gene-based drugs, two drugs with downstream mechanism of  
20 action, mostly likely to be FDA approved by the end of  
21 this year, and several other disease modifying  
22 therapeutics that are in late phase clinical trials.

23           By age 5, irreversible muscle damage and muscle  
24 tissue replacement by fat and fibrosis as already  
25 occurred and cannot be undone. All scientific evidence

1 points to the likely benefit of initiating therapeutic  
2 interventions well before age 5, and as early as  
3 possible.

4           Newborn screening will provide a timely and  
5 equitable diagnosis, and they'll be permitted to receive  
6 lifechanging multidisciplinary standard of care. It  
7 will also result in proactive planning for, and timely  
8 initiation of the best available disease modifying  
9 therapeutic intervention, including exon-skipping, gene  
10 therapy, new anti-inflammatory and anti-fibrotic and  
11 cortical steroids.

12           Initiation of such therapeutics at an optimal  
13 age, and without delay at the time when there is less  
14 muscle damage will result in a better outcome and  
15 quality of life. There are more significant benefits of  
16 newborn screening for Duchenne. Avoid the diagnostic  
17 odyssey and its deleterious consequences, including  
18 unnecessary invasive tests in children.

19           Since children will have the diagnosis prior to  
20 entering the school system, this will facilitate timely  
21 evaluations and identification of the Duchenne  
22 associated learning disability, and access to support  
23 services. For the family, newborn screening allows  
24 timely genetic counseling, identification of carriers  
25 who are at risk for cardiomyopathy, earlier

1 developmental social support, and time to consider how  
2 to best incorporate the diagnosis into the family, which  
3 can affect so many downstream choices, including  
4 housing, that will maximize the children's chance for a  
5 better quality of life.

6 Most importantly, we need to keep in mind that  
7 Duchenne is no longer a non-treatable disease. The most  
8 important principle of newborn screening is to  
9 positively affect children's health. And I strongly,  
10 but humbly, believe newborn screening will improve  
11 Duchenne children's health and quality of life.

12 I greatly appreciate the opportunity for  
13 Duchenne to be discussed again today, and we urge the  
14 Committee to move Duchenne newborn screening forward to  
15 evidence review. Thank you.

16 DR. CALONGE: Thank you. I'd now like to turn  
17 to Jason Dempsey.

18 MR. DEMPSEY: Good morning. I hope everyone  
19 can hear me okay. Good morning, Dr. Calonge and  
20 Committee members. My name is Jason Dempsey, I am from  
21 Mason, Ohio. I'm here to talk about my eight-year-old  
22 son, Jude. This is Jude here. I brought a picture.  
23 Jude does share a name with a very popular song you may  
24 have heard of if I have any Beatles fans in the room,  
25 you may know "Hey Jude."

1 I'm very excited to talk about the importance  
2 of adding Duchenne muscular dystrophy to newborn  
3 screening panels, and how it could have helped in our  
4 journey, so early diagnosis of DMD is very significant  
5 to us because we were not blessed with an early, or an  
6 easy diagnosis. Just quickly, to tell our story.

7 In December of 2020, in the midst of a  
8 pandemic, and the week before Christmas, Jude was  
9 diagnosed with Duchenne muscular dystrophy at age 6.  
10 Not long before that we had noticed that Jude had missed  
11 some developmental milestones around a year and a half  
12 year's old, such as crawling, walking, talking.

13 And although he finally did hit those  
14 milestones, a little bit late for our liking, we did  
15 notice that at two and a half years old he started toe  
16 walking. And this started us on a two plus year journey  
17 that included leg braces, intense physical therapy, all  
18 this was just an effort to try to resolve his toe  
19 walking by improving his range of motion and  
20 strengthening his legs and his core.

21 But after two years of physical therapy and not  
22 getting the results that we had hoped for, it was  
23 suggested that we have a CK blood test to rule out any  
24 type of muscular dystrophy. Sadly, this test did not  
25 rule out muscular dystrophy, and we were sent to the

1 world renown Neuromuscular Clinic at Cincinnati  
2 Children's Hospital where Jude underwent a full  
3 evaluation in genetic testing, and that brings me back  
4 to that week before Christmas in 2020, when Jude was  
5 diagnosed with Duchenne muscular dystrophy at age 6.

6           So now Jude is about to be a third grader. He  
7 rides his mobility scooter around school because  
8 unfortunately he doesn't have the strength and stamina  
9 in his legs to be able to walk to the lunchroom or to  
10 recess, or to the art class that he loves so much. But  
11 I've often wondered, you know, would his daily life be  
12 any different now, if we would have known sooner?

13           I mean what if we had tested him at two and a  
14 half years old when we first noticed his toe walking?  
15 Or maybe, what if we would have tested when he missed  
16 his initial developmental milestones at age a year and a  
17 half? Or, what if we had tested him as a newborn, long  
18 before we even noticed anything was different?

19           I don't know exactly how it would have worked  
20 out, but I do know that if Jude was diagnosed at birth,  
21 we could have started him on muscular dystrophy  
22 protocols immediately, some steroids, things of that  
23 nature. And also, we wouldn't have spent two years in  
24 physical therapy, which was actually hurting him and  
25 damaging his muscles.

1           We would have also had the ability to  
2 participate in potentially life-altering clinical trials  
3 that unfortunately by the time he was diagnosed, he had  
4 already aged out of most of those trials.

5           So I am happy that right now, as mentioned  
6 previously, there are lots of gene therapies in  
7 development for DMD. One that was recently approved by  
8 the FDA for children ages 4 and 5. And it's so exciting  
9 that we are close to seeing a terminal illness  
10 potentially turn into a manageable chronic condition.  
11 So I'm very thankful to this Committee for looking into  
12 this topic, and getting us a little step closer.

13           In summary, I do want to make a quick reference  
14 back to the famous song that shares Jude's name. If  
15 you're familiar with that song you know the first line  
16 in that song goes like this, "Hey Jude, don't make it  
17 bad, take a sad song and make it better." And that's the  
18 life lesson that I'm trying to teach Jude, and that's  
19 why I'm sharing with you today.

20           We want to take our sad song and just try and  
21 make it a little bit better for someone else. So please  
22 strongly consider moving Duchenne muscular dystrophy  
23 forward to evidence review, so that we can take one step  
24 closer to ensuring that no American babies have to  
25 endure a long and painful diagnosis like Jude did.

1 Thank you for your time, and I'll welcome any questions  
2 you may have.

3 DR. CALONGE: Thanks so much. We appreciate  
4 your time today, and I'd like to now turn to Paul  
5 Melmeyer.

6 MR. MELMEYER: All right. Well thank you for  
7 the opportunity to comment on today's deliberations on  
8 Duchenne muscular dystrophy for the full evidence  
9 review. I am Paul Melmeyer, Vice President of Public  
10 Policy and Advocacy at the Muscular Dystrophy  
11 Association.

12 MDA is proud to serve the Duchenne's  
13 bio-muscular atrophy and Pompe communities along with  
14 many other rare neuromuscular disease communities.  
15 Today we once again request that the Committee vote to  
16 move the Duchenne muscular dystrophy nomination forward  
17 to full evidence review.

18 MDA was proud to cosponsor the nomination of  
19 Duchenne last summer, as well as the renomination in the  
20 spring. And under the leadership of Parent Project  
21 Muscular Dystrophy, provide the evidence to the  
22 Committee required for consideration. We understand  
23 that one of the main concerns the Committee may have  
24 with moving the nomination forward pertains to evidence  
25 of effectiveness of earlier administration of available

1 therapies.

2           Included in the nomination package are several  
3 studies showing early effectiveness of treatment that  
4 now I wish to reemphasize. First, cortical steroids are  
5 recommended to be considered at time of diagnosis,  
6 regardless of any evidence of physical decline. And  
7 steroids had been administered as young as the first  
8 year of life complying with this standard of care.

9           The data showing effectiveness of steroids is  
10 unequivocal. Boys given steroids had statistically  
11 significant better scores on standing from a supine  
12 position, 9-meter walk time, or stair climb lifting a  
13 weight and force capacity compared to boys not given  
14 steroids.

15           We are also expecting a new steroid option for  
16 boys with Duchenne called Demoralon to be approved this  
17 fall. Second, four exon skipping treatments have been  
18 approved by the FDA with no age restriction on the  
19 label. Consequently, boys could start the treatment  
20 upon diagnosis. While approved, accelerated approval,  
21 the evidence of effectiveness continues to grow.

22           A recent retrospective and perspective  
23 comparison on long term outcomes showed the delayed loss  
24 of ambulation and pulmonary decline for those using  
25 Eteplirsen compared to natural history. An additional



1 study published within the last year showed a  
2 significant extended survival time in this study, five  
3 years longer for those who took Eteplirsen compared to  
4 those who did not.

5 Both younger initiation and longer exposure  
6 time to Eteplirsen were tied to better outcomes.  
7 Finally, an additional study published in 2021 showed  
8 delayed loss of ambulation of an average of four years  
9 for patients on Eteplirsen compared to natural history  
10 controls.

11 Finally, while it's always difficult to predict  
12 when clinical trial readouts will occur, we understand  
13 there's a chance we will see data from Sarepta's EMBARK  
14 trial on the efficacy of ELEVIDYS, on ambulatory boys  
15 with Duchenne, including boys younger than four years  
16 old around this end of this year.

17 In addition to these efficacy data, we wish to  
18 further emphasize several points that Niki Armstrong of  
19 PPMD will soon be making about the importance of an  
20 earlier diagnosis when accessing ELEVIDYS. As this  
21 Committee knows, the FDA approved ELEVIDYS for boys ages  
22 4 and 5 with Duchenne in June, via accelerated approval.

23 In just the last week or two, the first boy  
24 with Duchenne was commercially dosed shortly before his  
25 sixth birthday. This rush to dose him was due to him

1 soon becoming ineligible to receive the therapy under  
2 its current label. This situation is reflective of the  
3 challenge many boys with Duchenne face in obtaining this  
4 gene therapy prior to their sixth birthday.

5 With newborn screening providing a diagnosis at  
6 birth under the current label, boys with Duchenne would  
7 have a full two years to obtain the therapy, and not  
8 only have to rush to receive the treatment, but also not  
9 risk potentially being diagnosed at six years or older,  
10 which still happens to many boys with Duchenne.

11 Newborn screening for Duchenne would allow  
12 access to ELEVIDYS for all eligible boys born with  
13 Duchenne, rather than just those privileged enough to be  
14 diagnosed prior to their sixth birthday.

15 Finally, we understand that some still may  
16 question the utility of diagnosis at birth for those  
17 with Duchenne, as treatments traditionally that have not  
18 been administered, excuse me, until later in childhood.  
19 Not only do we believe corticosteroids, exon-skipping  
20 therapies, and hopefully soon gene therapies may be  
21 prescribed to boys as early as the first year of life,  
22 much more frequently if newborn screening is adopted.  
23 But non-pharmaceutical interventions are also critically  
24 important, including speech and physical therapy, as  
25 these services are optimized, the earlier they are

1 administered in childhood development. For recent  
2 treatment guidelines, interventions from physical,  
3 speech and occupational therapists are recommended as  
4 soon after diagnosis as possible.

5 We believe that all of these reasons and more,  
6 within our nomination package, justified the Duchenne  
7 muscular dystrophy nomination moving forward to full  
8 evidence review, and we urge the Committee to vote to do  
9 so today. Thank you.

10 DR. CALONGE: Thank you, Paul. At this time,  
11 I'd like to turn to Michelle Rengarajan.

12 DR. RENGARAJAN: Thank you. My name is  
13 Michelle Rengarajan, and I'm an Academic Physician  
14 Scientist, and a mom of two boys, ages 1 and 3 with  
15 Duchenne's muscular dystrophy. And I'd like to tell the  
16 Committee about my family's experience.

17 First, what has been available to us because  
18 our sons were diagnosed early. Second, what will be  
19 available to children diagnosed with Duchenne's through  
20 the newborn screen, and finally how expanded the  
21 important screening is, and opportunity to enhance  
22 equity in the diagnosis and treatment of Duchenne's.

23 For the first nine months of my older son's  
24 life he was a motor first kid. His head control was  
25 fast. He sat with confidence, and he pulled to stand on

1 anything he could find. But then everything stopped.  
2 For seven months he tried and tried, but just couldn't  
3 seem to get his feet off the ground to take a step. It  
4 was as if his legs were weighed down.

5 I knew something was wrong when my son was 12  
6 months old, but when I brought up my concerns to family  
7 and friends and doctors, I kept getting told that this  
8 was all normal. It took another 18 months for him to be  
9 diagnosed, and during that time our second son was born.

10 In those 18 months when I knew something was  
11 wrong, but nobody else believed it, a simple blood test  
12 could have diagnosed his disease. Instead, we started  
13 strength-based physical therapy, and kept watching for  
14 improvement. Last fall, our son's wonderful PT  
15 expressed concerns about his slow progress, and my  
16 father-in-law, a general pediatrician, suggested that we  
17 should test to confirm that this was not muscular  
18 dystrophy.

19 Our pediatrician, and the pediatric  
20 neurologist, again reassured us that this was not  
21 Duchenne's, but that they would check just to make sure.  
22 Actually, like Jude, one week before Christmas our older  
23 son's tests came back positive. Because this is a  
24 genetic disease, we tested our baby too, and found that  
25 he was also positive. He was then 7 months old.

1           We had no family history of this disease.  
2 Within days of the diagnosis our son's care changed.  
3 Our now 3-year-olds PT immediately stopped repetitive  
4 strength-based exercises, which can exacerbate muscle  
5 damage for boys with Duchenne's, and instead started  
6 stretching-focused exercise, aiming to maintain  
7 ambulation for as long as possible. He started two  
8 additional life changing interventions a couple months  
9 later.

10           First, occupational therapy through our local  
11 public schools. Second, a steroid medication that is  
12 truly disease modifying and proven to prolong walking by  
13 on average two years, meaning he might make it through  
14 the challenging years of middle school before he needs a  
15 wheelchair.

16           Within days of starting steroids, my son  
17 climbed a ladder on a playground, and could join a  
18 friend inside a playhouse for the first time. We and  
19 experts around the country believe that starting this  
20 medication early will give him the best chance of  
21 slowing the ongoing damage to his muscles. And clinical  
22 trials are now testing the use of steroids for  
23 Duchenne's in infants.

24           Our younger son, who is now 1, immediately  
25 enrolled in early intervention, and is followed weekly

1 by a physical therapist, as well as his speech  
2 pathologist, to help him achieve developmental  
3 milestones without putting his muscles at risk. Speech  
4 delays can be a part of Duchenne's, and because our baby  
5 was diagnosed early, we're able to monitor proactively,  
6 and intervene at the earliest signs of delay.

7           In addition to steroids, there are currently  
8 five other FDA approved drugs to treat Duchenne's, four  
9 of which are mutation specific and have no lower age  
10 limit. Universal and newborn screening will diagnose  
11 newborns with mutations amenable to these drugs, and  
12 they could start on therapy right away.

13           The newest drug, ELEVIDYS, is a gene therapy,  
14 and is approved for almost all Duchenne's mutations, but  
15 only for boys who are 4 or 5. We were told about this  
16 treatment on the day of our diagnosis, and we feel  
17 extraordinary relief that our sons were diagnosed early,  
18 when they are young enough to be eligible for ELEVIDYS,  
19 which may further prolong their motor abilities.

20           Perhaps these early therapeutic interventions  
21 will help my sons be part of the first generation of  
22 boys with Duchenne who are able to walk across the stage  
23 when they graduate from high school. When I was in  
24 medical school just ten years ago, we were taught that  
25 Duchenne's was a death sentence.

1 Nobody believed it could become a chronic,  
2 manageable condition, and yet we are almost there. But  
3 despite massive advances in therapy, the average age of  
4 diagnosis for Duchenne's has not changed in 30 years.  
5 If we are to have any hope of getting current treatment  
6 to boys with Duchenne's, and curing children born with  
7 this disease in the future we need universal screening.  
8 There's simply no other way.

9 My sons were diagnosed with Duchenne's when  
10 they were unusually young. As you've heard, the average  
11 age of diagnosis is closer to 5. We've met families  
12 whose sons were not diagnosed until they were 6 or 8, or  
13 even 10, by which time they missed a critical window to  
14 intervene and prolong their children's ability to walk,  
15 breathe and live.

16 Hospitals, universities and companies are  
17 leading the way to treatments and cures for Duchenne's,  
18 and every boy with Duchenne's should have a shot at  
19 those treatments, regardless of who their parents are,  
20 or what they luck into.

21 Universal newborn screening for Duchenne's  
22 would mean that every child born with this disease will  
23 have access to treatments that will prolong their  
24 abilities to play with their friends and love and spend  
25 time with their families. Finally, I want to end by

1 sharing that before our diagnosis when my toddler  
2 refused to walk home from the park and begged to be  
3 carried, my husband and I thought that we were being  
4 good parents when we told him to keep on walking.

5           Now we know that we couldn't have been more  
6 wrong. He was trying to tell us that his muscles had  
7 reached their limit. When we learned eight months ago  
8 what our two loving, joyful and brilliant little boys  
9 were dealing with we were immediately able to better  
10 support our sons, give them the help they need, and  
11 build them a better future.

12           Thank you so much for considering moving  
13 Duchenne's forward, and I'm happy to answer any  
14 questions.

15           DR. CALONGE: Thank you, Michelle. And  
16 finally, we have Niki Armstrong.

17           MS. ARMSTRONG: Thank you. On behalf of Parent  
18 Project Muscular Dystrophy and the Duchenne patient  
19 community, thank you for the opportunity to speak today.  
20 My name is Niki Armstrong, and I am the Associate Vice  
21 President of Community Research and Genetic Services at  
22 PPMD.

23           I also serve as the Newborn Screening Program  
24 Manager and have had the honor of updating this  
25 Committee for the last several years now on our work in



1 Duchenne newborn screening. The last few months have  
2 been a very exciting time in the world of Duchenne.

3 As you all know, we resubmitted our Duchenne  
4 nomination package at the end of May, and that is up for  
5 discussion later in today's meeting. In addition, in  
6 June, we had our first FDA approval of the gene therapy,  
7 ELEVIDYS, which is a transformative therapy for  
8 Duchenne. An addendum to the RUSP package was submitted  
9 and is included in this briefing book.

10 ELEVIDYS was approved to children 4 to 5 years  
11 of age, which is the youngest age group that was  
12 included in the clinical trials that were submitted to  
13 the FDA. Available data and modeling using ELEVIDYS  
14 suggests Duchenne's could include an additional decade  
15 of walking, and potentially two decades of life  
16 expectancy, which is amazing.

17 Unfortunately, a sizeable percentage of the  
18 Duchenne population is not eligible because they are  
19 diagnosed too late. As you've heard multiple times  
20 today, the average age of diagnoses in Duchenne is  
21 around 5 years of age, and that is despite nearly  
22 decades of efforts that Dr. Ciafaloni outlined in order  
23 to try to decrease that age.

24 Data from the Duchenne Registry, and from the  
25 CDC's MD Starnet show that 26 to 33 percent, that's a

1 quarter to a third of all boys with Duchenne are  
2 diagnosed after their sixth birthday and will therefore  
3 be ineligible for ELEVIDYS at the time of their  
4 diagnosis.

5           Newborn screening will mean that no child is  
6 ineligible because their diagnosis was just slightly  
7 later than average. We know that age of diagnosis  
8 varies based upon race and socioeconomic factors,  
9 disproportionately affecting underserved minorities, and  
10 whom the average age of diagnosis is already more than a  
11 year later. All children should have access to  
12 transformative therapies.

13           This is the purpose of newborn screening, to  
14 make sure children are identified in time to benefit  
15 from the available treatments. There are now six FDA  
16 approved therapies, including ELEVIDYS, that are  
17 specific to Duchenne, as well as the commonly used  
18 corticosteroid Prednisone. All four exon skipping  
19 therapies are FDA approved for all ages, and data  
20 suggests increased benefits when initiated earlier.

21           Corticosteroids are also associated with  
22 increased benefits when initiated earlier. There are  
23 two additional, excuse me, investigational therapies  
24 that are currently under review by the FDA, with  
25 decisions expected later this year. In addition, there

1 are multiple other ongoing products and clinical trials  
2 that will help this Committee understand the benefits of  
3 Duchenne in newborn screening.

4 A retrospective sibling chart review is  
5 underway at one of our certified Duchenne care centers,  
6 results which are expected later this year. As is a  
7 qualitative study of clinical experiences with siblings  
8 diagnosed at different ages, again with results expected  
9 later this year.

10 Clinical trials are ongoing looking at once  
11 weekly steroids in infants, and a gene therapy trial for  
12 2- to 3-year-olds has identified all participants. The  
13 bottom line is that while we already know there is  
14 benefit to Duchenne in newborn screening, even more  
15 evidence will be available in the near future.

16 Duchenne is a progressive condition that  
17 results in irreversible muscle loss over time. It has  
18 an FDA authorized newborn screening assay, multiple  
19 pilots that effectively identified babies with the  
20 condition, and multiple approved therapies that are more  
21 effective when there is more remaining muscle tissue.

22 It is time for Duchenne newborn screening. We  
23 greatly appreciate the opportunity for Duchenne to be  
24 discussed again today and urge the Committee to move  
25 Duchenne forward to evidence review. Thank you.

**Duchenne Muscular Dystrophy (DMD) Nomination Summary**

DR. CALONGE: Thanks Niki, and thanks to all of our participants in the public comment period today. We appreciate your commitment of time, energy and passion helping in informing the Committee and moving forward. Before we move on to discuss the nomination package a second time, I wanted to just go over the background a little bit to remind folks where we're at.

We received a nomination to include Duchenne's muscular dystrophy to the Recommended Uniform Screening Panel in June of 2022. The package was reviewed by the Nomination and Prioritization workgroup, and the Committee voted not -- I'm sorry, voted on whether to recommend the condition move forward to evidence review in February.

The Committee voted against assigning DMD to the full evidence review at that meeting, and after the meeting I provided a letter to the nominators advising them of the outcome and next steps. That letter is available on the Committee website. The DMD nominators submitted a revised package, as you heard, at the end of May or in June of 2023.

The nomination and prioritization workgroup has reviewed the resubmission. Today, on behalf of the nomination and prioritization workgroup, Dr. Chanika

1 Phornphutkul will present the summary and the N&P  
2 workgroup's recommendation to the Committee.

3 Dr. Phornphutkul joined the Committee in  
4 January of 2022. She's the Director of the Division of  
5 Human Genetics, Department of Pediatrics at the Warren  
6 Alpert Medical School at Brown University in Providence,  
7 Rhode Island. She has practiced genetics and metabolism  
8 for the past 16 years. Dr. Phornphutkul is the Course  
9 Director of the Medical School of Genetics Curriculum at  
10 Brown University, and a longtime member of the Newborn  
11 Screening and Advisory Committee to the Rhode Island  
12 Department of Health. And with that, Dr. Phornphutkul,  
13 I'd like to turn things over to you.

14 DR. PHORNPHTKUL: Thank you. Thank you very  
15 much. Thanks for the kind introduction. I'm glad to be  
16 a representative of our committee, subcommittee to  
17 discuss the nomination, and prioritization workgroup  
18 reports on Duchenne muscular dystrophy.

19 As you could see the list of the workgroup,  
20 obviously at the bottom of the slide. Next slide  
21 please. So the nominators listed as Niki Armstrong, Pat  
22 Furlong and the advocate organization is PPMD and MDA.  
23 Next slide please.

24 So DMD condition information. DMD is an  
25 X-linked neuromuscular disease with progressive muscle

1 damage and weakness in both skeletal and heart muscle;  
2 and primarily affects males, although females can be  
3 variable affected.

4 Associated with high level of creatine-kinase.  
5 Diagnoses is based on genetic testing to identify the  
6 likely disease-causing variants in the *DMD* gene or  
7 muscle biopsy showing decreased dystrophins.

8 Deleterious variants in *DMD* are associated with other  
9 forms of disease, including Becker muscular dystrophy,  
10 *DMD*-associated dilated cardiomyopathy.

11 Currently, it is known to occur in 1 in 5,000  
12 male live births, female with pathogenic variant can be  
13 clinically affected, about 30 percent. Next slide  
14 please.

15 DMD is a progressive neuromuscular disease of  
16 childhood. Patients with DMD experience loss of  
17 ambulation, followed by loss of upper limb use,  
18 progressive impairment of pulmonary function, and  
19 progressive cardiomyopathy. Children affected with DMD  
20 often have significantly delayed developmental  
21 milestones, including motor function, global delays, and  
22 delayed onset of ambulation and other early motor  
23 skills.

24 It is noted that irreversible muscle damage  
25 begins as early as fetal life. Typically, the diagnosis

1 is 4 to 5 years, with loss of ambulation in early  
2 adolescence, and death related to pulmonary or cardiac  
3 disease often in their 30's. Next slide please.

4 In terms of DMD treatment and management,  
5 currently there are four FDA exon skipping therapies  
6 available, which accounts for 30 percent of children and  
7 adults with DMD. Therapies are provided weekly and by  
8 intravenous infusion. The optimal age to initiate this  
9 treatment is not established. Experts recommend  
10 offering at the time of diagnosis, even if  
11 corticosteroids are not yet appropriate.

12 Corticosteroids are the standard of care and  
13 recommended to begin prior to the onset of physical  
14 decline. However, the average initiation is at 5.9  
15 years. The optimal age to initiate the use is not  
16 established. Current practice guidelines recommend  
17 discussing the use at the time of diagnosis.

18 Additional therapies in development in various  
19 stages of clinical trial, which is very exciting. Next  
20 slide please. So the treatment typically begins as  
21 clinically indicated, at the time of diagnosis, which is  
22 4 to 5 years. There's limited evidence on early  
23 treatment benefit because of the diagnosis delay,  
24 clinical course, heterogeneous nature of DMD, and the  
25 rarity of the conditions.

1           As mentioned earlier, FDA recently approved a  
2 new gene Therapy, ELEVIDYS. Next slide. Management.  
3 DMD requires a multidisciplinary team led by a  
4 neurologist, physical medicine rehab specialist, which  
5 includes cardiologists, therapists, genetic counselors,  
6 pulmonologists, orthopedists and others on the team.

7           Physical language and speech therapy and early  
8 intervention services have been shown to improve quality  
9 of life and early functioning. Next slide. So the core  
10 requirements for nomination. Number one, validity of  
11 the laboratory test. Our answer is yes.

12           Second, widely available confirmatory testing  
13 with a sensitive and specific diagnostic tests, which  
14 include this FDA-approved screening test, CK. There is  
15 a processor that's high throughput and similar to other  
16 GSP tests used, commonly in newborn screening.  
17 Confirmatory testing requires Next Gen sequencing, which  
18 is not necessarily widely available, but it is  
19 available, so the answer is yes.

20           Third, a prospective population-based pilot  
21 study, and the answer is yes from New York, North  
22 Carolina, and China. Next slide.

23           So the summary of the resubmission. This is a  
24 new slide. Additional information that was provided.  
25 Number one includes new case definition. Highly



1 elevated levels of CK-MM, followed by persistent  
2 elevation of CK level, and a pathogenic, or likely  
3 pathogenic variant in the *DMD* gene.

4           Second, specific treatment is available for 30  
5 percent of DMD cases. Third, gene therapy age of  
6 treatment is 4 to 5 years, but the average age of  
7 diagnosis without family history is 6.9 years. And  
8 fourth, one sibling outcomes study. Next slide.

9           The key questions to address. I'm not going to  
10 read through all since we will go through one by one,  
11 but there are eight questions to be addressed. Next  
12 slide. Key question 1 is the nominating condition  
13 medically serious? The answer is yes, as we discussed  
14 earlier. Next slide.

15           Key question 2 is the case definition and the  
16 spectrum of this condition well described to help  
17 predict the phenotype range of those children who will  
18 be identified based on population-based screening. We  
19 thought the answer was yes. This is an X-linked  
20 disorder, primarily affecting males although females can  
21 be affected.

22           One third of the male with DMD have a de novo  
23 pathogenic variant. Genetic testing could identify  
24 pathogenic and likely pathogenic variants, and/or a  
25 muscle biopsy could confirm the diagnosis. There are

1 other variants including Becker's muscular dystrophy  
2 that may also be diagnosed and could benefit from early  
3 detection. Patients are typically clinically identified  
4 between the age of 4 to 5 years. Next slide.

5 Key question 3, are prospective pilot study in  
6 the U.S. or other international countries from  
7 population-based assessment available for this disorder?  
8 And the answer is yes, as you can see in this table.  
9 Next slide.

10 Key question 4, does the screening test have  
11 established analytic validity? Our answer is yes,  
12 screening tests for DMD. The primary screening assay  
13 would be measurements of creatine Kinase MM or CK-MM.  
14 Assay performed using genetic screening processor  
15 instrument. Second tier test would be genetic analysis  
16 of *DMD* gene via next gen sequencing. Next slide.

17 Key question 5, are the characteristics of the  
18 screening tests reasonable for the newborn screening  
19 system among other aspects, a low rate of false  
20 negatives? Our answer is yes, based on the updated  
21 information on the right side of the slide.

22 State labs will need to define and optimize  
23 cut-offs to reduce false negative and false positives.  
24 Second tier molecular testing should be required.  
25 Technology continues to evolve so newborn screening for

1 DMD would likely result in less false positives. Next  
2 slide.

3 Key question 6, is there a widely available and  
4 CLIA and/or FDA approved confirmatory testing diagnostic  
5 process? The answer is no. It's not FDA approved,  
6 however 194 labs across the U.S. are able to provide  
7 confirmatory testing for DMD. Next slide.

8 Key question 7, are there define treatment  
9 protocols, FDA approved drugs if applicable, and is  
10 there treatment available? The answer is yes. As we  
11 mentioned earlier the treatment includes exon-skipping  
12 therapies, gene therapies, corticosteroid therapy,  
13 speech and physical therapy. However, evidence of  
14 treatment prior to the usual clinical diagnosis is still  
15 limited.

16 Key question 8, next slide please. Key  
17 question 8, do the results have clinical utility? If  
18 the spectrum of disease is broad, will the screening  
19 and/or diagnostic test identify who is most likely to  
20 benefit from treatment, especially if the treatment is  
21 onerous or risky?

22 Our conclusion, there are benefits from  
23 available therapy as noted in the slide from question 7.  
24 The benefits are significant and described as delayed in  
25 the loss of motor function, and delay in loss of

1 ambulation. The longest follow-up reported 4 years for  
2 exon-skipping therapy, and 20 years for corticosteroids.

3 It is likely that the harms from therapy are  
4 outweighed by benefits. However, long-term data, and  
5 data quantifying the frequency and severity of the harm  
6 appear to be sparse. There remain questions regarding  
7 variance of uncertain significance. Next slide.

8 There are potential harms of a population-based  
9 screening program that must be considered in determining  
10 the balance of benefits and harms in clinical utility.  
11 There was insufficient evidence provided on potential  
12 harm to make a decision on clinical utility based on  
13 balancing harms and benefits.

14 There is some evidence that newborn screening  
15 detected cases that access early intervention and  
16 therapies may improve the outcomes. So our answer for  
17 key question 8 is yes/no. Next slide.

18 This is the summary of all the key questions,  
19 as we've been through in detail. Next slide. So the  
20 Nominations and Prioritization Group Recommendation, the  
21 Advisory Committee should move the nomination of  
22 Duchenne muscular dystrophy forward for a full  
23 evidence-based review.

24 So the additional recommendation from the group  
25 include recommended that DMD be defined as an elevated

1 CK-MM and subsequent molecular testing to avoid/limit  
2 false positives. Provide evidence/data of clinical  
3 utility from pilot studies, or retrospective sibling  
4 case studies. Next slide. I think that's it.

5 DR. CALONGE: Thank you very much Chanika.  
6 That is a wonderful summary, well presented, and I  
7 appreciate the time. We're going to open the floor in  
8 discussion, questions and comments from Committee  
9 members and organizational representatives. Just as a  
10 reminder Committee members have the opportunity to  
11 discuss first, followed by organizational  
12 representatives, and I need to ask you to raise your  
13 hand in the raise hand function in Zoom, to put you in  
14 the queue for an answer.

15 When you speak, please remember to unmute  
16 yourself, and state your first and last name when you  
17 ask a question or provide a comment, so that we have  
18 proper reporting of who said what. I think I would like  
19 to start with asking, are there any members of the  
20 Nomination and Prioritization Committee who would like  
21 to add any comments to Chanika's presentation?

22 Not seeing anyone rush to raise your hand or  
23 unmute, I will open it up to questions from Committee  
24 members. Jennifer Kwon?

**Committee Discussion**

1  
2 DR. KWON: So, it's Jennifer Kwon, Committee  
3 member. And I was going to be slow about raising my  
4 hand because I wanted to give others a chance to ask  
5 questions. I appreciate Chanika's great presentation.  
6 Thank you so much. And I appreciated you stating the  
7 differences between this nomination package and the  
8 presentation that was given before.

9 And I wanted to make sure I understood that the  
10 primary difference in this nomination that is causing  
11 the Committee to approve the nomination is the change in  
12 the testing, which includes second tier testing for DMD  
13 mutational analysis. The fact that the treatment  
14 landscape is exciting and evolving and is likely to make  
15 earlier treatments available.

16 And the sort of the hope that even though those  
17 treatments don't have a clear protocol for infants, or  
18 children, you know, even let's say under 2, that there's  
19 hope that infants can benefit from early intervention  
20 programs. Like those are the sort of major differences.

21 DR. CALONGE: Thanks for that summary,  
22 Jennifer. Next, I have Cynthia from CDC.

23 DR. HINTON: Hi. Yes, Cynthia Hinton from CDC.  
24 And I just wanted to follow-up on what Jennifer was  
25 commenting on. I think another key difference if I'm

1 correct is that the case definition has changed. The  
2 case definition has become more targeted, and I think  
3 that has also clarified some issues, so I just want to  
4 make sure that I have that correct as well. Thank you.

5 DR. CALONGE: Yes. Chanika, I don't know if  
6 you want to answer that?

7 DR. PHORNPHTKUL: Sure, yes, that's correct.

8 DR. CALONGE: I wanted to make sure I involved  
9 a Committee member. Ash Lal, have a comment or a  
10 question?

11 DR. LAL: Just a comment. Thanks Ned. So the  
12 evidence gathered for the treatment is really -- it  
13 seems has been created by the delay in diagnosis as it  
14 currently happens, so I think that with newborn  
15 screening it would allow, would be for those that  
16 evidence has been accumulated by clinical trials that  
17 have been issued. And I think it's not something that  
18 can be answered by some product and clinical trials at  
19 the moment, so the registries, but eventually the  
20 implementation of the newborn screening is the one thing  
21 that's most likely to answer that question for me.  
22 Thanks.

23 DR. CALONGE: Thanks, thanks Ash. Now I'll  
24 turn to our organizational representatives. I'm sorry,  
25 Shawn, I saw your hand go up, so let me move to Shawn

1 first.

2 DR. MCCANDLESS: Thanks Ned. I just wanted to  
3 add a few comments that I know will be unpopular in some  
4 quarters, but the reality is that this Committee is  
5 charged with considering implications of adding  
6 conditions to the RUSP based on the entire population of  
7 the infants born in the United States who undergo  
8 compulsory, which is non-voluntary population-based,  
9 universal newborn screen, which is relatively, if not  
10 completely a unique process in the United States. There  
11 are very few other things that one can point to that  
12 every person born is required to do except pay taxes.  
13 And some people are very good at finding ways to not do  
14 that.

15 As a result, this Committee's charge is much  
16 more extensive, and we have to consider many things that  
17 are not necessarily of interest or concern to  
18 individuals who are living with a particular disease or  
19 are advocating for the treatment of a particular disease  
20 that are not of particular importance or interest to a  
21 pharmaceutical company that has developed a therapeutic  
22 for a rare disease.

23 And I just want to remind everyone listening  
24 that this Committee takes very seriously this charge of  
25 protecting the integrity of compulsory population base



1 newborn screening in the United States and making sure  
2 that we are really being thoughtful about the evidence  
3 of benefit of treatment, the evidence of effectiveness  
4 of the screening tools and the diagnostic tools, about  
5 the clarity of the case definition to minimize people  
6 that end up in a diagnostic odyssey that is not caused  
7 by symptoms, but is caused by screening tests that are  
8 ineffective, at clearly distinguishing affected  
9 individuals from unaffected individuals.

10           There is no advocacy group. There is no parent  
11 support group. There is no pharmaceutical company that  
12 is interested or is supporting research in the harms of  
13 newborn screening. And therefore, there is very little  
14 data about the harms of newborn screening, and so those  
15 people who follow-up patients identified by newborn  
16 screening, or individuals who have newborn screening  
17 results that are not interpretable, and lead to  
18 diagnostic uncertainty have experience that makes us  
19 feel concerned about potential harms, maybe in a way  
20 that's difficult for others to understand because they  
21 haven't walked in our shoes.

22           And I say that specifically that way because  
23 the Committee is often reminded that we have not walked  
24 in the shoes of the individuals that are affected by  
25 these rare diseases, which is very true, and of which I

1 think all of the Committee members are very sensitive.

2           Finally, I would say that I just want to speak  
3 for myself. As a member of the Nomination and  
4 Prioritization Subcommittee now twice, this nomination  
5 package is a very challenging one to evaluate. And  
6 there are -- we're now up to 197 attached references,  
7 thousands of pages of attached documentation.

8           Each of us has a full-time job. Each of us  
9 has -- none of us are able to drop everything for two  
10 weeks and read thousands of pages of scientific data.  
11 So we're forced to accept what's in the nomination  
12 package as being representative of what's in the  
13 references that are attached.

14           And I think that it's important for nominators  
15 to be really sensitive to that and understand that it's  
16 important that when a reference is referred to in a  
17 nomination package, that it actually clearly supports  
18 the statement that's being made in the nomination  
19 package. And there are multiple examples in this  
20 nomination package where that is not necessarily the  
21 case, and I haven't read all of the references, but  
22 there were several points that came to my attention that  
23 I said, well that's very interesting. I'm going to go  
24 look at the reference.

25           And the reference was I don't want to say that

1 it was a misrepresentation of what was in the actual  
2 reference, but it was clearly an interpretation that was  
3 one interpretation of several possible interpretations,  
4 and where I felt that the nominators had overstated the  
5 strength of the evidence in the nomination package.

6 That was a concern that I had the first time.  
7 It was a concern that I had this time. The difference  
8 between the two, and the reason that I voted to move  
9 this forward this time and not the last time is that  
10 there is additional information as has been referred to  
11 earlier regarding efficacy of the therapy, and by the  
12 nominator's responsiveness to the question about why  
13 there are no data provided about earlier treatment of  
14 affected siblings when an older child has been  
15 diagnosed, which I still find hard to understand with a  
16 disorder this common why this has not been previously  
17 published.

18 But that's beside the point. That's not the  
19 nominator's responsibility. It's not my responsibility.  
20 It's just hard to understand. Anyways, there is  
21 additional information now, but the concerns that I had  
22 the first time about the package that the members of the  
23 N&P Committee, just really, it's not in our charge to do  
24 the full evidence review.

25 Those concerns persist. And so, I want to be

1 clear that while I am going to vote to move this  
2 toward -- forward, to full evidence review, that should  
3 not imply that I think the evidence is as strong as is  
4 indicated in the nomination package, although it may be.  
5 I'll await anxiously for the result of the evidence  
6 review, but I still have some concerns about the quality  
7 of evidence, and the evidence for efficacy, as well as  
8 the evidence about harms.

9           That I think it's going to be very important  
10 for us to think about very, very carefully, and ask a  
11 lot of really hard questions. Not because I don't think  
12 DMD should be on newborn screening, it's just that there  
13 are 10,000 additional genetic disorders that one could  
14 make similar arguments for, and for which there will be  
15 a growing number of therapies in the near future.

16           That we're going to have to be really careful  
17 of as we go back to where I started, which is protecting  
18 or following the charge that we've been given, which is  
19 to think clearly about the population based newborn  
20 screening process. I apologize that these were very  
21 long comments. I'm sorry for that, but I wanted to be  
22 really clear about why I'm voting the way I'm voting  
23 today, and why my vote is changing from the last time we  
24 discussed this. Thank you.

25           DR. CALONGE: Thanks Shawn. I'm going back to

1 Jennifer.

2 DR. KWON: Jennifer Kwon, Committee member. So  
3 just very quickly, Shawn, I have probably read a lot of  
4 those references as a Director of an MDA certified  
5 clinic, and as somebody who cares for a number of  
6 Duchenne boys. And I will say that I agree with your  
7 concerns, and that's why I will say upfront that I don't  
8 think my vote will be changing.

9 DR. CALONGE: Melissa?

10 DR. KWON: Oh, I think you're on mute.

11 DR. CALONGE: Melissa?

12 DR. KWON: You're still on mute, Melissa.

13 DR. CALONGE: You need to unmute, Melissa.

14 There you go. We can't hear you. As you work on your  
15 audio, I'm going to move to Robert.

16 DR. OSTRANDER: Oh, thank you. I'm Robert  
17 Ostrander, AAFP representative. I was a coauthor on a  
18 paper that MBA did in gene neurology in 2019 promoting  
19 the addition of neuromuscular conditions for the RUSP.  
20 And it was because of our discussions at those meetings  
21 that I have become such an advocate for including the  
22 potential EI benefit in our decision making.

23 I think it's especially an entry with this  
24 condition where the clinical diagnosis time and the  
25 symptom time are so widely gapped. I think it's

1 important to point out that as of the first presenter  
2 discussed, there have been efforts to move clinical  
3 diagnosis closer to symptom onset through other means,  
4 and they have not been as successful.

5           And I think, you know, that should be  
6 considered. I mean is there some other way to get people  
7 diagnosed earlier when treatment might be beneficial,  
8 and other efforts have not been useful, at least based  
9 on the data that was presented.

10           And my third comment is in this case, you know,  
11 variants of uncertain significance are typically  
12 considered by the Committee as a potential harm, because  
13 of the psychological distress, and so on and so forth.  
14 My own, again there's no data on this yet because there  
15 can't be until there is, but my thought about Duchenne  
16 muscular dystrophy for the same reason about the gap  
17 between symptom onset, which is where certainly there  
18 may be good reason to start treatment.

19           And clinical diagnosis is so wide that VOUS is  
20 number one, may allow for closer monitoring in those  
21 early symptoms that have been written off won't be  
22 written off, and treatment considered. And number two,  
23 as a side benefit, I know it's not one of our criteria,  
24 but you know, new knowledge generation because we will  
25 figure out if these VOUS(s)s are indeed pathogenic or

1 not.

2           So, I think this sort of perspective I guess on  
3 both the EI and the time between symptom onset and  
4 clinical diagnosis, I think I would encourage people to  
5 consider seriously as the evidence review moves on, gets  
6 moved to evidence review. Thank you.

7           DR. MCCANDLESS: Ned, can I just respond to one  
8 thing Robert -- I'm sorry Ned, I'm presuming that you're  
9 going to say yes. I apologize for that. But Robert, I  
10 just want to be clear that the nominators were  
11 responsive to the concerns of the N&P Committee in terms  
12 of definition of the disorder, and I think I want to be  
13 very clear that a VOUS that is not associated with a  
14 persistent elevation CK-MB would not be considered a  
15 positive screen, and that patient would be -- if I'm  
16 understanding the nominator's proposal, their  
17 recommendation is that that patient would not be  
18 followed further as being at risk of having Duchenne  
19 muscular dystrophy.

20           So if I'm understanding it correctly, the VOUS  
21 issue would not add, would not really change the  
22 follow-up. The follow-up would be based on the  
23 persistence of the elevation of the CK-MB, and that  
24 would be the defining characteristic for the purposes of  
25 newborn screening. And if that's an incorrect

1 perception, I'm happy to be corrected by someone who's  
2 more knowledgeable than me.

3 DR. CALONGE: I think you're correct in that  
4 interpretation Shawn. Melissa, I see you're back on, so  
5 I'm going to go to you next, and we still can't hear  
6 you. So Michele Caggana is up.

7 DR. CAGGANA: That's okay. Hi everybody. I  
8 just had a couple comments. Thanks for the re-review of  
9 the Nomination and Prioritization workgroup for the  
10 re-review of this. It was a very long reapplication. I  
11 just had a couple comments. One is that for this  
12 condition I think unlike so many other newborn screening  
13 conditions that we deal with, the actual diagnosis for  
14 this is pretty straightforward.

15 These kids will have a persistent, as just  
16 said, CK-MM value. The Committee, as part of the  
17 definition of the test, once molecular diagnosis a very  
18 certain significance in this instance could cause both  
19 benefit in harm in the way that you would have, you  
20 know, and without persistent CK-MM, you could sort of be  
21 a little bit more dismissive. You could undergo another  
22 diagnostic biopsy, or something like that. On the other  
23 hand, it could point you towards the follow-up to make  
24 sure that these kids do have elevated CK-MM that holds.

25 Related to the question about the improved



1 technology. I just want to clarify that the assay in  
2 our hands works quite well from our pilot study, but the  
3 issue for the newborn screening perspective is really  
4 just establishing your cut-off values, which newborn  
5 screening programs do whenever we start with a new test,  
6 even in cases where it's an FDA approved test.

7           You have to do these biomarkers and analyte  
8 tests and establish you cut-offs based on your  
9 population within your state. And so in that instance,  
10 that doesn't seem to be as much of a concern, at least  
11 from the newborn screening program perspective.

12           And then lastly, the idea that we're going  
13 to -- I just want to be careful that we don't dictate  
14 necessarily that we make molecular part of the newborn  
15 screening piece that we actually have a means to  
16 separate that out, or give programs the ability to parse  
17 out a molecular test as part of the affirmatory  
18 post-screening, rather than part of the newborn screen  
19 because this is a very large gene, and programs are  
20 probably not going to be able to do the sequencing in  
21 house.

22           And so, I just want to make sure that folks  
23 understand sort of that when we talk about how we're  
24 going to define what we're actually screening for. So I  
25 am in favor of service elevated CK-MM, and then

1 potentially having a model where perhaps a program could  
2 ask for a second CK-MM, or a repeat specimen, as we know  
3 the factors that are considered when birth factors that  
4 elevate CK-MM early on, and shortly after birth.

5           So, that's what I would like people to hear.  
6 Thanks.

7           DR. CALONGE: Michele, what is the process for  
8 states setting its own levels? I mean how do you codify  
9 it to analytic validity, and how long does that take?  
10 And is there a process, is there a time period where you  
11 get that, children might be misidentified until the  
12 calibration is correct?

13           DR. CAGGANA: Well as we heard the last time, a  
14 lot of the pilot studies had their cut-offs quite  
15 conservatively. So in New York we did time of  
16 collection cut-offs, how old the baby was when the  
17 specimen was collected. Because in our hands that  
18 seemed to be the strongest factor.

19           But typically, what people do is they will  
20 screen specimens coming in the door for the test,  
21 whether they're doing it in a pilot phase, or as part of  
22 the validation study. They will look at their  
23 population parameters, and then in that we also make  
24 sure we sort of enrich that validation population with  
25 any of the parameters we're concerned about, whether it

1 be birth weight, age at collection.

2 We do this for other assays that we have  
3 already. So you basically create your dataset. You  
4 make sure that you have representations from these  
5 different groups that are known to have variations in  
6 analytes. You then also have positive controls from the  
7 newborn period that you get from kids who you know had a  
8 Duchenne diagnosis and try and get consent from parents  
9 to use their newborn screening specimens.

10 You put those in. You see how that falls out.  
11 And then you set the threshold based on insuring that  
12 you're going to catch all those children we know had  
13 Duchenne when they were born, but undiagnosed. And then  
14 also you can do a 3 SD, you can do different types of  
15 things to set your cut-off to start.

16 And then as time goes on, you can change them.  
17 We did find from our repeat testing that CK-MM does go  
18 down over time, and so you may put a model in place like  
19 that where you would just request a repeat sample. And  
20 so typically, you know, typically that's what we would  
21 do.

22 DR. CALONGE: Thank you. Melissa, do you want  
23 to try again?

24 DR. PARISI: I'm hoping that the third time is  
25 a charm. Can you hear me?

1 DR. CALONGE: Yes, yes.

2 DR. PARISI: All right. Thank you for your  
3 patience. So I just wanted to make a couple comments,  
4 and I had a question as well. So my first comments just  
5 to echo what was said earlier, and Cindy Hinton  
6 mentioned as well that the changes to the nomination  
7 really include the fact that there are new treatments  
8 available, including the gene therapy, that has been  
9 described, ELEVIDYS, and then the revised case  
10 definition that really helps to refine how we  
11 characterize what Duchenne muscular dystrophy should  
12 look like on newborn screening. And then, of course,  
13 additional information about improved outcomes for those  
14 with earlier diagnosis.

15 And I think as many of the public commenters  
16 stated earlier today, there are many value-added  
17 benefits to early diagnosis that include treatment  
18 strategies, treatments that can be avoided, such as  
19 damaging physical therapy when that's really not going  
20 to be in the best interest of these boys who may have  
21 this diagnosis.

22 And the ability for families to get support  
23 services, be able to plan their life, and plan their  
24 housing, and do a lot of additional planning. In  
25 addition to, of course, the goal of creating strategies

1 and treatments that are medication-based that can  
2 improve long-term outcomes for this condition.

3 So those are just my comments about the revised  
4 case definition, and the revised application nomination  
5 proposal I should say. And why I think that there is  
6 value in considering moving this to evidence review.

7 My one question for the group, and maybe  
8 someone can answer this, is with the revised case  
9 definition does that mean that mutations that are  
10 associated more with Becker muscular dystrophy would not  
11 be reported, or would they still be reported under this  
12 revised case definition? I just want a case  
13 clarification on that. Thank you.

14 DR. CALONGE: Chanika, do you have an answer  
15 for Melissa?

16 DR. PHORNPHTKUL: I did think it was clear. I  
17 think being really focused on pathogenic variant, and  
18 you know, sort of looking at the literature. But I  
19 think that is something that is -- that needs to be  
20 discussed.

21 DR. MCCANDLESS: My read of the nomination  
22 package though was that they would be reported that they  
23 were clinically relevant, that it's a severe disorder  
24 and that it was appropriate to include. I may be  
25 misinterpreting what I read.

1 DR. CALONGE: Yeah, I believe that's correct,  
2 that we wouldn't not report them because of the  
3 implications of a positive test, or a positive presence  
4 of Becker's.

5 DR. PARISI: Thank you.

6 DR. CALONGE: Melissa, does that answer your  
7 question?

8 DR. PARISI: Yeah. I think so. That was my  
9 impression as well, but I wasn't quite 100 percent clear  
10 on that, so I just wanted to hear if other people read  
11 it and interpreted it the same way. Thank you.

12 DR. CALONGE: Jennifer?

13 DR. KWON: I just wanted to also respond to  
14 something that Robert was saying. I think it's a goal  
15 for all of us who care for boys with Duchenne, and  
16 should be able to offer lifelong care, and to be able to  
17 really stimulate children early in life.

18 We, I think all clinics have examples of  
19 children who have been identified for all kinds of  
20 reasons in infancy, or in the first year, or in the  
21 first or second year of life who we can't get them into  
22 early intervention services because these are  
23 county-based services, and they set their own rules, and  
24 many of them use their resources to only serve patients  
25 who have disabilities that fall below a certain level.

1           And so we have boys with Duchenne who probably  
2 could benefit from services, but they just don't qualify  
3 because their deficits aren't that obvious.

4           DR. CALONGE:    Natasha?

5           MS. BONHOMME:  Great, thank you.  Natasha  
6 Bonhomme, Genetic Alliance.  My comments are in  
7 reference to some comments that Shawn McCandless made.  
8 As the organizational rep for an organization that  
9 serves those rare disease groups, many of whom will  
10 never be eligible for a newborn screening, as well as  
11 really committed to the families that go through genetic  
12 services as just part of their routine care, like  
13 prenatal care and others.

14           The characterization that no family or patient  
15 group is interested in looking at the harms, is frankly  
16 inaccurate.  I can show you references to work that we  
17 have done looking at the impact of false positives, that  
18 were published with our partners, the University of  
19 Maryland in 2012.

20           If there are other opportunities for us to  
21 partner and look at that work, we'd be very interested.  
22 But I just wanted to call that out because the same way  
23 that no bio ethicists would want to be painted with a  
24 broad-brush stroke and compared to others.  The same  
25 goes for family organizations and patient groups who are

1 committed to making the system better, so thank you.

2 DR. CALONGE: Thank you, Debra?

3 DR. FREEDENBERG: Thank you. Debbie  
4 Freedenberg, AAP. I just wanted to comment on the kind  
5 of false positives, and clearly this is going to touch  
6 on our condition counting issues. So in this particular  
7 scenario, if you have a child that has two positive CKs,  
8 you know, second thing, and then you know, your  
9 molecular testing is negative, there's a whole broad  
10 spectrum of what that could be, including nothing.

11 And so I think that that should be considered  
12 as well because I know that there is some programs that  
13 say well, it's not what we're screening for. We don't  
14 care what it is out there, and I think in the best  
15 interests of the child if we're going to go through the  
16 testing, we should kind of broaden our horizons a little  
17 bit and be concerned about what else is in there within  
18 the differential.

19 DR. CALONGE: Thank you, Debra. Well, this has  
20 been an excellent discussion. I appreciate the  
21 engagement of everyone around the screen who worked with  
22 the Committee to help us make the best decisions. As we  
23 were planning the session today, we decided we would put  
24 a lunch break now, and let folks have time to digest  
25 what you've heard, information. You can go back and



1 look at the slides as you wish, and then we'll bring up  
2 the potential vote when we reconvene in about a half an  
3 hour.

4 We're right on time. I appreciate again the  
5 thoughtfulness folks have put into the discussion, and  
6 we'll see you back then. Thanks.

7 (Lunch break.)

8 **Lunch Break**

9 DR. CALONGE: Ready to go. Welcome back. Let  
10 me just pause for a moment and make sure that there  
11 weren't additional questions or comments that came up  
12 from Committee members and organizational  
13 representatives during the break, which I realize was  
14 actually an hour ahead of time.

15 I think you were doing well on our agenda for  
16 today. Michele?

17 DR. CAGGANA: Hi again. I just wanted to add  
18 one more comment for people to consider when talking  
19 about newborn screening for this condition. So our  
20 publications from the pilot study were in the briefing  
21 book. And we have a forum to talk about different  
22 aspects of the pilots.

23 But one thing that we actually have worked on,  
24 and Norma Tapa Cooley has an abstract for that APHL

1 meeting coming up in October, is that we actually ran  
2 their pilot data through the Clear tool from the Mayo  
3 Clinic and found out that we really could reduce the  
4 number of borderlines, because that was sort of the most  
5 troubling part.

6 As I said we had these conservative cut-offs to  
7 begin the pilot because we weren't sure what the, you  
8 know, with the slack would be. And so, we found out  
9 that we would be able to reduce the number of babies  
10 that we had to recall with the borderline result with  
11 about 80 to 90 percent just on a quick pass through.

12 And so, this is part of what I was talking  
13 about earlier on how we refine the assay over time. So  
14 the goal is to impact as few families as possible while  
15 not missing one, obviously. So I just wanted to add  
16 that.

17 DR. CALONGE: Thanks so much, Michele.  
18 Melissa?

19 DR. PARISI: Michele, can I ask you just a  
20 follow-up question then? So were you also picking up  
21 Becker cases as well through your screening, or did you  
22 have any that were picked up even when you refined your  
23 cut-offs you didn't have any?

24 DR. CAGGANA: No. We didn't have any, but as  
25 part of this we certainly if the pathogenic variant for

1 Becker was kicked up, we would certainly report it.

2 DR. PARISI: Okay. Thank you.

3 DR. CAGGANA: Um-hmm.

4 **Vote on Moving DMD Forward to Full Evidence Review**

5 DR. CALONGE: I think at this time it would be  
6 appropriate to ask with a motion on whether to move  
7 Duchenne muscular dystrophy forward to a full  
8 evidence-based review.

9 DR. CAGGANA: I would make a motion to move it  
10 forward. Michele Caggana, Committee member.

11 DR. CALONGE: Thanks Michele. There's a  
12 motion. Is there a second?

13 DR. DORLEY: I second the motion. This is Chris  
14 Dorley.

15 DR. CALONGE: Thanks Chris. It's been moved  
16 and seconded to move the condition of Duchenne muscular  
17 dystrophy forward to a full evidence review. Any  
18 additional comments before we vote? Seeing none,  
19 Leticia, I wonder if you could do a roll call vote?

20 COMMANDER MANNING: Thank you.

21 DR. CALONGE: Please remember to unmute when  
22 your name is called.

23 COMMANDER MANNING: Okay. Michele Caggana?

24 DR. CAGGANA: I approve.

1                   COMMANDER MANNING: You can just respond yes  
2 or no.

3                   DR. CAGGANA: Yes.

4                   COMMANDER MANNING: Ned Calonge?

5                   DR. CALONGE: But just put me at the end this  
6 time.

7                   COMMANDER MANNING: Okay. Sorry. Jannine  
8 Cody?

9                   DR. CODY: Yes.

10                  COMMANDER MANNING: Representative from CDC,  
11 Cynthia Hinton?

12                  DR. HINTON: Yes.

13                  COMMANDER MANNING: Paula Caposino?

14                  DR. CAPOSINO: Yes.

15                  COMMANDER MANNING: Christine Dorley?

16                  DR. DORLEY: Yes.

17                  COMMANDER MANNING: Jennifer Kwon?

18                  DR. CALONGE: She may not have rejoined.

19                  COMMANDER MANNING: Ash Lal?

20                  DR. LAL: Yes.

21                  COMMANDER MANNING: Shawn McCandless?

22                  DR. MCCANDLESS: Yes.

23                  COMMANDER MANNING: Kamila Mistry?

24                  DR. MISTRY: Yes.

25                  COMMANDER MANNING: Melissa Parisi?

1 DR. PARISI: Yes.

2 COMMANDER MANNING: Chanika Phornphutkul?

3 DR. PHORNPHTKUL: Yes.

4 COMMANDER MANNING: Michael Warren?

5 DR. WARREN: Yes.

6 COMMANDER MANNING: And Ned Calonge?

7 DR. CALONGE: Yes. Just checking, is Jennifer  
8 on?

9 COMMANDER MANNING: I don't know.

10 DR. CALONGE: Mark her as absent, and what is  
11 the final vote count? 12 yes.

12 COMMANDER MANNING: Yes.

13 DR. CALONGE: One absent. That's an eye vote,  
14 and moves us forward. So we will now assign DMD to the  
15 Evidence Review Group, and they'll start their process  
16 immediately. I do want to remind Committee members and  
17 members of the public, and our organizational reps, that  
18 moving to an organization to a full review is just that,  
19 a full review. It does not anticipate ahead of time or  
20 prejudice vote on adding the condition to the RUSP.  
21 That will depend on work by the ERG and the group that  
22 helps advise them moving forward.

23 And then the Committee's review of the  
24 completed evidence review, before we decide whether or  
25 not to add the condition. So thanks everyone for a

1 really thoughtful discussion, and vote. And we will  
2 proceed.

### 3 **Expedited Review Process**

4 During the new business at the May meeting, it  
5 was mentioned that the Committee should consider to wait  
6 for nominators to resubmit additional evidence for  
7 information without having to redo the entire nomination  
8 process. So working with others, we have come together  
9 to put a proposal for this process, which I would like  
10 to present at this time.

11 I hope someone can bring the slides up. Could  
12 I have the next slide please? Just by way of background  
13 the final step in the process of deciding to recommend  
14 adding a new condition to the RUSP involves Committee  
15 review, and the discussion of evidence  
16 review and synthesis, and at least one vote, if not  
17 multiple votes. If the vote does not result in a  
18 recommendation to add, there may be specific evidence  
19 asked, or other issues that could be addressed in a  
20 short timeframe, which we would define as within a  
21 calendar year, next slide.

22 In such instances the nominators may choose to  
23 respond to address issues and submit new evidence and/or  
24 other revisions within that one-year timeframe. It is

1 expected that in these cases the Evidence Review Group  
2 could do an expedited review, incorporating new  
3 evidence, or addressing other revisions, such as a  
4 change of the scope without starting evidence review  
5 process over. Next slide please.

6           So I'd like to present a proposal for an  
7 expedited review process. First, if the Committee vote  
8 results in not recommending a commission to the RUSP,  
9 the Chair sends a letter to the nominators summarizing  
10 the issues leading to the decision. Two, within one  
11 year of the Chair's letter, nominators may resubmit a  
12 renomination package for expedited review, that outlines  
13 at least one material change to the  
14 recommendation -- I'm sorry, to the nomination, and the  
15 supporting data for documents.

16           Three, the Chair reviews the renomination  
17 package, and with input as necessary from the ERG and  
18 N&P workgroup determines if it qualifies as a material  
19 change, which we define in the next slide. Next slide  
20 please.

21           We propose to define a material change as such,  
22 it must be a change in scope of the condition nominated,  
23 and/or substantial new evidence for the nominated  
24 condition. If there is a change in scope, it is  
25 preferable that there is also new evidence provided in

1 support.

2           The nomination package resubmission should  
3 address, maybe questions and comments described in the  
4 Chair letter to the nominators. Next slide.

5           Four, if renomination constitutes a material  
6 change the package will be presented and be discussed by  
7 the full ACHDNC for consideration for expedited review.  
8 The Committee votes whether to move the condition  
9 nomination to expedited review conducted by the Evidence  
10 Review Group.

11           Six. If that vote fails, the Chair summarizes  
12 the issues leading to the decision in the letter to the  
13 nomination group, and the condition returns to the list  
14 of conditions for future nomination and prioritization.  
15 Next slide.

16           Eight, if the vote passes, if necessary, N&P  
17 workgroup prioritizes the review considering other  
18 topics in the prioritization queue to determine  
19 timelines and deadlines. Nine, the ERG works with the  
20 technical evaluation panel and revises the review to  
21 include the new evidence and/or address the revised  
22 scope, note that this may involve additional modeling.

23           Ten, the condition is scheduled for  
24 presentation, discussion and vote for recommendation for  
25 inclusion on the RUSP at a full Committee meeting. Next



1 slide please. So I would like to open the floor up  
2 again starting with Committee members, and then to our  
3 organizational reps for questions and comments. And the  
4 first hand up I see is Shawn.

5 **Committee Discussion**

6 DR. MCCANDLESS: Yeah, sorry about that. Shawn  
7 McCandless, Committee member. I think this is a very  
8 thoughtful approach, and it makes a lot of sense. The  
9 only concern I have is I think we need to be really  
10 careful that this, either the decision about adding a  
11 condition to the RUSP, has a lot of moving parts.

12 And there's not sort of a checklist that the  
13 Committee goes down and says okay, check this, check  
14 this, check this. Oops, this is missing, check this,  
15 this is missing. And my concern is that we want to be  
16 careful to recognize that a re-evaluation, even with new  
17 evidence that's responsive to one specific concern that  
18 was raised earlier may not lead to a different result.

19 Anybody who writes NIH grants is familiar with  
20 this. You submit a grant. You get feedback on your  
21 grant. You respond to that feedback and resubmit, and  
22 you get a worse score the second time than you did the  
23 first time, and there are a variety of reasons for that.  
24 And they're not bad things, they're frustrating, and

1 it's annoying if you're the person who submitted the  
2 grant.

3 But it's part of the process, and there's many  
4 reasons why that happens, not the least of which is that  
5 sometimes there's different people involved in the  
6 decision making. It seems to me the same will be true  
7 in this situation, and so if we do adopt this approach,  
8 which I think is very logical, we also need to be really  
9 careful around the communication to ensure that it is  
10 very clear that this is not sort of -- that there may be  
11 other concerns that will come up in the second review,  
12 and that being responsive to a specific concern, or two  
13 specific concerns, or three specific concerns, is not a  
14 guarantee that the condition will be voted to be added  
15 when it is brought back to the full Committee.

16 DR. CALONGE: Shawn, do you think that should  
17 be explicit? Like an explicit line in the process? Or  
18 can we just understand that as a normal part of  
19 expedited reviews?

20 DR. MCCANDLESS: That's a great question. My  
21 opinion would be that it should be explicit, it should  
22 be stated upfront that this -- that here are specific  
23 concerns that were raised by the Committee at this time,  
24 but that the new evaluation will be a new evaluation,  
25 and that there's no guarantee of what the outcome of

1 that will be.

2 And you know, I understand people's concerns  
3 that it feels like the goalposts are moving. And that's  
4 because this is not a static process, it's a dynamic  
5 process.

6 DR. CALONGE: Thanks Shawn. Ash?

7 DR. LAL: Not being terribly familiar with the  
8 process you're doing, I just wanted to ask if once the  
9 nomination packet is submitted does the Committee, then  
10 work in affirmation, or is there a pronged mechanism to  
11 reach out to the nominators to ask missing or  
12 corroborating information without having to go to a full  
13 vote, and then return the package to the nominators?

14 And I say this because I think the nominators,  
15 one could not assume that every nomination group has a  
16 similar level of capacity to put together a  
17 comprehensive package that you can expect from that.

18 DR. CALONGE: Thanks Ash, for the question.  
19 And I think that's one of the things that we're trying  
20 to address in opening up the nomination process because  
21 there's a better way to do it with resources that are  
22 more available across topics, and not dependent on the  
23 resources available to a certain advocacy group, or  
24 other considerations.

25 There is a technical expert panel that may

1 include members of the nomination group that provide  
2 input. Questions are asked regularly. I can tell you  
3 that Alex's group returned to the subject matter  
4 experts, which are often participating in a nomination  
5 package submission to gain more information, and to  
6 clarify. So that's a very dynamic and not a closed  
7 process. Scott?

8 DR. SHONE: Thank you, Scott Shone, org rep  
9 from ASTO. So I want to go back to what Shawn said  
10 because I think there's some really critical details in  
11 his question that I'd like to delve in on, and I'm  
12 wondering. I'll start with where this comes from when I  
13 was a grad student at Hopkins, I had a professor who if  
14 you challenged a grade on a test, he would regrade the  
15 entire test.

16 Inevitably I thought I would get five points  
17 back, and I would get those five points back, but he  
18 would find five points elsewhere that I had missed, and  
19 I suddenly had the same grade. And I learned after I  
20 graduated and talked to him about that process, it was a  
21 way to dissuade students from challenging the grade  
22 because he inevitably regraded the whole thing.

23 I don't mean to be bring light to this  
24 conversation, but I'm wondering do you imagine that an  
25 expedited review would presuppose that everything

1 already reviewed hasn't changed and there's no new  
2 evidence to suggest there's changes to that, and that  
3 you move on with just the rescoped and new evidence that  
4 has been presented, and it's kind of like what Shawn  
5 said of this being a dynamic process.

6           Is you know that the initial evidence review,  
7 at least per the original Newborn Screening Saves Lives  
8 Act was guided by nine months. And so, there's no  
9 timeframe here to what this re-review would take, so I  
10 wonder about that, and that goes into what is the scope?  
11 What's the bounds? How far will the ERG go in terms of  
12 just assessing the response to the feedback in question,  
13 but also new evidence that might weigh on other parts  
14 of the robust review that was done initially, so I'd  
15 like to throw that out there for consideration and  
16 comment.

17           DR. CALONGE: Sure. And in the areas where  
18 expedited reviews I've experienced, so this is not a  
19 novel concept. The USPSTF uses an expedited review of  
20 the process as does the CPSTF, and it's not exactly in  
21 the area where new evidence is becoming available or  
22 needs to be considered.

23           Usually, an Evidence Review Group when given  
24 even expedited review will do a gap survey, an update  
25 survey to relook at what new literature pool has been

1 published since the former review was done, so that the  
2 review is as complete as it would be, were it submitted  
3 for the first time. We have not talked about  
4 timeframes, and that's something we probably need to  
5 discuss with Alex's group to kind of put parameters  
6 around how long the ERG might have to do an expedited  
7 review.

8           Inherent in the word expedited is expedited, so  
9 the idea is that it would be more rapid than original  
10 review where all of the literature had been looked at  
11 once before. Usually gap analyses turn up only new  
12 things that should inform the overall decision. So I  
13 think those are both your points and Shawn's, were great  
14 points.

15           And I think it's the Committee's job to do the  
16 best job with the best evidence that's available. That  
17 being said, I would say that that opens up the review to  
18 any new evidence that would be on one side or the other,  
19 or on questions not answered in the renomination  
20 package.

21           It may not happen very quickly. I have now  
22 paid more attention to the pace of new research  
23 publications and newborn screening, and so within a  
24 one-year period of time I do expect there to be some,  
25 but I think a manageable amount. Thank you for those

1 questions. Bob?

2 DR. OSTRANDER: Yeah, hi. Robert Ostrander,  
3 AAFP. And this is very much an open-ended question.  
4 When the nominator resubmits for expedited review, may  
5 they in addition to answering the concerns raised in the  
6 letter to them, discuss other topics, or is there  
7 request to the expedited review confine only those  
8 issues that were raised in that letter?

9 DR. CALONGE: Thanks for that question, and I  
10 think we can be explicit about that too. That needing  
11 new information, that the nominators want to provide  
12 would be fair in the submission for expedited review.  
13 Any further questions?

14 What I would propose, I know we just got off a  
15 break, but if you all can give us about a minute to make  
16 a revision to the process that incorporates this concept  
17 of gap analysis and other issues may come up beyond the  
18 question set itself, and other information might be  
19 addressed.

20 We can do that I think in about 10 minutes, and  
21 then come back. Present that change to you and see if  
22 the Committee is ready to vote. I'm not seeing any  
23 objections to that proposition. So if those with more  
24 nimble fingers than I, can go ahead and pause the call,  
25 give us 10 minutes, and we'll reconvene with those

1 changes.

2 (Pause.)

3 DR. CALONGE: I appreciate everyone's patience.  
4 Thanks. I must admit I'm suffering a little from my  
5 Boomer inability to use a touch pad on a computer. I am  
6 mouse dependent, I apologize.

7 Here are the additions for the process we have  
8 considered. The first is around timeline, a vote must  
9 be held on the condition within 9 months of the approval  
10 of expedited review. Now it doesn't mean it has to wait  
11 for 9 months, it's best applying the criteria for the  
12 most amount of time that might occur if there is new  
13 evidence, new study, or another material change, it  
14 certainly does not have to take nine months, but it  
15 shouldn't take longer than that.

16 And we do have to recognize that there might be  
17 other conditions in the queue, but some vote on the  
18 condition needs to occur within 9 months. The next  
19 point is the expedited review should include responses  
20 to the Chair's letter and may include additional new  
21 evidence or information on other issues.

22 This is a change to give the nominators to open  
23 the door to evidence that strengthens their case in  
24 other parts of the nomination package and help ERG in  
25 making the decision or a good review. The ERG can also



1 find additional research on issues not included in the  
2 original discussion and Chair's letter that may impact  
3 the decision to recommend the condition be added to the  
4 RUSP.

5           So we're trying to make sure that any new  
6 evidence that should be included to inform the Committee  
7 in making a decision is included in the review. Next  
8 slide. Since we couldn't quite get it all under one.

9           It should be clear that a topic of proof or  
10 expedited review may still end in the decision not to  
11 recommend addition to the RUSP. So with that we believe  
12 we have covered the issues that we brought up, and I'll  
13 open it up to discussion again by the Committee and  
14 organizational representatives.

15           If the Committee is comfortable at this point  
16 in approving the expedited review process, which I  
17 assure you we will clean up in terms of the order of the  
18 bullet points, I would entertain a motion to do so.

19 Ash, do you have a question, or are you making a motion?

20           DR. LAL: I have a question, thanks Ned.

21           DR. CALONGE: Yes, sir.

22           DR. LAL: Thank you. I just wanted to ask  
23 about the timeframe of resubmission, and what was, how  
24 that was arrived at for logistical reasons to keep the  
25 evidence in the same composition as before? Because I

1 feel one thing is strategy, and some questions could be  
2 addressed right away if there was new evidence that may  
3 be forthcoming of any pending studies and so on.

4 That could come outside of the timeframe of the  
5 one here. Just to ask for some clarification.

6 DR. CALONGE: Yes, thank you. It was  
7 experience based, and the fact that other evidence-based  
8 review groups included ACIP feel that an evidence review  
9 becomes too stale after a one-year period of time.

10 So, the Committee guide at CDC will use  
11 somebody else's systematic evidence review, but not if  
12 it's over a year old. And again, the idea is that too  
13 many new things have happened, knowledge moves at a  
14 quick pace, and that seemed like a reasonable timeframe.

15 I would say it's a reasonable thing to ask, and  
16 to keep an eye on. We have to start with a timeframe.  
17 That's the one I proposed in terms of experience, and if  
18 it appears like that is too restrictive, or too  
19 permissive, I think we could change the timeframe if  
20 necessary. Shawn?

21 DR. MCCANDLESS: Thank you. I think this is  
22 the right thing to do, but I also think that there's a  
23 lot of potential for unintended consequences based on  
24 the language that we use around it, and the expectations  
25 that we create. And so, I think the language is going

1 to be really, really important, and I would be a little  
2 hesitant to vote on something right now without having  
3 in front of me that I can ponder for a few minutes, the  
4 actual language.

5           And so, I had a few questions that came up with  
6 those slides and I just don't -- I just think slowly I  
7 guess, and so I can identify a couple of concerns. The  
8 first is it wasn't clear to me who was responsible for  
9 each of those bullet points, whether that was the  
10 nominators, whether that was the Evidence Review Group,  
11 whether that was the Committee, so I think we need  
12 clarification there.

13           I also think that we need to be really clear  
14 that there's no may about it. If there's going to be a  
15 re-evaluation there's going to be a re-evaluation. The  
16 re-evaluation should look at all of the available data,  
17 including all of the new data, and it shouldn't be "may  
18 include new data." It should be "will include all new  
19 data" because that just seems absolutely mandatory to  
20 me.

21           And I would like to see the language that we're  
22 voting on, and have a few minutes to ponder it, to try  
23 to anticipate if there may be unintended consequences  
24 based on the way we framed the statement.

25           DR. CALONGE: Well Shawn, we anticipated that

1 might be the case, and so what we are proposing if that  
2 sentiment is shared by the Committee members that we  
3 postpone the vote on this until we can distribute the  
4 language in order, and we would think would be ideal,  
5 and have everyone have the opportunity to look at it,  
6 and dwell on it before a formal vote.

7 But you know I had to ask. Jennifer?

8 DR. KWON: Hi. Sorry I was having some  
9 technical difficulties, but I did hear much of the  
10 conversation by phone. It's just that none of you could  
11 hear me, which I can't help but think might have been on  
12 purpose. But if you're going to postpone the vote does  
13 that mean that does that affect what's happening  
14 tomorrow?

15 DR. CALONGE: Yeah, great question. It  
16 depends.

17 DR. KWON: That wasn't my original question,  
18 it's just a response to what you just said, sorry.

19 DR. CALONGE: Yeah. If we vote to approve the  
20 process tomorrow, then we plan to move ahead with  
21 consideration of Krabbe disease as the topic  
22 for -- potentially a topic for expedited review. So to  
23 change the order a little bit of the agenda tomorrow.  
24 If the Committee rejects the expedited review process,  
25 then that changes our plans for moving forward tomorrow.

1 DR. KWON: Okay. So I think that one of the  
2 things that we heard about the Duchenne nomination  
3 package was that there is a lot of hope, and there is a  
4 lot of potential for these treatments that are out  
5 there. You know, so there's a lot of that. And I feel  
6 like having an expedited review process in place does  
7 maybe open the door for people not waiting for evidence  
8 to become available.

9 The hope of today will hopefully be the  
10 evidence of tomorrow, and one of the questions that's  
11 been asked by many of us who treat patients with  
12 Duchenne for example, with the new gene therapy, is why  
13 Sarepta didn't simply wait for more data to become  
14 available, rather than to push the FDA so that the FDA  
15 in turn provided a very limited approval based on what  
16 they thought was, you know, in the best interest of what  
17 is Duchenne.

18 And so I was just yeah, wondering what  
19 safeguards there might be to keeping -- to maybe opening  
20 the door for there not to be enough evidence, but with  
21 an expedited review process to be able to sort of  
22 bringing the evidence in the future. And therefore,  
23 have an application be submitted a little prematurely.

24 DR. CALONGE: I would hope that Nomination and  
25 Prioritization workgroup wouldn't use this process as a

1 shortcut to their responsibilities in bringing a  
2 nomination package forward to the evidence review group.  
3 I guess that's the best answer I have for you. Chris?

4 DR. DORLEY: Yes, so just for my clarification  
5 with the expedited review, this is kind of like a  
6 carryover from the last meeting that we had to establish  
7 a process so that once someone submits a nomination, and  
8 it gets kicked back, that there doesn't have to be a  
9 long, extended waiting period for them to have a review  
10 again.

11 DR. CALONGE: Yes.

12 DR. DORLEY: So I'm in favor of posting, or  
13 having a vote today so that we don't delay this process  
14 any longer, and maybe having this discussion now so that  
15 we establish as Shawn mentioned, you know, whose roles  
16 and responsibilities are these, so that we can move  
17 ahead and not delay the vote tomorrow. That's my  
18 opinion.

19 DR. CALONGE: Thanks. We could put the slides  
20 back up. We could try to do that clarification now, or  
21 again we could revise the slides, get them to you yet  
22 today, ask you to review them, provide us with  
23 additional comments or questions. Respond to those  
24 before the meeting tomorrow, and have a vote on the  
25 process tomorrow, which would then set up the rest of

1 our agenda tomorrow.

2 But let me just go through what we were  
3 thinking about here. The vote must be held by the  
4 Commission within 9 months after the approval of an  
5 expedited review. We put that 9 month on there because  
6 it's already there for a review, or at least has been in  
7 the past. And not that we would anticipate it taking  
8 that long. But I think that's clear about who is  
9 responsible, and it would be the clock starts after the  
10 approval.

11 So here the expedited review should include  
12 responses to the Chair's letter and may include  
13 additional new evidence or information for other issues.  
14 I was just thinking would there be other topics that the  
15 nominators wanted to bring up. So that's a good one to  
16 say that responses to the Chair's letter may include new  
17 evidence or information on other issues submitted by the  
18 nominators. Shawn, does that make that clear?

19 DR. MCCANDLESS: Shawn McCandless, Committee  
20 Member. That is addressing the concern I have there is  
21 it's not clear whether I think that there's still some  
22 work that needs to be done there because if I hear what  
23 you are saying, you are suggesting that the nominators  
24 are responsible for including responses to the Chair's  
25 letter and including additional new evidence or

1 information. And that is a responsibility of the  
2 nominator, not the Evidence Review Committee, or as the  
3 expectation of the Evidence Review Committee will  
4 respond directly to the Chair's letter and the issues  
5 you raised by the Committee, plus additional new  
6 information.

7 DR. CALONGE: Right. So yeah, the Chair's  
8 letter goes to the nominators, and so it's the  
9 nominators who will review that and not the ERG.

10 DR. MCCANDLESS: So maybe the way -- I'm not  
11 sure you want to do wordsmithing here, but maybe the way  
12 to fix that is to say requests for expedited review.

13 DR. CALONGE: Got it. That change. Michael?

14 DR. WARREN: That's what I was going to suggest  
15 that clarification.

16 DR. CALONGE: Shawn, the next -- well it was  
17 kind of to your point, and so I think the ERG may find  
18 additional new research. So again, the idea is that the  
19 charge to the ERG needs to be look at what's provided to  
20 you from the nominators and expedited review package,  
21 and you should use typical search strategies to look for  
22 additional new research that may not have been included  
23 in the original discussion in the Chair's letter, but  
24 that could impact the decision to recommend the  
25 condition.



1           So that's basically saying there may be  
2 research that occurs in that timeframe that would either  
3 support or not support the decision of the Committee,  
4 and ERG should look at that in the traditional approach  
5 with the gap search. So we need to make that a bit more  
6 specific.

7           DR. MISTRY: Ned, this is Kamila. I think that  
8 what you just said I think really needs to be captured  
9 in that last bullet because I think it can be read a  
10 number of different ways. And just logically they're  
11 giving us new evidence, right? And also  
12 naming -- giving us more information.

13           And then we're saying on top of that we're  
14 going to look at that and then we can find even more.  
15 And so I think just kind of being more linear in laying  
16 all that out, and then we're also saying if we find  
17 something we're going to have to consider that as part  
18 of the decision making.

19           And so, I think just being super clear about  
20 those pieces and how they fit together I think is really  
21 important.

22           DR. CALONGE: Got it. And I think I understand  
23 what we want to say, and I also understand we didn't  
24 quite say that, so those were as we're catching that  
25 concept we go onto the next slide. Expedited review.

1 This one is pretty clear, right? Shawn, we just wanted  
2 to be -- Shawn and others, we wanted to be explicit  
3 about the fact that just because we're doing an  
4 expedited review, it doesn't prejudge the vote.

5 There will still need to be a presentation by  
6 the ERG, discussion and consideration by the Committee  
7 and a vote. And that the vote may be to recommend, or  
8 it may be to not recommend. So just because you get  
9 submitted for expedited review it doesn't prejudge the  
10 vote.

11 DR. MCCANDLESS: I think that does capture my  
12 concern there. I think there's an unspoken point about  
13 the process though, and that is that there is no  
14 requirement, nor there's no requirement for voting  
15 members of this Committee to provide their concerns for  
16 a list of the specific reasons that they vote the way  
17 that they vote, and I think that that's another thing  
18 that I don't know how to make that clear.

19 But that's -- my concern about this process is  
20 that it creates the expectation that there was one  
21 thing, or maybe two things that a little bit more data,  
22 one little piece of information that was missing would  
23 change a no vote to a yes vote. And we just have to be  
24 clear that that may not be the case, and probably won't  
25 be the case most of the time because we don't have a

1 checklist, and we don't have a requirement that  
2 Committee members sort of articulate and define their  
3 specific reasons for voting yes or no.

4           And so I just want to be really careful that we  
5 don't create expectations here that will then end up  
6 with people feeling nominators in particular, feeling  
7 frustrated with the process because we're trying to make  
8 it more clear, and make it appear that it's more, you  
9 know, set in stone of how decisions are made when the  
10 reality is that the data are never clean, the evidence  
11 is never entirely clear, and that there's always going  
12 to be subjective human judgment involved in the decision  
13 making, or at least through the foreseeable future.

14           And I don't know how to capture that in this  
15 language honestly.

16           DR. CALONGE: I think that yeah. I mean I hope  
17 the nominators can understand. I mean I have heard  
18 questions like so if we give you this you'll vote yes,  
19 which isn't what we're saying. It's like these are the  
20 items that the Committee discussed and said needed to be  
21 addressed. It doesn't say this is a comprehensive list  
22 of all the reasons why people might have voted one way  
23 or the other.

24           And I'm not certain as I say that other than  
25 the process works its way through. I understand your

1 point, and at the end of the day you know we want to  
2 avoid if there's a point that the Committee felt was  
3 fine, we don't necessarily want to re-educate that. I  
4 think that to make sure though that we're looking at the  
5 evidence on the whole, and are doing that balance  
6 between benefits and harms, and a level of certainty  
7 that adheres to the process is what we look to do even  
8 with an expedited review.

9           And I understand that if another issue comes up  
10 and says we still aren't going to vote that, that the  
11 nominators, advocates and family members need to  
12 understand that that's part of the process. Jennifer?

13           DR. KWON: Hi. Jennifer Kwon, Committee  
14 member. So when it goes -- so this will go back then to  
15 the ERG, and the ERG I assume will prioritize the key  
16 issues that were brought up as problematic for the  
17 application, the nomination. And so when are you  
18 envisioning -- and so, you are basically leaving it up  
19 to the ERG to decide how to approach their evidence  
20 review then?

21           There's no other sort of guidance that the  
22 Committee is going to give to the ERG.

23           DR. CALONGE: Well yeah, that's the way we  
24 envisioned it.

25           DR. KWON: Okay.

1 DR. CALONGE: Kind of the way we do it now.

2 DR. KWON: Yeah, sure.

3 DR. CALONGE: And there will still be a  
4 technical expert panel to provide input as well.

5 DR. KWON: So and this may lead our ERG to have  
6 the managing two issues overlapping, depending on how  
7 the vote goes tomorrow. Has there been any discussion  
8 about their bandwidth to manage this?

9 DR. CALONGE: I think that's why we tried to  
10 put in language that anticipated the workload of the  
11 ERG, and that we have to do prioritization of  
12 a -- sorry, an expedited review and a new review as a  
13 Committee to say this is the way we want to prioritize  
14 these. Shawn?

15 DR. MCCANDLESS: Shawn McCandless, Committee  
16 member. I appreciate all of this, and to respond to Dr.  
17 Dorley's concern that we do need to probably make a  
18 decision today. One possibility would be that I think a  
19 lot of the issues that are being raised here, at least  
20 the ones I've raised, could be addressed by the language  
21 in the Chair's letter to the nominator that we don't  
22 necessary -- that doesn't necessarily have to be all  
23 included in the language around the, you know, around  
24 the vote to add the expedited review process, or to make  
25 that a part of the Committee's options.

1           So I would be comfortable with the few changes  
2 to the language of what's on the slides that we  
3 discussed earlier, voting on this today with the  
4 understanding that there will be a work group created to  
5 help draft the language that will go in the letter to  
6 the nominators that will assuage the concerns that have  
7 been raised.

8           I don't want to step on anybody's toes, HRSA's  
9 toes, or your toes, Ned, but that would be one solution  
10 to allow us to move forward today.                           DR.

11 CALONGE: Okay. Yeah, I think we could do that,  
12 actually, Shawn thanks. Debra?

13           DR. FREEDENBERG: Yeah, I just had a question,  
14 a clarification about Shawn's comments about Committee  
15 members justifying why they voted the way they voted.  
16 Maybe I misunderstood what you said, but I would think  
17 that that would not be desirable. I'm not a Committee  
18 member, from those Committee members, because people are  
19 passionate about things that are being discussed, and  
20 things that are being proposed.

21           And I would think that would put a Committee  
22 member at an increased risk for getting say unpleasant  
23 feedback. If you have to justify exactly why you voted  
24 this way or that way rather than just the vote, so maybe  
25 I misunderstood what you were trying to say.

1 DR. MCCANDLESS: Ned, may I respond?

2 DR. CALONGE: Yeah, of course.

3 DR. MCCANDLESS: Shawn McCandless. Thank you,  
4 Debra, for that. This is probably a good opportunity to  
5 say that -- just to say after the meeting where we did  
6 not move Krabbe forward, I had the opportunity to  
7 interact with a number of people who were nominators or  
8 were strong advocates.

9 And I can say uniformly those people's behavior  
10 was totally appropriate and professional. I never felt  
11 any blowback from anyone about that, and this is an  
12 opportunity to publicly say, that I really appreciate  
13 the way that people handled that situation. The  
14 nominators and people who are strong advocates handled  
15 that.

16 But I think your concern is legitimate. My  
17 point though was not so much that I think we need to  
18 force people to list their reasons, you know, the  
19 concerns that they had in a public forum. It was really  
20 just to make the point that if they're complex decisions  
21 and each person will have probably multiple points that  
22 they're concerned about that they weigh together to  
23 impact their vote.

24 And that makes it impossible to send a letter  
25 to a nominator that says here's the two issues that the

1 Committee was concerned about that if you address them  
2 we'll be able to move forward because there's no way.  
3 And even if we did ask the Committee to list every  
4 concern they had, when we go back through the evidence  
5 review, they're probably going to be new concerns.

6           So my point was not that we should ask the  
7 Committee members to list in detail their specific  
8 concerns. It was to say that that would be impossible,  
9 and that we want to be really clear that there's no  
10 guarantee that the concerns listed in the Chair's letter  
11 are the only concerns that impacted how people voted.  
12 Thank you though for bringing that up.

13           And to our friends in the Krabbe community,  
14 thank you for your kindness and tempered response after  
15 what was clearly a frustrating decision made by this  
16 Committee.

17           DR. CALONGE: Thanks Shawn. Michele?

18           DR. CAGGANA: Michele Caggana, Committee. I  
19 would agree with Shawn, you know, to the facts that  
20 these words are very heated, and they come with a lot of  
21 emotion. And so along those lines I think it would be  
22 difficult to specifically articulate a reason for, or  
23 for not voting for something because it's complicated,  
24 and a lot of factors do go into this.

25           And I think part of the reason we have this



1 Committee is so that the Chair and staff who are  
2 involved can sort of take that, all that discussion and  
3 then distill it down to something that the nominators  
4 can simply respond to. And I think that that mechanism  
5 seems to work well.

6 And I just want to clarify and make sure. The  
7 expedited part of this process is really that once there  
8 is an evidence review and it turns back to the  
9 nominators for whatever reasons that are given in that  
10 letter, the expedited part of that is that they do not  
11 have to go back and start from scratch with a brand-new  
12 nomination package, correct?

13 So I just want to make sure I think some of the  
14 discussion seemed like people might not get that, and I  
15 want to make sure I'm getting it right. And that's they  
16 can just sort of start from that letter and then move  
17 forward. And in all honesty, by the time they get  
18 through, you know, follow the timing that is laid out,  
19 it's going to be quite a period of time.

20 It could be another year and a half before the  
21 final vote is made. Is that correct?

22 DR. CALONGE: It could be, or it could be  
23 faster. I just think it's important to recognize that  
24 it gets to kind of Jennifer's issue about what if  
25 there's a study that's just about to come out? And it

1 answers all of the questions or provides such an  
2 overwhelming sense of benefit overall.

3 Or conversely, a pilot study does  
4 more -- uncovers harms that were not yet anticipated.  
5 Just because it's been longer. It could take a quicker  
6 period of time. The other part of the expedited review  
7 is that even though review committees look back at their  
8 last review, when they start a review, they almost have  
9 to start over, so they say they have to pick a new end  
10 date for the research scan.

11 They bring in all the studies, and actually  
12 begin a whole new review. That's what we're trying to  
13 avoid, and we can do it faster because of that. Does  
14 that help? Yeah.

15 DR. CAGGANA: Absolutely, thank you.

16 DR. CALONGE: Ash?

17 DR. LAL: It's a process question. Thanks Ned.  
18 So once it's determined, in response to the Chair's  
19 letter, that a material change has been submitted then  
20 it goes back to the whole Committee to vote, I mean to  
21 expedited review. But the Committee does not discuss  
22 whether the change that has been submitted is a material  
23 change or not. That determination has already been made  
24 before it comes to the Committee vote, so that's one  
25 question.

1           And the second is if it could be a perception  
2 that the way the Committee works to send something back  
3 to evidence review for expedited consideration, the way  
4 the Committee votes, whether it's like a unanimous vote  
5 versus a split vote, could that in any way influence the  
6 working and the discussions of the evidence review? In  
7 short, either the Committee's involvement, before the  
8 response of the material change comes into expedited  
9 review, it doesn't mean that the whole Committee vote,  
10 that's the question.

11           DR. CALONGE: I really appreciate those  
12 questions. I'm going to take the last one first. So I  
13 don't think ERG takes the vote count into account when  
14 they do an evidence review and synthesis. So my answer  
15 to that would be that's not a concern. The other issue  
16 is that the Chair working with others makes the Chair's  
17 decision about whether or not there is a material  
18 change.

19           It's still up to the Committee to decide  
20 whether or not that change is compelling enough for them  
21 to vote to move the condition on for expedited review.  
22 So, what I'm trying to say is that that material change  
23 decision by the Chair is just whether or not to bring it  
24 back and request a discussion and vote on re-review.  
25 Then it's again, entirely under the Committee's purview,

1 whether or not that material change as determined by the  
2 Chair is sufficient to move the condition to an  
3 expedited review.

4           And we did it that way to not have so, to try  
5 to do it in a way that honored the desire to be  
6 responsive to the nominators in moving forward. And the  
7 idea is the Chair could ask for ERG or input from others  
8 in saying does this feel like a material change.  
9 Ultimately, the decision to review or not review belongs  
10 to the Committee. Jannine?

11           DR. CODY: Jannine Cody, Committee member.  
12 What would help me a lot to clarify this is a little bit  
13 more of a specific timeline, so how long does the Chair  
14 have to submit the letter, and who's supposed to do what  
15 with each one of these steps in what amount of time,  
16 since timeliness is a key to all this process.

17           DR. CALONGE: Yes. So I think there is a  
18 timeline for the Chair's letter. That's within two  
19 months. So that timeline has already been set. And  
20 then that puts the decision back in the hands of the  
21 nominators to decide whether or not they want to try for  
22 an expedited review, and to assemble the additional  
23 information.

24           I will tell you that we are responsive in  
25 having conversations with nominators in terms of

1 discussing the letter, in terms of discussing what new  
2 evidence might look like, what another material change  
3 could be. So we're really, we try to be responsive.  
4 But ultimately the timeframe for the submission for  
5 expedited review is sometime within a year, and there's  
6 no other timeline associated with that. It's up to the  
7 nominators.

8 I suppose we could build in a, or maybe we need  
9 to build in a two-month timeframe for the Chair to make  
10 a conclusion about is this a material change at a  
11 reasonable time.

12 DR. MCCANDLESS: There's a lot of back and  
13 forth.

14 DR. CALONGE: Yeah. We spent a lot of time  
15 talking about this, so it makes me nervous cutting it  
16 too short, and I don't want to make it too long either.  
17 Maybe we just say expeditiously, and we get some  
18 experience with it, and if that's too long, we set a  
19 narrower timeline. Melissa?

20 DR. PARISI: Yeah, I just wanted to echo what  
21 Jannine said in that, you know, for me seeing all the  
22 words is a little bit confusing. It would be great if  
23 we could put like a diagram, or some sort of a timeline  
24 together just to help us visualize what it is we're  
25 talking about with a few parameters around the time for

1 each process, realizing that there's going to be a bit  
2 of a range.

3 DR. CALONGE: I'm sure we can do that, and  
4 we'll try to provide that to you if not tonight,  
5 tomorrow. Chris.

6 DR. DORLEY: Chris Dorley, member. I just  
7 wanted a little bit clarity based on a point that Dr.  
8 Kwon had made regarding the bandwidth of the Evidence  
9 Review Group. And I was thinking about a scenario where  
10 the Evidence Review Group already has a disorder that  
11 they are trying to research to get it to the point for  
12 voting.

13 And then we as a group, you know, push this to  
14 evidence review for an expedited review. Does the  
15 expedited review then supersede the work that the  
16 Evidence Review Group is doing? Say they have one or  
17 two conditions that have been nominated that they are  
18 reviewing, to keep within this timeframe for bringing it  
19 up to the Committee for a vote. I just need some  
20 clarification.

21 DR. CALONGE: I think we anticipated that if  
22 there are two conditions that the Nomination and  
23 Prioritization Committee would set the priorities about  
24 what needs to go first, and what needs to go second.  
25 There just needs to be a vote within 9 months. It might

1 be a vote to allow more time because of a prioritization  
2 of another topic over one that's also in the queue.

3 I will say that the contractor has assured us  
4 that the ERG ended two nominations at once. I just got  
5 that message, so I wasn't trying to -- I didn't want to  
6 commit them to something, and now they've committed to  
7 us.

8 So we can clean this up. We can provide kind  
9 of a draft, I'm sorry a broad approach and a timeline  
10 that will be kind of a guiding -- how about a guidance  
11 document for moving forward in terms of finding and  
12 other issues.

13 And then include Shawn's comment on working on  
14 language in the Chair's letter that brings in all of the  
15 issues that might be associated with an expedited review  
16 going forward, being presented, and the topic still not  
17 being recommended to the RUSP. So that expectations  
18 about the process, and the outcome are being prejudged,  
19 and are clear.

20 So those are the two things, and then we  
21 answered the issue about bandwidth. So with those  
22 issues, are those things that the Committee needs to see  
23 before they're willing to do what I would call, as a  
24 conditional vote saying we'll address all these issues,  
25 or do you want to put this vote off until tomorrow?

1           And the best way to answer that would be for  
2 someone to make a motion.

3           DR. DORLEY: I motion to approve a conditional  
4 vote, Christine Dorley, Member.

5           DR. PHORNPHTKUL: This is Chanika, I second.

6           DR. CALONGE: It has been moved and seconded  
7 that with the caveats I have provided, the additional  
8 information we have committed to providing the Committee  
9 before tomorrow, that we go ahead and approve the  
10 expedited review process. There are no other comments  
11 or questions at this point, I would like to call for a  
12 roll call vote.

13           COMMANDER MANNING: And please respond with a  
14 yes or a no, or whether you're abstaining. Michele  
15 Caggana?

16           DR. CAGGANA: Yes.

17           COMMANDER MANNING: Jannine Cody?

18           DR. CODY: Yes.

19           COMMANDER MANNING: Cynthia Hinton?

20           DR. HINTON: Yes.

21           COMMANDER MANNING: Christine Dorley?

22           DR. DORLEY: Yes.

23           COMMANDER MANNING: Paula Caposino?

24           DR. CAPOSINO: Yes.

25           COMMANDER MANNING: Jennifer Kwon?



1 DR. KWON: Yes.

2 COMMANDER MANNING: Ash Lal?

3 DR. LAL: Yes.

4 COMMANDER MANNING: Shawn McCandless?

5 DR. MCCANDLESS: Yes.

6 COMMANDER MANNING: Kamila Mistry?

7 DR. MISTRY: Yes.

8 COMMANDER MANNING: Melissa Parisi?

9 DR. PARISI: Yes.

10 COMMANDER MANNING: Chanika Phornphutkul?

11 DR. PHORNPHTKUL: Yes.

12 COMMANDER MANNING: Michael Warren?

13 DR. WARREN: Yes.

14 COMMANDER MANNING: Ned Calonge?

15 DR. CALONGE: Yes. Unanimous vote. We've  
16 adopted with the changes as outlined expedited review  
17 process with a commitment to provide the Committee with  
18 the revised language in order, and a timeline draft  
19 order to be for review tomorrow.

20 And I appreciate that discussion. This is such  
21 a strong Committee in terms of seeing what's there, and  
22 then seeing what's not there, and anticipating  
23 unintended consequences, being very deliberate about the  
24 charge of the Committee, and the importance of what we  
25 do, both in terms of making decisions to add conditions,

1 or recommend adding conditions to the RUSP, and to  
2 decisions where we think we have insufficient  
3 information, too much uncertainty, or the call between  
4 harms and benefits is too close.

5 I hope to that the community that involves  
6 those most interested and affected by the conditions  
7 understand that this is an approach to try to be more  
8 centric around the concerns of the advocacy community,  
9 to be fair, and to be more timely if we can when the  
10 evidence allows.

11 With that I think we're coming to a close of  
12 day one. We've done great work. Okay, and I have one  
13 other item. I said we're coming to a close. I would  
14 like to ask Susan Tanksley to say a few words about our  
15 colleague who recently passed away, Bonnie Taft. Susan,  
16 can you come off mute and make a few comments?

17 DR. TANKSLEY: Yes. Thank you so much. Can  
18 you hear me okay Dr. Calonge?

19 DR. CALONGE: Yes.

20 DR. TANKSLEY: Great, thank you. And thank you  
21 so much for this opportunity to honor and to pay tribute  
22 to the life and the work of Bonita, better known as  
23 Bonnie Taft. Bonnie was a chemist and a public health  
24 laboratory leader. She earned a Ph.D. in toxicology  
25 from Johns Hopkins School of Public Health, and a Master

1 of Public Health in Environmental Health from Columbia  
2 University.

3 Her career included time as a medical  
4 technologist, a professor, a chemist and a public health  
5 lab manager and director. In her public health career  
6 Bonnie served as the technical director for the  
7 laboratory response network chemical threat programs, in  
8 both Florida and Michigan. She also served as Director  
9 of Newborn Screening in Michigan for two years, Florida  
10 for seven years, and in Georgia from April 2022 until  
11 her death in June of this year.

12 Bonnie's experience in public health  
13 environmental chemistry, and across state newborn  
14 screening programs, gave her very broad perspectives,  
15 which she shared as she served on the APHL Newborn  
16 Screening Committee, as well as the Laboratory Standards  
17 and Procedures Workgroup for this Committee.

18 Bonnie was also a strong advocate for, and a  
19 team player in continuity of operations. And we  
20 frequently shared texts alerting each other of concerns  
21 that might lead to the need for backup testing between  
22 Texas and Florida.

23 On a personal note, Bonnie's coworkers in  
24 Florida shared that she was an animal lover. She always  
25 had a few cats and dogs, and she would take in rescues

1 and give them the love that they deserved. She also  
2 volunteered at a local rescue shelter. She also liked  
3 fixing things around the house as much as she could on  
4 her own, and on weekends she'd go to local thrift stores  
5 to see what treasures she could find, and she found  
6 many.

7 Bonnie will be missed by all. Thank you so  
8 much for giving me this opportunity to share that with  
9 you.

10 DR. CALONGE: Thanks so much for your kind  
11 words. Bonnie made a tremendous contribution to newborn  
12 screening, and I know we will all miss her, and  
13 appreciate you sharing with us. That does bring us to  
14 close.

15 Tomorrow, I hope you're all looking forward to  
16 hearing a presentation on equity in newborn screen from  
17 Dr. Houtrow, and a Committee discussion.

18 We will have a vote tomorrow on whether to move  
19 Krabbe to expedited review. At this point I will ask  
20 the Committee to look for an email with what we promised  
21 you sometime before the start of the meeting tomorrow,  
22 and we will resume tomorrow morning promptly at 10:00  
23 a.m. Eastern Time. Thank you all for your time today.

24 (Whereupon the meeting adjourned at 1:50  
25 p.m.)