

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN  
NEWBORNS AND CHILDREN  
IN-PERSON/WEBINAR

HRSA HEADQUARTERS 5600 FISHERS LANE  
ROCKVILLE, MARYLAND 20852 (Pavilion)  
Friday, August 11, 2023  
10:00 a.m.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15

**Table of Contents**

COMMITTEE MEMBERS.....3

EX - OFFICIO MEMBERS.....5

ACTING DESIGNATED FEDERAL OFFICIAL.....7

ORGANIZATIONAL REPRESENTATIVES.....7

Welcome and Open.....13

Focusing on Equity After Newborn Screening.....18

    Committee Discussion.....35

Public Comment.....65

Expedited Review Discussion.....92

Committee Discussion on Possible Krabbe Expedited Review.....108

    Vote.....110

New Business.....115

**COMMITTEE MEMBERS**

**Ned Calonge, MD, MPH (Chairperson)**

Associate Dean for Public Health Practice  
Colorado School of Public Health

**Michele Caggana, ScD**

Deputy Director, Division of Genetics  
New York Department of Health

**Jannine D. Cody, PhD**

Professor, Department of Pediatrics  
Director, Chromosome 18 Clinical Research Center  
Founder and President  
The Chromosome 18 Registry & Research Society

**Jane M. DeLuca, PhD, RN**

Associate Professor  
Clemson University School of Nursing  
Metabolic Nurse Practitioner  
The Greenwood Genetic Center

**COMMITTEE MEMBERS**

(continued)

**M. Christine Dorley, PhD, MS**

Assistant Director, Laboratory Services  
Tennessee Department of Health

**Jennifer M. Kwon, MD, MPH, FAAN**

Director, Pediatric Neuromuscular Program  
American Family Children's Hospital  
Professor of Child Neurology  
University of Wisconsin School of Medicine

**Ashutosh Lal, MD**

Professor of Clinical Pediatrics  
University of California San Francisco  
UCSF) School of Medicine  
UCSF Benioff Children's Hospital

**Shawn E. McCandless, MD**

Professor, Department of Pediatrics  
Head, Section of Genetics and Metabolism  
University of Colorado Anschutz Medical Campus  
Children's Hospital Colorado

**COMMITTEE MEMBERS**

(continued)

**Chanika Phornphutkul, MD, FACMG**

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

**EX - OFFICIO MEMBERS**

**Agency for Health care Research & Quality**

*Kamila B. Mistry, PhD, MPH*

Senior Advisor

Child Health and Quality Improvement

**Centers for Disease Control & Prevention**

*Carla Cuthbert, PhD*

Chief, Newborn Screening and Molecular Biology Branch

Division of Laboratory Sciences

National Center for Environmental Health

**EX - OFFICIO MEMBERS**

(continued)

**Food & Drug Administration**

*Paula Caposino, PhD*

Acting Deputy Director, Division of Chemistry  
and Toxicology Devices

Office of In Vitro Diagnostics

**Health Resources & Services Administration**

*Michael Warren, MD, MPH, FAAP*

Associate Administrator

Maternal and Child Health Bureau

**National Institutes of Health**

*Diana W. Bianchi, MD*

Director, Eunice Kennedy Shriver National Institute of  
Child Health and Human Development

**ACTING DESIGNATED FEDERAL OFFICIAL**

**CDR Leticia Manning, MPH**

Health Resources and Services Administration

Genetic Services Branch

Maternal and Child Health Bureau

**ORGANIZATIONAL REPRESENTATIVES**

**American Academy of Family Physicians**

Robert Ostrander, MD

Valley View Family Practice

**American Academy of Pediatrics**

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics,

Community Health Improvement Texas Department of State

Health Services

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**American College of Medical Genetics & Genomics**

Cynthia Powell, PhD, FACMG, FAAP

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program Pediatric  
Genetics and Metabolism

The University of North Carolina at Chapel Hill

**American College of Obstetricians & Gynecologists**

Steven J. Ralston, MD, MPH

Chair, OB/GYN Pennsylvania Hospital

**Association of Maternal & Child Health Programs**

Karin Downs, RN, MPH

Maternal and Child Health Director (retired)

Massachusetts Department of Public Health



**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Association of Public Health Laboratories**

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

**Association of State & Territorial Health Officials**

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

**Association of Women's Health, Obstetric and Neonatal  
Nurses**

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC, IBCLC

Health Board Director

Vice President, Research Officer

University of North Carolina Health

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**Child Neurology Society**

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of Neurology

**Department of Defense**

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, US Army

Chief, Genetics, Madigan Army Medical Center

**Genetic Alliance**

Natasha F. Bonhomme

Vice President of Strategic Development

**ORGANIZATIONAL REPRESENTATIVES**

(continued)

**March of Dimes**

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and Reproductive  
Science

Icahn School of Medicine at Mount Sinai

**National Society of Genetic Counselors**

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

**Society for Inherited Metabolic Disorders**


Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

1



**P R O C E E D I N G S**

10:00 a.m.

**Welcome and Open**

DR. CALONGE: Good morning. I want to welcome everyone back to day 2 of the ACHDNC August 2023 meeting. Before we do roll call, I want to reintroduce an individual that many of you know very well. Cindy Powell is serving as the new organizational representative for the American College of Medical Genetics and Genomics.

You probably remember that Cindy is a Professor of Pediatrics and Genetics at the University of North Carolina at Chapel Hill, at their School of Medicine. She's a board-certified clinical geneticist, cytogeneticist, pediatrician, and genetic counselor, and is the immediate past Chair of the ACHDNC, and a member of the Board of Directors of the American College of Medical Genetics and Genomics.

So welcome back, Dr. Powell, you didn't stay away very long, and we're happy to have you. I don't know if you would like to make a comment or two?

DR. POWELL: Hello. Hi everyone.

DR. CALONGE: Thanks for rejoining us and continuing to support the work of the Committee. That's super.

1 DR. POWELL: Nice to be back and see a lot of  
2 familiar faces. Thank you very much.

3 DR. CALONGE: So with Cindy being back, we're  
4 going to just try to move forward. Today we're going to  
5 begin with a presentation from Dr. Houtrow on Focusing  
6 on Equity After Newborn Screening. We'll then have  
7 public comments, and after that a break.

8 We'd like to just quickly review the documents  
9 that I sent out last night trying to capture the  
10 additional information and ideas around expedited  
11 review, and try to provide you with two formats, the  
12 narrative document, and the slides with the evidence we  
13 discussed.

14 And then we'll move on hopefully, to talk about  
15 Krabbe and whether or not you would like to move it on  
16 in the expedited review process. And I'm going to turn  
17 things over to Leticia, who will do roll call, and then  
18 Dr. Kemper will -- I'm sorry, Dr. Houtrow will begin her  
19 presentation.

20 COMMANDER MANNING: Great. Thank you, Ned.  
21 From the Agency for Healthcare Research and Quality,  
22 Kamila Mistry?

23 DR. MISTRY: I'm here. Thank you.

24 COMMANDER MANNING: Michele Caggana?

25 DR. CAGGANA: Good morning, here.

1           COMMANDER MANNING: Cynthia Hinton from the  
2 Centers for Disease Control and Prevention?

3           DR. HINTON: Here.

4           COMMANDER MANNING: Jannine Cody?

5           DR. CODY: Here.

6           COMMANDER MANNING: From the Food and Drug  
7 Administration, Paula Caposino? From the Health  
8 Resources and Services Administration, Jeff Brosco?

9           DR. BROSCO: Here.

10          COMMANDER MANNING: Jennifer Kwon?

11          DR. KWON: Here.

12          COMMANDER MANNING: Ash Lal?

13          DR. LAL: Here.

14          COMMANDER MANNING: Shawn McCandless?

15          DR. MCCANDLESS: Here.

16          COMMANDER MANNING: The National Institute of  
17 Health, Melissa Parisi?

18          DR. PARISI: Here.

19          COMMANDER MANNING: And Chanika Phornphutkul?

20          DR. PHORNPHTKUL: Here.

21          COMMANDER MANNING: And for our organizational  
22 representatives, the American Academy of Family  
23 Physicians, Robert Ostrander?

24          DR. OSTRANDER: Here.

25          COMMANDER MANNING: From the American Academy

1 of Pediatrics, Karin Downs?

2 MS. DOWNS: This is Karin. I'm from AMCHP.

3 COMMANDER MANNING: AMCHP, my apologies.

4 MS. DOWNS: No worries.

5 DR. FREEDENBERG: And this is Debbie  
6 Freedenberg, and I'm from AAP and I am here.

7 COMMANDER MANNING: Yes. Got it. Thank  
8 you for the correction. From the American College of  
9 Medical Genetics and Genomics, Cynthia Powell?

10 DR. POWELL: Here.

11 COMMANDER MANNING: From the American College  
12 of Obstetricians and Gynecologists, Steven Ralston?  
13 From the Association of Maternal and Child Health, Karin  
14 Downs, thank you. From the Association of Public Health  
15 Laboratories, Susan Tanksley.

16 DR. TANKSLEY: Here.

17 COMMANDER MANNING: From the Association of  
18 State and Territorial Health Offices, Scott Shone?

19 DR. SHONE: Here.

20 COMMANDER MANNING: From the Association of  
21 Women's Health Obstetric and Neonatal Nurses, Shakira  
22 Henderson? From the Child Neurology Society, Margie  
23 Ream?

24 DR. REAM: Here.

25 COMMANDER MANNING: Department of Defense,



1 Jacob Hogue?

2 DR. HOGUE: Here.

3 COMMANDER MANNING: From the Genetic Alliance,  
4 Natasha Bonhomme?

5 MS. BONHOMME: Here.

6 COMMANDER MANNING: From the March of Dimes,  
7 Siobhan Dolan?

8 DR. DOLAN: Here.

9 COMMANDER MANNING: From the National Society of  
10 Genetic Counselors, Erica Wright?

11 DR. WRIGHT: Here.

12 COMMANDER MANNING: And from the Society for  
13 Inherited Metabolic Disorders, Susan Berry? Okay. And I  
14 am just briefly going to go over, remind folks about  
15 conflict of interest. Please note that you must recuse  
16 yourself from participation in all particular matters  
17 likely to affect the financial interests of any  
18 organization with which you serve as an officer,  
19 director, trustee, or general partner, unless you are  
20 also an employee of the organization, or unless you have  
21 received a waiver from HHS authorizing you to  
22 participate.

23 As in the case today when a vote is scheduled,  
24 or an activity is proposed, and you have a question  
25 about potential conflict of interest please notify me

1 immediately. You can email me at lmanning, so  
2 L-M-A-N-N-I-N-G @HRSA.gov. Thank you, and I turn it  
3 back over.

4 DR. DORLEY: This is Christine Dorley. I  
5 didn't hear my name called.

6 COMMANDER MANNING: Yes. Thank you.

7 DR. DORLEY: But I'm present, thank you.

8 DR. CALONGE: Thanks everyone. I did, as I was  
9 listening to the roll call, I just wanted to pause and  
10 give a shoutout to our organizational representatives.  
11 Your attendance and participation is so greatly  
12 appreciated. We know that we made you sit for long times  
13 on Zoom calls, or in meeting rooms, but it's so key to  
14 have this two-way communication with the organizations,  
15 and viewpoints that you represent, so thanks for  
16 attending and thanks for being here for day two.

17 **Focusing on Equity After Newborn Screening**

18 DR. CALONGE: Moving on, it's my pleasure to  
19 introduce Dr. Amy Houtrow. Dr. Houtrow is a Professor  
20 and Endowed Chair in the Department of Physical Medicine  
21 and Rehabilitation for Pediatric Rehabilitation Medicine  
22 at the University of Pittsburgh School of Medicine. She  
23 is also the Vice Chair for Quality and Outcomes, and is  
24 the Chief of Pediatric Rehabilitation Medicine Services  
25 at UPMC Children's Hospital of Pittsburgh.

1           Dr. Houtrow's main clinical focus is caring for  
2 children with disabling conditions, and helping to  
3 improve function and quality of life to the greatest  
4 degree possible. Her patients include children with  
5 spina bifida, cerebral palsy, hematologic disorders,  
6 brain and spinal cord injuries, and orthopedic  
7 musculoskeletal and neurological disorders and  
8 conditions.

9           Complementing her clinical focus, Dr. Houtrow's  
10 research focus is on childhood disability trends,  
11 advancing health equity, and developing channels to  
12 improve service delivery. I'd like to turn things over  
13 to you, Amy, and thanks so much for joining us.

14           DR. HOUTROW: Well, it is a distinct pleasure  
15 for me to be here. And just for everyone, I'm going to  
16 do a visual introduction as well, in case we have anyone  
17 on the call with vision impairment. I'm a pale woman  
18 with shoulder length dark brown hair. I'm wearing a  
19 bright yellow shirt today, and I have black glasses on,  
20 and there's pictures of animals on the wall behind me.  
21 I get the distinct pleasure of speaking to you all about  
22 the issue of equity as it relates to what happens after  
23 newborn screening.

24           I will start off, though, with a couple of  
25 disclosures. I have quite a lot of grant funding, none

1 of it relates to heritable disorders and so no actual  
2 conflict. I'm going to speak to you about thinking about  
3 equity, so to do that I want to just make sure  
4 everyone's kind of on a level playing field with some  
5 definitions.

6 I know that many of you know these inside and  
7 out, but if they're new to other people I think it's  
8 really helpful for us to just level set. And I'm going  
9 to review some issues around access to care, and we're  
10 going to pay special attention to children who come from  
11 minoritized, or otherwise oppressed backgrounds.

12 And all the while I want us to be thinking  
13 about in our own work how do we engage in the work we do  
14 without the equity lens. So this is just stage setting  
15 because all of us in the work that we do have growth  
16 potential, myself included, around how we approach and  
17 design and develop programs and services in such a way  
18 that it optimizes health equity.

19 So health equity, that just means that everyone  
20 has a fair and just opportunity to be as healthy as  
21 possible, so fair and just. And it's focused on this  
22 opportunity. And it's very process based, because it  
23 requires us removing obstacles, that is obstacles such  
24 as the root causes of health disparities, poverty and  
25 discrimination, and then all of their downstream

1 consequences. And these are really about lack of access  
2 to the things people need.

3           So when we think about health disparities in  
4 the lens of health equity, we recognize this as  
5 differences in health, or its key determinants that  
6 adversely affect those that are marginalized or  
7 oppressed. And the process forward to that health  
8 equity is the actual reduction in those disparities.

9           So I love a good picture, and so I put this  
10 into an upstream downstream graphic for you. So I just  
11 mentioned poverty and discrimination, and all of the  
12 kind of political, and I'm using the word political  
13 because there's policies that drive -- I'm not using the  
14 word partisan, our access to things like housing,  
15 finance, employment, and those things.

16           And all of those are amenable to policy  
17 interventions because what we recognize is there's a lot  
18 of issues here. Housing and food instability and  
19 insecurity, lack of stable employment, lack of good  
20 education. And then in the world that I swim in, right,  
21 the lack of access to services and supports that promote  
22 health.

23           So if we have this baby who just had their  
24 newborn screening, we don't want them out here in the  
25 open water. We want this baby to get all the support it

1 needs to travel the journey through life as healthy as  
2 possible. So you heard me say the word minoritized  
3 before, and I think it's just important that we own how  
4 deeply structured racism is in our culture, society and  
5 policies.

6 And so structural racism is the totality of  
7 ways that society fosters discrimination, or oppression.  
8 It's all those different things that I just said. So  
9 systems that limit access to housing, good education,  
10 employment, earnings, all of those things are mutually  
11 reinforcing.

12 I know everyone is really familiar with terms  
13 around racism, but I'm guessing people know basically  
14 about classism, but not really so much how it operates.  
15 And it operates in conjunction oftentimes with racism  
16 and other sources of oppression. So, it is the  
17 systematic oppression, people who are disadvantaged  
18 based on their class or financial worth.

19 And what happens here is that the policies and  
20 the systems and practices are repeatedly in this  
21 circular way to benefit the upper classes by keeping or  
22 oppressing people below them. It is, of course, held in  
23 place by a system of beliefs and cultural attitudes that  
24 really see people who are poor as less worthy. And we  
25 have this all over the U.S. Ideas like, well, in

1 America all you've got to do is lift yourself up by your  
2 bootstraps, right?

3           And you can't actually lift yourself up by  
4 bootstraps. That's the whole point of the phrase. And  
5 so the ridiculousness of it is that if you just work  
6 hard in America, you can be the next millionaire, when  
7 all of these kind of structures are set up to not allow  
8 that to occur.

9           And this is really important because kids often  
10 live in poverty. So what I'm showing you here is over  
11 the last 20 years, and this is when the great recession  
12 happened, so I grayed this out. We saw a massive  
13 increase in childhood poverty, and this line here is the  
14 "ALL" children. So I'm showing you this by race.

15           And what's really concerning here is there's a  
16 big differential, so white children are less likely to  
17 be poor, as are Asian children and Hispanic children,  
18 and black children. Okay, this is not just a little  
19 difference, so all children, nearly 10 percent to 27.7  
20 percent of black children. So we're talking about  
21 essentially three times the rate of poverty.

22           So I told you that poverty, classism and racism  
23 often go together. They also often go together with  
24 ableism. So ableism is that same sort of thing that  
25 we've been talking about. The stereotyping, the

1 prejudice discrimination, oppression, but this time not  
2 by race, or not by income, it's towards people with  
3 disabilities where people with disabilities are thought  
4 of as a diminished state of being.

5           So whether it's the ideal physical state, or a  
6 cognitive state, people with disabilities are deemed  
7 less worthy. And I put up the ICF because I really love  
8 how this neutralizes language. But what I can tell you  
9 is like all that stuff when I was showing you the  
10 upstream and downstream, the international  
11 classification considers them environmental factors, and  
12 of course they interact with our own personal choices  
13 and beliefs, and desires.

14           But it's really important to recognize how  
15 incredibly essential it is to understand the  
16 environmental contexts, so those social and political  
17 determinants. So why am I telling this to people?  
18 Babies who show up with a positive newborn screening,  
19 you know, are heading down the path to being children,  
20 youth with special healthcare needs, so they are more  
21 likely to be poor, and they are more likely to be  
22 minoritized.

23           So this is just an income breakdown, so these  
24 kids are living below the federal poverty level. These  
25 kids are -- their families are quite well off, above 400



1 percent. And what you can see is just this big, huge  
2 number here, minoritized black families, and Native  
3 Hawaiian and Pacific Islanders, so many more of those  
4 kids with special healthcare needs are living in  
5 families that are poor.

6 And I'm certainly not an expert in newborn  
7 screening, but I do see a lot of kids in my practice who  
8 have the disability consequences of sickle cell disease.  
9 And you know, this means these kids have been kind of  
10 undermanaged for a long time, that they were ending up  
11 with these adverse disabling consequences, such as  
12 related multiple, repeated strokes.

13 So we know, obviously, that sickle cell disease  
14 has a strong hereditary component based on like racial  
15 grouping, so shared and ancestry. So we know these  
16 kids, these African American kids, just are more likely  
17 to live in poverty, and they're more likely to  
18 experience the consequences of racism.

19 So I think it's really relevant as we think  
20 about our successes in identifying a disease versus our  
21 successes in helping children thrive and have optimal  
22 health when that disease is identified. And of course,  
23 we know that there's a lot to be done to improve care  
24 for individuals who have sickle cell disease, and  
25 certainly I see that in my own clinical practice.

1 I also see a lot of kids who have failed  
2 newborn hearing screen, but also get lost in the shuffle  
3 of follow-up. And you know there's been, and I pulled  
4 this from the Maternal and Child Health Bureau website,  
5 there's been a lot of achievements here.

6 So really, we were doing terribly in terms of  
7 getting kids screened. Now basically all the kids are  
8 being screened, so this is a big, big win. So like 97  
9 percent of infants. That is a hip, hip, hooray. But  
10 what is not a hip, hip hooray is that there's a hefty  
11 portion of them that are just not getting the  
12 evaluations and follow-up that they need.

13 And what do we need to do for kids who have  
14 identified hearing impairment is we need to get them  
15 engaged in services, and we know the earlier you  
16 intervene the better things are. And there's this time  
17 window from when children, infants leave the hospital  
18 and they have this like just robust opportunity for us  
19 to intervene on their behalf, and so often that  
20 intervention does not occur.

21 And guess who that intervention does not occur  
22 for most? The children who come from oppressed and  
23 minoritized backgrounds. And these are the kids that  
24 really need to get access to high-quality healthcare,  
25 but just are not in the same way. So I'm going to share

1 a bunch of datapoints, and these are all from the  
2 National Survey of Children's Health. And you can  
3 actually go to ChildHealthData.org and run these same  
4 kind of different analyses.

5 So, as you guys know, the Maternal and Child  
6 Health Bureau has identified systems of care, six  
7 activities that identify that kids with special  
8 healthcare needs are getting care in a well-functioning  
9 system. So, first of all, I just have to say overall  
10 our ability to do this for kids is crap-tastic.

11 So this is like not even 14 percent of kids  
12 with special healthcare needs get it. But you know the  
13 ones who do? Are the kids who are white; and the kids  
14 who don't -- are from the minoritized backgrounds. So  
15 even though we're not doing well overall, we are doing  
16 less well. So this is one of those opportunities for  
17 health equity.

18 This is where we try to think about what things  
19 would make it more likely that these kids, these  
20 oppressed kids, could get what they need from the  
21 system. So again, I'm going to show you, this time by  
22 family income. The lightest green here are the kids in  
23 families who have the least amount of money.

24 The darkest green is the families who have the  
25 most amount of money. And again, overall, we're just

1 not doing so well. That's the same line that I showed  
2 you on the previous slide. But of course, who is doing  
3 better? Kids who come from more well-off families. Who  
4 is doing worse? These kids who are living in poverty or  
5 near poverty.

6 And you know I couldn't even create a better  
7 stepwise relationship between income and care in a  
8 well-functioning system than the one that already shows  
9 up in the data, but just tells us we are so desperately  
10 needing to do something that helps the kids that need  
11 the things the most get the things that they need.

12 So when I think about the kids that I cared  
13 for, and many of the children who come from the world of  
14 newborn screening, is the kids who have more complex  
15 healthcare needs. So in this graphic I have kids who  
16 are less medically complex. Their healthcare needs are  
17 relatively easy to manage. And these kids are the ones  
18 who are more complex.

19 And of course, that same line would be here of  
20 the 14 percent, less than 14 percent, because that's the  
21 baseline line for care in a well-functioning system.  
22 And I want to rephrase this into this is opportunity.  
23 So nearly over 80 percent of kids who have less complex  
24 healthcare needs have an opportunity to have better care  
25 in a well-functioning system.

1           And the opportunity is even bigger for the  
2 families of kids who are more complex to be able to get  
3 the care for their child that they need. And some of  
4 the core things are just like how we engage with  
5 families, and so I just wanted to share family-centered  
6 care by income. A kid with another stepwise  
7 relationship. So basically family-centered care is that  
8 you feel like a partner. Your providers respect your  
9 values and understand your goals, that you're not in  
10 this kind of like talk down. You're engaged in  
11 discussions about what happens next, and what you want  
12 for your child.

13           And well-off families tell us they get that,  
14 and very luckily over 85 percent of families report this  
15 overall. But, again, this ridiculous stepwise  
16 relationship where the kids we need to provide the most  
17 services to in the best way are just not -- their  
18 families are not reporting that service.

19           And also, by minoritization, again, we look  
20 pretty good overall, but it's the white families who  
21 report it, or marginalized families who do not, which  
22 really says that there's an opportunity here. And one of  
23 the hardest things is moving from a screening to a full  
24 evaluation and treatment. We have a lot, a lot of  
25 drop-off, so not just in the newborn screening space,

1 but also in the, you know, screening for developmental  
2 concerns, and the primary care pediatrician's office,  
3 for example.

4           And one of the things that families repeatedly  
5 tell us, and it's illustrated in the National Survey of  
6 Children's Health, is how frustrated families are at  
7 getting care. So I have combined the more complex, less  
8 complex, kids with special healthcare needs with the  
9 income, and with racial differences, by minoritization.  
10 Well, you know, for the kids who have the more complex  
11 conditions, right, many of these kids would show up with  
12 newborn screening identified by conditions on their  
13 screening, their families are reporting they have  
14 frustration getting care.

15           So I work with kids who have neuromuscular  
16 disorders, and you know, these are things that we  
17 genetically know about, and yet and although we have  
18 systems that are set up, multi-disciplinary programs  
19 still, you know, families whose kids are more complex  
20 are just reporting they're so frustrated getting what  
21 they need.

22           And also, it's real consequences for their  
23 family's functioning. So families also report that they  
24 have to cut back on work to just meet the needs of their  
25 child, so more families who are poor are reporting that

1 they have to cut back work than families who are well  
2 off.

3           Okay, so who needs the income the most? The  
4 families with the least income. Right? These are the  
5 things that just drive me totally bananas and upset,  
6 because these are the things that I recognize that we  
7 need to address to make sure that families are  
8 functioning well, kids are getting what they need.

9           I don't even need to show you the next slide. I  
10 will, and talk about what it looks like by minoritized  
11 race in terms of having to stop and cut back work, but  
12 you know who it is? It's our minoritized families who  
13 are doing that.

14           So just the kind of service, if you think about  
15 it really robustly, these families are not getting what  
16 they need, and they often report to us that they have  
17 trouble paying the medical bills. If you cannot pay  
18 your bills, you often do not seek care.

19           So again, I've kind of layered these all into  
20 one slide. So these are the colors you're familiar with  
21 around income. These are the minoritized groups, and  
22 then this is by complexity. So families who have the  
23 more complex conditions, heritable disorders like  
24 muscular dystrophies, or sickle cell disease are really  
25 reporting trouble paying their medical bills. It also

1 really relates to insurance as well.

2           But I want to tell you a couple of stories  
3 because I think this is some of the things where we put  
4 on our health equity eyeglass lenses, right? And we  
5 start to see how things are set up and are operating.  
6 And it feels like we have opportunities to shift, right?  
7 Like what are the unintended consequences that end up  
8 hurting people who are already oppressed, limiting their  
9 options?

10           So I'm going to give you an example of  
11 attendance policies, so let's say you're a parent of a  
12 child, and you need non-emergency medical transportation  
13 for your child to get to their therapies, or to their  
14 evaluations, or to the doctor, okay. So non-emergency  
15 medical transport is great, but it's super challenging  
16 to use.

17           So it provides you a two-hour window usually  
18 for pick up. Two hours, right? That's not how I plan  
19 my days with this like big lag time of two hours. Also,  
20 by the way, it's frequently late, and occasionally they  
21 cancel at like the very last minute where you wouldn't  
22 even have time to make other arrangements.

23           So what happens is that the providers on the  
24 other side are like oh my gosh, another no show. Oh,  
25 you didn't even call us to tell us they couldn't come,



1 like they don't. And then we get into all of these  
2 ridiculous thoughts. They must not really care about  
3 their child's health. I mean, they must be, oh my gosh,  
4 they're so disrespectful and lazy.

5           And that is all because of the way the system  
6 is set up to operate. Now no one is telling us that  
7 because they don't come, we should think of them poorly,  
8 but we know we all have these biases, and we're all  
9 functioning in this world where when someone doesn't  
10 come it's problematic for how we run our services.

11           And so when I think about the non-emergency  
12 medical transport issue, first, it really sets up this  
13 dysfunction as it relates to things, you know, inside  
14 visits. But it also, like, crams all of these things to  
15 these unconscious biases that people have, that lead to  
16 like long-term poor consequences as they capitalize and  
17 build on each other.

18           And in addition, we're just not structured in a  
19 way that we have policies and strategies to get these  
20 families what they need. So if I'm working a shift job,  
21 but I have to take an additional two hours off, just  
22 because I can't trust that they're going to come when I  
23 need them to come, that's two hours of income that I  
24 don't have.

25           And if I am a person living in or near poverty,

1 that two-hour income could be the difference between  
2 paying my electricity bill on time, right? And so this  
3 over and over capitalizes and grows, so that families  
4 are really shoved into this rock and a hard place  
5 situation where their choices just are not tenable.

6 And we, as providers, start to think well, you  
7 know, they're so irresponsible when it's not that at  
8 all. So when I think about attendance policies it's  
9 often something like, you know, three strikes you're  
10 out. You don't show, you come late, you don't get the  
11 care, okay.

12 Or if you come a half hour late, oh too bad,  
13 you showed up, but we're not going to see you. We all  
14 know that it's set -- these attendance policies are not  
15 set up to be punishing, but they end up being punishing.  
16 And you know as overhead is expensive, and clinics want  
17 a lot of through-put, one of their solutions is a three  
18 strikes you're out policy, but who does that impact?

19 When care is foregone, and transportation in  
20 this example is a problem, I can tell you exactly who it  
21 impacts. It impacts the kind of kids who showed up as a  
22 positive newborn screen. Kids who are more medically  
23 complicated. It shows up as impacting the kids from  
24 minoritized backgrounds. It shows up in families who  
25 have limited financial resources.

1           So why is it? So it's the poor families who  
2 report it the most, and we have to really understand.  
3 It's like this why is a complex why. And the  
4 conclusions that we jump to are not always the right  
5 conclusions. And the way that impacts children and  
6 their families long-term could really be detrimental.

7           So when we put on our health equity glasses, we  
8 really need to recognize that there's a lot of injustice  
9 baked into the system. There are biases that we all  
10 hold onto, and that lead someone like Martin Luther  
11 King, Jr. to say of all the forms of inequality,  
12 injustice in healthcare is the more shocking and  
13 inhumane.

14           And so for us, it's a call to action. To say  
15 we've got to change the way we do things, and the kids  
16 get the follow-up they need, they get the services they  
17 need. Those services are easy to access, are family  
18 centered. Meet their needs. Give them what they  
19 deserve, so they can be as healthy as possible and  
20 achieve health equity.

21           All right guys, I think that my time is up, so  
22 I'm going to stop the share of my screen, so we can move  
23 on to the next portion.

24                           **Committee Discussion**

25           DR. CALONGE: Thanks so much for your time, Dr.

1 Houtrow, that was just super. And describing it the way  
2 you did was exquisitely done. I do a lot of health  
3 equity work, that's what I was doing before I came back  
4 over to the Health Department, and the School of Public  
5 Health.

6 And I think applying the same issues that we  
7 see at all ages in terms of challenges to equity apply  
8 specifically in the area of newborn screenings is very  
9 helpful to this Committee in thinking about moving  
10 forward, so thanks so much for your time. We're going to  
11 start out with discussion, and as usual I will call on  
12 Committee members first, for questions or comments, and  
13 then we'll turn to organization representatives. And  
14 first up I have Jennifer Kwon.

15 DR. KWON: Hi. I'm Jennifer Kwon, Committee  
16 member. That was a wonderful talk. As somebody who  
17 directs a neuromuscular clinic, I feel like I live a lot  
18 of the issues you described, and so of course I am  
19 impatiently thinking about solutions.

20 I do really appreciate you bringing these  
21 things up. I also want people to know that I think the  
22 greatest source of health equity is our medical system.  
23 You know, the medical system that we live and work in is  
24 really the biggest barrier that I fight in terms of  
25 trying to get people care.

1           So I'm interested in your solutions, and I also  
2 would like to highlight, I think, one or two points, key  
3 points for me, which is that newborn screening can  
4 improve diagnostic health equity in terms of allowing  
5 children who have serious disorders to be identified in  
6 a timely fashion.

7           But it may not result in the improved outcomes  
8 that people hope for without real improvements in our  
9 existing structure of care. But anyway, thank you.

10           DR. HOUTROW: I really love that, and I think  
11 that your point is so well taken about like we have done  
12 so much to improve equity in diagnosis because it's  
13 universal, right, so we're capturing almost everyone.  
14 But universal things don't always work as well for all  
15 groups, right, unless it truly is wholly universal.  
16 It's really you will have these inequities.

17           And I think we should celebrate the  
18 achievements, like universal screening, but then  
19 recognize that where we have to move the needle in the  
20 actions we take are different for different groups. I  
21 mean, I didn't mention rurality, but rurality, coming  
22 from a rural locale, to try to come to a  
23 multi-disciplinary neuromuscular clinic, that's a huge  
24 undertaking, right?

25           And I think our kind of attitudes around what

1 we expect families to be able to overcome are just  
2 unfair to families. Our health system is not structured  
3 in a way that is easy to use and navigate. You know, I  
4 have chronic health conditions. I've had them my whole  
5 life. I have been a user of a high-level amount of  
6 healthcare my entire life.

7 I'm a doctor. I have a Ph.D. in medical  
8 sociology, and an MPH in health policy and management,  
9 and I struggle to assure that I have adequate access to  
10 the care that I need, right? Just imagine what it is  
11 like for families who don't have the capacity or the  
12 ability to navigate the way that I do because I work in  
13 a health system. And so I think we need to be thinking  
14 about what it means for us not to be like simple work  
15 that we do for ourselves, which is hard work, but needed  
16 work about how we perceive when families don't show up,  
17 or follow-up is lost.

18 And then also what are the mechanisms by which  
19 families will tell us what would help them get to the  
20 appointments, right? Like we need to build the equity  
21 activities with the people who will benefit and need  
22 those equity activities. So like me just sitting here  
23 typing on my computer and Googling things is not the  
24 same thing as engaging ways with people with  
25 disabilities and chronic health disease in the planning

1 of the activities that they need, so that they can get  
2 the care they need.

3           There's so much inequity in healthcare, so many  
4 opportunities, but like we need to really for my big  
5 solution point is that and the disability rights  
6 movement talks about this, nothing without us. Like why  
7 don't we go to the people we need to help to figure out  
8 how they need to be helped?

9           DR. KWON: So what I'm hearing you saying is  
10 engage with my population. So actually, and I think  
11 that's wonderful to really talk with people who are  
12 living it, and then take their words to people who can  
13 make the changes. Thank you so much.

14           DR. HOUTROW: Thank you so much.

15           DR. CALONGE: I really appreciate you  
16 mentioning rurality. You know, from Colorado, that's a  
17 daunting task for many of the families whose children  
18 are identified through newborn screening, and I know  
19 Shawn could talk to that because he lives it, as do  
20 others at the Children's Hospital, so thanks for looking  
21 that up.

22           We have about 20 percent of our population that  
23 lives in rural or frontier areas for access to care,  
24 especially specialty care is just not available, it's  
25 challenging. So thanks for mentioning that. Let me

1 turn now to Cindy Hinton.

2 DR. HINTON: Hi. Cindy Hinton. Thank you so  
3 much for that as a fellow medical sociologist. I really  
4 appreciated that. And the one thing that it really  
5 brings up for me, and that I think is so baked, also  
6 baked into our system is the expectation that is put on  
7 people that they need to make individual solutions to  
8 things that are environmental problems.

9 And I mean you see I work in public health.  
10 It's like you see that in public health. We are really,  
11 as a society, geared towards how do we help you, family,  
12 you know, person make these changes to improve your  
13 health when so much of it is totally out of an  
14 individual's control? And when you compound that with  
15 the inequities that, you know, are laid out in terms of  
16 complex care, it really is just stunning.

17 I mean, no solutions offered here. I just  
18 really appreciate your presentation, and you know,  
19 encourage us all to really think further upstream when  
20 we're looking for solutions.

21 DR. HOUTROW: Yeah. I think that that hits the  
22 nail on the head. By the way, I love your wallpaper. I  
23 think that this individual solution thing, right, that's  
24 really how healthcare operates, but it should not be how  
25 we think about optimizing health for a population,



1 right. So all of the people that live here, and in a  
2 really kind of rugged individualism attitudes in the  
3 United States that are deeply embedded in how we think  
4 about ourselves as a country and a people, ultimately  
5 don't end up serving communities so well, and pit one  
6 individual's needs against another. Kind of a very  
7 detrimental way.

8           And the thing about health equity is that when  
9 our society collectively achieves more health equity,  
10 that's better for all of the things that we might want  
11 to do as a nation. And so it's not about like oh, I'm  
12 not going to get so much of a piece of a pie, or  
13 whatever service it is. It's about really understanding  
14 that when we all are healthier, right, especially people  
15 who are most at risk for poor health, that we, as a  
16 society, function better.

17           And that it's a value to have health in our  
18 society, not just a value for certain subsets of people.  
19 And I appreciate that there aren't easy solutions, but I  
20 think as a professional, you know, I'm doing the  
21 day-to-day work with my patients to try to help them be  
22 as healthy as possible in my clinic as a doctor, but I'm  
23 also thinking what are the things that we need to be  
24 changing.

25           And your term "upstream" really, you know,

1 feels insurmountable, but things are only insurmountable  
2 if we deem them to be insurmountable, and I think we  
3 have real opportunity collectively as organizations as  
4 people in the field on the ground to kind of work  
5 together for collective action.

6 DR. CALONGE: Christine?

7 DR. DORLEY: Thank you, Dr. Houtrow. And I  
8 must say that I love your glasses being a fellow glasses  
9 wearer, I'm always looking for new, exciting frames.  
10 But anyway, I wanted to ask, I know many newborn  
11 screening programs are incorporating additional testing,  
12 like molecular services to try to improve health equity  
13 in time to diagnosis.

14 And we are in the age now where long-term  
15 follow-up is becoming more and more developing and  
16 creating those types of programs. So I just wondered,  
17 you know, what thoughts do you have for programs that  
18 are developing long-term follow-up that we can promote  
19 health equity in those programs for those infants that  
20 are identified by newborn screening?

21 DR. HOUTROW: You know, I think one of the  
22 things that often happens is we're like oh, well, we  
23 should monitor the social determinants of health, and  
24 collect that information. That's great, totally smart  
25 to do if we are willing to intervene on it, right?

1           So if that changes that we don't just give  
2 everyone the same thing. So collecting the social  
3 determinants of health information can help tell you  
4 where your choices for intervention may need to be  
5 different, may need to be amplified, may need to be  
6 repeated. So you know what? It would be so much easier  
7 for my families that use non-emergency medical  
8 transport, than if that service was actually robustly  
9 funded and got the families what they needed in a way  
10 that meant that they could keep the appointments, and  
11 that they didn't have wage loss, right?

12           There's things like FMLA, for example, that  
13 when you have three part-time jobs that are shift jobs,  
14 you don't get any FMLA. So recognizing the realities of  
15 families' lives will help tailor the kind of  
16 interventions that you need to do to get them what they  
17 need.

18           So maybe what they need is not to come into the  
19 office, but for someone to go to them. Maybe what they  
20 need is co-located services in a way they haven't been  
21 co-located before. Maybe what they need is like once  
22 they have been recognized as income eligible for one  
23 program, they automatically get enrolled in all of the  
24 things for which they would be income eligible for.  
25 Right?

1           There are things that we can be thinking about,  
2 and then in a world where that automatic enrollment  
3 doesn't exist, right? Even in the healthcare space, we  
4 know things like housing impacts health, and obviously  
5 for kids, nutrition impacts health, but how much time do  
6 we spend assuring these families are getting actual  
7 nutrition?

8           I sometimes write prescriptions for food for  
9 kids because I can medically justify it. But I  
10 shouldn't have to do that, right? We should have  
11 structures in place. And when we're thinking about  
12 families that are kind of are marginalized and are  
13 vulnerable to the effects of poverty and discrimination,  
14 those are the places where I think we tailor when we  
15 discover them, and then we work extra hard at trying to  
16 kind of undo the systematic way these things are  
17 operating.

18           DR. CALONGE: Jeff?

19           DR. BROSCO: Equity is one of the four pillars  
20 of the MCHB's strategic plan, and it's one of the four  
21 cornerstones of our blueprint. In fact, Dr. Houtrow was  
22 the first author on our blueprint equity paper. And I  
23 just wanted to remind folks. We talked a little bit  
24 about this at the May meeting, and yesterday Ned  
25 mentioned our Propel and Excel grants, and we also have

1 announcing the Co-Propel ones that will be coming out  
2 soon.

3           What we talk about in that is in the newborn  
4 screening how we think about equity is that we want to,  
5 as Christine just mentioned, start looking at  
6 longer-term outcomes. And even if that's just to age 3,  
7 and whether children are thriving, and families are  
8 doing well.

9           And we're asking that states begin to start  
10 thinking about how to report that data, and whether it's  
11 in EDHI or in other worlds, not just as the across the  
12 board how everyone in the state is doing, but also then  
13 disaggregating the data based on whatever the  
14 historically underserved roots are in that state.

15           So whether it's rural residents, race,  
16 ethnicity, limited English proficiency, a variety of  
17 things. And then the states can say what's our  
18 strategic plan for making sure that all groups are  
19 getting to the highest level? And states aren't asked  
20 to be doing this alone, we also have a whole range of  
21 grants that are blueprint-specific.

22           I'm not going to go through all of them now.  
23 We recognize that the issues that might be for a child  
24 with cystic fibrosis or sickle cell are probably not  
25 that different as they are for a child with spina

1 bifida, or cancer. So trying to work at a state level  
2 to improve systems of care is another key part of this.  
3 So I just want to let you know that we are thinking  
4 about this, working on this, and so grateful to have Dr.  
5 Houtrow present today.

6 DR. CALONGE: Thanks, Jeff. Bob?

7 DR. OSTRANDER: Thank you. Robert Ostrander,  
8 AAFP. I have three brief comments, and two of them have  
9 been covered, so I would like, first of all, though, to  
10 talk about the fact that you pointed out that newborn  
11 screening can improve equity, but I wonder if equity  
12 should be one of the things that we consider when we're  
13 looking at nominations?

14 For example, as you were talking, I did a quick  
15 literature surfing for the article from March of last  
16 year that showed the difference in time to diagnosis  
17 from symptom onset to diagnosis and treatment by race  
18 and ethnicity, and it was significant for Duchenne  
19 muscular dystrophy.

20 So should it be considered Duchenne muscular  
21 dystrophy, adding it to the RUSP, should we consider the  
22 fact that using the word screening would reduce that  
23 equity? So that was one question to the point. The  
24 other comment, and it's been brought up some, but I'd be  
25 interested to hear you, Dr. Houtrow, speak somewhat

1 boldly and specifically about systemic solutions.

2           You know, there are a lot of, you know, DEI  
3 sort of talks at most meetings that I'm part of now, and  
4 they all seem to be focused largely on convincing us  
5 that there's a problem. And I think we all kind of know  
6 that. And I, you know, appreciate the data, and the  
7 talk, but, you know, what are the systemic solutions?

8           And be bold with your thoughts about that, I  
9 guess, would be my second comment. And my last comment  
10 is rural health is a big part of my world, and what I  
11 do, although it says New York, I'm from western New York  
12 in the middle of farm country, and lots of Mennonites  
13 folks.

14           And the rurality issue is a big one. There  
15 always seems to be a footnote to these things, or not  
16 brought up at all. You know, I don't see the data, and  
17 when I bring it up at meetings, honestly I sometimes get  
18 pushback because, at least from our area, and rurality  
19 of this, they're white people, and any time you start to  
20 bring up the possibility that there may be this sort of  
21 thing going on with white people, sometimes you can get  
22 pushback, and you know, feel like we're culturally  
23 appropriating or something.

24           So I would love to see more data on the  
25 rurality issue, and also, you know, just people to be

1 aware that, you know, pushback on that is not  
2 necessarily appropriate.

3 DR. HOUTROW: Yeah, I think that's a super  
4 important point. And we often see maps that divide  
5 things by states, right? But that doesn't still get at  
6 the reality thing that we see. And I think there are  
7 definitely ways to look at data from, you know, how far  
8 away you live, geocoded with more finesse than, you  
9 know, what data the data that I showed today. And I  
10 think is really important to acknowledge how much of a  
11 barrier distance to care can really mean.

12 And your point about delayed diagnosis by  
13 marginalized racial groups, man, it's really profound.  
14 It comes up in kind of every kind of diagnosis that  
15 there is. I mean, you know, things like autism delayed  
16 diagnosis by years, and that delay in early childhood  
17 could really be detrimental for improving outcomes for  
18 those kids, and the stress that that creates for  
19 families.

20 And you're so right, as we often talk about  
21 like here's a problem, here's a problem, can I show you  
22 the problem, but don't talk so much about solutions. So  
23 you said people who are not part of health equity  
24 blueprint that Jeff was just talking about. And we made  
25 points in that about, you know, we could reduce child



1 poverty by 50 percent, sustain a reduction in child  
2 poverty, and have that be financially beneficial.

3           So the NAM, National Academy of Medicine, which  
4 was the Institute of Medicine before a few years ago,  
5 came out with a report about reducing child poverty.  
6 And, you know, the data is robust that we can reduce  
7 child poverty in half in a decade, and end up with more  
8 money than if we didn't do that.

9           So that, so child tax credits, for example,  
10 which were a part of what happened during the pandemic,  
11 food assistance services, and a whole host of things.  
12 And what that report actually did was come up with like  
13 if you do this, plus this, plus this you'll get this.  
14 If you do this plus this plus that, you'll get this  
15 other thing.

16           So it's really great, and I can put the link to  
17 it in the chat in a minute, great report. And then the  
18 other thing is that we really, you know, we have not, as  
19 a nation, signed on to a bunch of things that United  
20 Nations set forth. So, for the Rights of the Child, for  
21 example, we have not signed onto that.

22           We have also not signed on to the Rights for  
23 People with Disabilities. And so those are kind of  
24 things that on kind of a political front, we're not  
25 engaging with, and I think there really needs to be a

1 push to go back and really label these problems. And  
2 you know, the politics on this, the partisan politics on  
3 this are super challenging.

4 And so I think some about reframing in a way  
5 like keeping kids out of poverty isn't about free  
6 handouts. It's about taking money for our country,  
7 right? So some of that requires some very serious  
8 reframing.

9 But I think that being bold, something like,  
10 and Jim Perrin, a well-known pediatrician out of Boston,  
11 former American Academy of Pediatrics President,  
12 recently wrote, like, why have we not federalized  
13 Medicaid? Why don't we make sure all kids get EPSDT  
14 services in a way that doesn't -- isn't chock full of  
15 prior authorizations and denials and whatnot because it  
16 will be cheaper to operate it on a federal level than it  
17 is to do it the way we do it now, which is like you see  
18 one Medicaid program, you see one Medicaid program,  
19 right?

20 And that's not good for the people that it  
21 serves, and it's very, from an administrative  
22 perspective, adds complications that we don't need. I  
23 often think about, like, what is the thing we give? Oh,  
24 let's put in another care coordinator, or another  
25 navigator. Why don't we just make the thing simpler?

1 Like, not make it more complicated, make it simpler.

2           And so, I think there's a lot to be done, but  
3 we have to get rid of whatever perverse incentives drive  
4 things to be the way they are. And I wish I had a magic  
5 wand to do that, but I do think we need to be bold, you  
6 know, acknowledging the depth of the problems, and where  
7 the solutions lie at fundamental levels of how things  
8 are organized.

9           DR. CALONGE: It's interesting that the  
10 long-lasting legacy of the Grand Compromise still shows  
11 up today, especially in terms of how we provide  
12 healthcare for our children. Natasha?

13           MS. BONHOMME: Great. Thank you, Natasha  
14 Bonhomme, Genetic Alliance. Again, as everyone has  
15 said, a wonderful presentation. I have three things I  
16 want to comment on or note. I really appreciate that  
17 you spoke about family partnership, and how important it  
18 is to hear from families, and to really understand where  
19 the touch points that would make an impact in their  
20 lives, and really getting into care.

21           We, through our national genetics education and  
22 family support center, recently brought together family  
23 leaders from across the country, who are very active.  
24 And when we surveyed them, they -- only 22 percent said  
25 that there were enough opportunities to serve as family

1 leaders in the genetics healthcare delivery system.

2           So I think that shows that even our most  
3 engaged groups have struggles to really figure out I  
4 have this passion, I have this energy, I have this  
5 opportunity; where do I put those efforts? So I think  
6 that just kind of makes it like what you were saying  
7 around partnerships.

8           I also wanted to note that though we talked a  
9 good bit about rural, me being here in D.C. in an urban  
10 environment know that there are people who it takes over  
11 two hours to get to maternity care that's four miles  
12 away because of how transportation is.

13           There are a lot of efforts going in, but I  
14 think it's important to remember that yes, there are  
15 definitely struggles in rural areas, as there are in our  
16 urban settings and environments. And then lastly, and  
17 maybe this is something you could comment on if you  
18 would like, you know, we often use the word universal to  
19 talk about newborn screening. And yet it isn't,  
20 completely, when we think about how every state has a  
21 different panel, and how and what conditions are  
22 screened for are different.

23           And it was, I don't know if funny is the right  
24 word, but when you were talking about Medicaid, I was  
25 like, oh, that's kind of how we talk about newborn

1 screening. If you see one newborn screening program,  
2 you see one newborn screening program. And you know,  
3 the work that Amy Gaviglio and Erin Goldenberg and Beth  
4 Tarini have done, and I think have presented to this  
5 Committee, have talked about kind of the differences  
6 that we see.

7 And we don't have to wait to see a child at  
8 three years old. Like the differences are from the  
9 beginning, or even before the beginning, and how there  
10 are differences in state newborn screening programs, and  
11 then differences in the type of data we can get, and  
12 then it just kind of layers on from there.

13 So I just wanted to kind of bring that piece  
14 too, if we're really talking about equity and newborn  
15 screening, it's not just starting at the point where the  
16 family comes in. It's really from even before then, so.  
17 But again, thank you so much for your work in this  
18 presentation, and I hope we can get updates and  
19 refreshers on it because it's really critical.

20 DR. HOUTROW: Well, thank you so much. And I  
21 love your point about, you know, you've seen one newborn  
22 screening program, you've seen one. And you know, there  
23 are decisions that were made long, probably before many  
24 of us came to sit at these tables about which ones were  
25 included, and then how do we act after one is positive?

1 And those differ by states also.

2           And those are kind of where our priorities are,  
3 are deeply entrenched in our kind of cultural value and  
4 lens, right, that drive decisions about what we test  
5 for. Oh, this one is costly, but you know, very  
6 amenable to early intervention. Okay. So, yes, we want  
7 to, what are the things that we use in our decision  
8 making about what goes in and what falls out are all  
9 really culturally and politically mediated.

10           And I think we all have to recognize that those  
11 things like, well, you know, we did this evidence-based  
12 thing, but that evidence-based thing is built on, you  
13 know, lifetimes of inequities being baked in to how we  
14 think and operate, and who has the power to decide  
15 things, right?

16           So when you look around rooms of places where  
17 decision makers are, they often don't share my gender,  
18 and they also certainly don't share my disability  
19 status, and you know, I have incredible privilege  
20 because of the color of my skin, and my nativity that I  
21 have English as my first language, and I come from a  
22 family of people who were educated.

23           But we often do not locate our privilege when  
24 we assess evidence and data, and where that evidence and  
25 data came from. And so I really love the kind of what

1 work needs to be done to do some equity alignment, even  
2 about what's included in newborn screening.

3           And then making sure that people who come from  
4 typically unheard and oppressed groups are engaged in  
5 the evaluation of that data, and that we are taking a  
6 critical eye of what bias was already baked in, right,  
7 and what structures of how things were done before leads  
8 us to conclude certain things.

9           And it's hard, incredibly hard work, but I  
10 think it's necessary work for us to get to the place  
11 where we have our, you know, full equity lenses on. And  
12 I really appreciate that point because it talks about  
13 universal and not being universal at all. And how when  
14 you call it that it isn't really that.

15           And I think naming things, calling it out, I'm  
16 so glad you did that because we have to do that. In a  
17 term like universal sounds universal, even when it's  
18 not, so I thank you so much for that.

19           DR. CALONGE: Susan Berry?

20           DR. BERRY: Thank you so much for that  
21 presentation, which I found to be really enlightening  
22 about the honesty we have to have as we approach many of  
23 our areas.

24           The comment I wanted to make was I was thinking  
25 about our discussion yesterday which was about Duchenne

1 muscular dystrophy. And we were talking about the  
2 definition of Duchenne and saying, and we should have a  
3 genetic definition. It should have a genetic test that  
4 matches with it. And I thought to myself, well, who's  
5 going to pay for the test? How's that test going to be  
6 done? It's expensive. How do we make sure that's truly  
7 available to every child, so that we have an equitable  
8 and appropriate definition as well?

9 I mean, just from the core beginning of what is  
10 the disorder. And that's also true as we think about  
11 adding disorders and say we want to add this disorder  
12 because there's a treatment. It costs \$1 million. How  
13 do we make sure that we think about that impact, and  
14 make it as fair and as achievable as possible for all  
15 the babies?

16 And so, you talked about it being baked in. It  
17 kind of is baked in. Our science is way ahead of our  
18 ability to provide equitable care, and we don't consider  
19 it as actively as we probably deserve to, as our  
20 patients deserve when we are considering those options  
21 and care. So that's my comment. It's not really a  
22 question. It's really an editorial.

23 DR. HOUTROW: No. I really appreciate it  
24 because you know, I take care of kids with muscular  
25 dystrophies in my practice. And you know, kind of the



1 exciting things are these really new medications that  
2 are available that are just so lifechanging. But you  
3 know, one of the things that, as someone who has studied  
4 in public health, and like, you know, it doesn't cost  
5 that much money to make sure people have some of the  
6 basic things that they need.

7           And in the long-term consequences of poorly  
8 managed, under-diagnosed, under-treated conditions that  
9 relate, the reason those things happen is how we have  
10 biases baked into our systems, and how we can't get  
11 families just the basic things they need to thrive in  
12 their communities, also makes me think about like, you  
13 know, which of the big talks about where and how we use  
14 our resources.

15           Your other point is like, well, we need to have  
16 actual diagnosis. When I started training, we were  
17 doing EMGs on kids with static conditions to diagnose  
18 them. And now, thank goodness, we're not doing that.  
19 But we also have a serious problem in which, you know,  
20 things like delayed speech is thought of very  
21 differently from a white, upper middle class family's  
22 child than if it occurs in a black family's child who  
23 are more likely to be poor.

24           And that we're just like oh, you know, they'll  
25 catch up. It's a completely different discussion, and

1 our kind of fundamental biases are baked in about those  
2 kind of things play out in very negative ways. And so,  
3 you know, I really love the kind of thinking that we're  
4 doing here, and this group keeps -- I mean, this is one  
5 of my favorite things, is to have these kind of  
6 discussions and hear all of your guys' wisdom.

7 And you know, our ability to, like, hone in on  
8 one specific thing, and then spread out the lens to see  
9 all of the bigger things. And our responsibilities to  
10 the people that we serve to do that, to go deep on let's  
11 say muscular dystrophy, like you just did. And you  
12 know, pull out to see the bigger milieu.

13 I think is the strategy that we need to use  
14 organizationally when we're having these kinds of  
15 discussions. Even when it is a targeted discussion, we  
16 need to then blow out our lens and think much bigger.

17 And so I really love the opportunity that you  
18 just --for me to be able to say that in response to  
19 something that's so near and dear to my heart in the  
20 place of muscular dystrophy, and helping those families  
21 go through a situation that that diagnosis can be so  
22 incredibly devastating, but have you know, kids who have  
23 such full and rich lives, and that we help be as capable  
24 as possible.

25 So thank you. I really appreciate that.

1 DR. CALONGE: Michele?

2 DR. CAGGANA: Hi, everyone. Thanks for that  
3 talk. It really brought home a lot of the things that  
4 we think about from the newborn screening perspective.  
5 And as part of newborn screening, the short-term  
6 follow-up piece, you know, is sort of when that  
7 diagnosis is made and is that baby in care. And as  
8 Chris mentioned, historically that's where it cuts off,  
9 and then we go in to long-term follow-up.

10 So I'm wondering if, as a group, newborn  
11 screening programs could think about how to build an  
12 equity piece into that and really track those factors  
13 down. APHL has some data from their NewSTEPS system  
14 that talks, that looks at kind of diagnosis broken out,  
15 and there was a presentation to this Committee, I don't  
16 know when it was, showing that there are delays in  
17 diagnoses even though programs get those results out  
18 right away.

19 And I think it's really hard from our  
20 perspective to kind of look out and see or make changes  
21 to the way we do things in order to be able to improve  
22 that. But I think one of the most powerful things that  
23 you mentioned to me at least, was this sort of the value  
24 of -- the value added, right?

25 So, like you mentioned, you have a family, they

1 missed their appointment, they missed work. They can't  
2 buy something. They can't pay a bill, there's all this  
3 trickle down. And I'm wondering if there's any way that  
4 I think the greater public can appreciate that more. Is  
5 there any way that we would be able to, you know, make  
6 that more granular, I guess, and sort of show that we  
7 all suffer when people don't show up, or you know, when  
8 parents have trouble getting to work, or getting their  
9 child to their appointment.

10           And I think really thinking about collecting  
11 better data from a long-term follow-up perspective can  
12 really help us with framing this to departments and get  
13 people thinking more about this, rather than just  
14 throwing money at things, and not really, like you said,  
15 stick it where it needs to be.

16           DR. HOUTROW: Yeah. Ultimately, that's a  
17 money-saving intervention.

18           DR. CAGGANA: Right.

19           DR. HOUTROW: Is to not just throw money at the  
20 problem and see, you know, what comes out of it. And I  
21 think, you know, I think there's like this linkage to  
22 what happens long term. Obviously, the data is very  
23 important. And then, how do we create the right bridge?  
24 And not everyone is going to name, you know, the  
25 bridging from, you know, the diagnosis and delivering of

1 that information to the long-term in the same way.

2           And so how do we tailor that in a way that  
3 meets people's needs, and is not burdensome for people?  
4 And, you know, I think also the messaging part is really  
5 essential as we think about the granular aspects, as an  
6 example that I've given, and you brought up about  
7 attendance policies. Well, there's a whole bunch of  
8 incentives as to why attendance policies exist that way,  
9 and they have really negative consequences for families.  
10 And they also have negative consequences for, you know,  
11 the system itself, and that it needs revision.

12           But the other thing is that people really do  
13 because all of us have experienced barriers to something  
14 like that are you know, a car tire. You got a flat tire  
15 when we were driving somewhere, and we missed some sort  
16 of like thing that we were supposed to go to, or we're  
17 late for our granddaughter's wedding, you know,  
18 whatever.

19           And so, you know, when we contextualize these  
20 things as more human experiences, versus like, oh, well,  
21 I see that as a problem of this group, right? Because  
22 these are real. We all have universal -- huh, the word  
23 universal again, experiences where things don't go the  
24 way that we always expect them to despite our best  
25 intentions.

1           And I think also kind of believing in our best  
2 intentions even in the face of an inequitable and  
3 structured system that doesn't allow those best  
4 intentions to be lived, I think is really important as  
5 for those of us in the space, holding onto the idea that  
6 it's not that, you know, so-and-so's parents are trying  
7 to undermine my clinic by not calling me to tell me  
8 they're not coming.

9           There was no mal intent by them. They're not  
10 thinking how could I ruin Dr. Houtrow's day today. I  
11 think we also really have to hold on to, as people in  
12 positions of authority, and people in the service space,  
13 is to really hold on to the kindness that comes with  
14 understanding people's best intentions, and how hard it  
15 is to achieve them.

16           DR. CAGGANA. Thanks.

17           DR. CALONGE: So, Karin, we're on time, so I'm  
18 going to give you the last brief question or comment.  
19 You're on mute.

20           DR. HOUTROW: You're on mute.

21           MS. DOWNS: Thanks for that. I lowered my  
22 hand, but I didn't unmute. I really appreciated your  
23 presentation, and many of the questions I was going to  
24 ask were responded to in your response to other people.  
25 There are two things that I wanted to add to reflect on,

1 and I think we're doing a good job of doing this.

2           One of them is really around developing data  
3 systems that look at the system's issues more than, you  
4 know, all the data you showed from the National Survey  
5 of Children's Health, really is individuals' experience,  
6 but not really, you know, what policies are in place  
7 that contribute to structural racism for example? What  
8 contributes to systemic racism, and what are those  
9 measures?

10           And I think we're starting to work on that, and  
11 so you know, I would really -- again, thinking boldly,  
12 until we start being more refined at looking at the  
13 measuring structural differences and systemic  
14 differences, we're going to continue to go back to  
15 falling on, well, this person needs to eat better, or  
16 that person needs, you know, transportation for this  
17 family.

18           And, again, that's like pulling children out of  
19 the river downstream. So that is one thing, and I think  
20 I really appreciate how everyone is thinking creatively  
21 about systemic and structural measures that are not  
22 really based on individual measures, that's one point.

23           The other point is that, you know, we've sort  
24 of danced around this and alluded to it, but I also  
25 think that we need to do a much better job of measuring

1 and looking at implicit bias, and having everyone within  
2 the health care system, you know, recognize that we do  
3 bring implicit bias, and that may blind us to our  
4 privilege, whether it's educational privilege, racial  
5 privilege, whatever privilege.

6 And so, I think, you know, I'm saying two  
7 things at the same time. We need to do a lot of  
8 internal work, all of us, and that we need to look at  
9 the system's piece sort of simultaneously, so...

10 DR. HOUTROW: I love that. You know, the  
11 causative nature of poverty on outcomes related to  
12 health and disability is, like, well-established cost  
13 and relationship. And yet we don't really tackle it.  
14 And I show the associations with our data, there are a  
15 number of, you know, people in power around the country  
16 who do not believe there's any systemically embedded  
17 injustice in anything.

18 And so I love the point of we need the data  
19 that demonstrates where the locus of the problem is,  
20 instead of focusing on the downstream differences, and  
21 then trying to intervene there. And then also, clearly  
22 very important is our own recognition that the world  
23 that we view.

24 In another talk I show -- do you remember a few  
25 years ago when the internet was having a problem.



1 DR. CALONGE: Dr. Houtrow --

2 DR. HOUTROW: Oh, you need me to wrap up.

3 DR. CALONGE: I'm sorry to interrupt because we  
4 have public comments next, and you can continue to talk  
5 for a long time on this topic. I want to just pause and  
6 tell you I know I speak not just for the Committee  
7 members and the organizational reps, but everyone on the  
8 call in thanking you for sharing your expertise, your  
9 considerable amount of time and guidance today. It's  
10 been great, you've given us a lot to talk about, a lot  
11 to think about, and hopefully a lot of things to act on.  
12 So thanks for your time today.

13 DR. HOUTROW: Thank you for inviting me and  
14 allowing me to be as long-winded as I was. I really  
15 appreciate it, and it was great to learn from all these  
16 people in this group who are very dedicated and wise,  
17 and I appreciate it.

18 **Public Comment**

19 DR. CALONGE: Thanks so much. I want to move  
20 on now. I have eight people signed up for oral public  
21 comments, and we will bring you on, ask you to unmute as  
22 we move forward. And we're going to start with Kasey  
23 Feldt.

24 MS. FELDT: Hello. And thank you to the  
25 Committee for allowing me to speak on my experience with

1 Krabbe disease. My name is Kasey Feldt, and I am a mom  
2 of a beautiful and strong Krabbe angel, Dawson Luke  
3 Feldt. Dawson was born on July 16, 2019, and he was  
4 absolutely perfect.

5 As the months went on my husband and I noticed  
6 Dawson never gained head control. He often got sick,  
7 would throw up his food, lost his laugh and smile, and  
8 eventually started having muscle spasms. After months  
9 of testing, on February 24, 2020, we heard the words  
10 early infantile Krabbe disease for the first time.

11 Hearing that he would not live past two years  
12 old brought me to my knees, and made me scream in pain,  
13 devastation and grief. My son passed away eight months  
14 later at the age of 15 months old.

15 My husband and I recently attended the Hunter's  
16 Hope Symposium, and what we saw amazed us. We saw  
17 children with Krabbe who were transplanted before  
18 symptoms, living and happy. A 23-year-old who was  
19 transplanted at three years old was performing  
20 professional magic tricks that stunned the audience. An  
21 8-year-old who received a transplant for Krabbe's  
22 disease just after birth recited the Lord's Prayer. And  
23 a 19-year-old who received a transplant as an infant  
24 sang One Hell of an Amen by Brantley Gilbert.

25 I can only wonder if my son Dawson could have

1 done these amazing things because Virginia never gave  
2 him a chance. Newborn screening is crucial for families  
3 to have that time with their kids.

4 I only got 15 months with Dawson, what I  
5 wouldn't give to hear him say mama, dada, or I love you.  
6 You have the chance today to do something amazing, to  
7 give families time. You do not know how precious time  
8 is until you are given an hourglass, and you are just  
9 waiting for the sand to run out. But with newborn  
10 screening and transplant, these kids can live. Thank  
11 you.

12 DR. CALONGE: Thanks so much. I'd like to move  
13 on to Phil May.

14 MR. MAY: Good morning. Thank you for giving  
15 me the opportunity to speak with you today. My name is  
16 Phil May. I live in Tennessee. This is my son Dylan,  
17 who was born in 2004 and died just before his fifth  
18 birthday in 2009. Dylan was a beautiful, happy, sweet  
19 little baby that was of course loved unconditionally by  
20 me and my wife and our two older sons.

21 After starting to lose some motor skills, Dylan  
22 was eventually diagnosed with Krabbe disease at eight  
23 months of age. For Dylan that diagnosis came too late  
24 for any treatment. The doctors told us to take him home  
25 and make him comfortable until he died, and we should

1 expect that to be between the ages of 13 months and two  
2 years old.

3           We were blessed that Dylan lived to be almost  
4 five. During the more than four-year period after his  
5 diagnosis, the disease progression was really unbearable  
6 to watch. We lost pieces of Dylan slowly and painfully.  
7 He lost the ability to move his arms and legs. He lost  
8 the ability to eat solid foods. He needed regular Botox  
9 injections in his legs to allow us to bend his legs  
10 enough to change his clothes.

11           He regularly vomited his food after we spent  
12 hours each day trying to feed him. He was never able to  
13 speak. He had extreme recurring seizures. He was often  
14 obviously in pain, crying out for long periods of time,  
15 and we had no idea how to comfort him. He lost his  
16 ability to smile, and most painfully for us, Dylan lost  
17 his eyesight.

18           As painful as it was to watch the disease  
19 slowly take our son's life, our other sons, Jackson and  
20 Connor, and our daughter Sophie, who we adopted when  
21 Dylan was 3, all experienced this same loss. Each of  
22 them have complicated mental health issues related to  
23 the years of trauma from losing their brother in such a  
24 horrific manner.

25           Had Dylan been screened for Krabbe at birth I

1 have no doubt he would be living today as a 19-year-old.  
2 I often, even 14 years after his death, envision what  
3 his life could have been had he been screened at birth  
4 and treated. We never saw Dylan on his first date or  
5 graduate from high school. Nor will we see him graduate  
6 from college, get married or have children of his own.

7 I'm not basing my confidence that he would be  
8 living today on what I've read or heard from others.  
9 I've seen with my own eyes many children of Krabbe who  
10 were screened at birth and treated. I've held him them  
11 in my arms. I've seen them playing with other children.  
12 I've seen them laugh, attend school and thrive. Without  
13 screening for Krabbe at birth all of these children  
14 would have died a slow and painful death.

15 And because so few states screen for Krabbe at  
16 birth, I know many more children who are in this  
17 excruciating process of dying at this very moment. I  
18 implore you to do all you can to ensure Krabbe is  
19 included in the RUSP. No parents and no children should  
20 ever have to endure the excruciating loss that we, our  
21 other children and so many other families have faced.

22 You have the authority to save the lives of  
23 children with Krabbe, and by doing so you will also be  
24 saving parents and siblings from experiencing  
25 life-altering trauma, and the lifelong effects of such a

1 devastating loss. Thank you.

2 DR. CALONGE: Thank you. Next I have Dietrich  
3 Matern.

4 DR. MATERN: Thank you, Dr. Calonge, for the  
5 opportunity to return to the commenting and speak again  
6 in support of the nomination of Krabbe disease to the  
7 Recommended Uniform Screening Panel. As you know, I  
8 have been very disappointed and frustrated at the  
9 outcome of last February's meeting.

10 From the perspectives of the nominators,  
11 including myself, which was outlined in the various  
12 responses the Secretary and you received since February,  
13 all available on the Hunter's Hope of websites. It has  
14 been a surprise how negatively newborn screening for  
15 Krabbe was described.

16 Yes, the history of Krabbe newborn screening  
17 did not go as planned, but the Committee did not  
18 consider the data in the context of the nominated  
19 screening strategy, which uses a biomarker, psychosine,  
20 as a deciding factor whether a screening result is  
21 positive or negative. Psychosine measurement was not  
22 clinically available until 2015, nine years after  
23 screening started, and was first employed as a  
24 second-tier test for Krabbe screening in Kentucky where  
25 it virtually prevented false positive results.

1           Since then, 9 of the current 11 states  
2 screening for Krabbe disease use psychosine. However,  
3 most states still struggle to abandon genotyping out of  
4 fear of missing a case. I believe that the public  
5 health program needs to balance the benefit of a few  
6 that need early treatment, and those who are unaffected,  
7 but could be negatively impacted by a false positive  
8 result.

9           I think we agree on this point, so how do we  
10 improve this? If you consider the history of the  
11 ACHDNC, please remember the impact your previous  
12 recommendation have made, for example, for better  
13 timeliness, screening for Tyrosinemia Type I, and of  
14 course SMA.

15           Without the Committee recommending newborn  
16 screening for SMA defined as homozygosity for specific  
17 deletion, providers would be inundated with helping  
18 stressed families figure out if their newborn is  
19 affected with this deadly disorder, or just a healthy  
20 carrier. Just as in those instances, you have the  
21 power to improve newborn screening for Krabbe disease by  
22 adding Krabbe disease to the RUSP defined as reduced  
23 health activity and elevated psychosine.

24           32 percent of U.S. newborns are already being  
25 screened for Krabbe disease, but at least one state,

1 Minnesota, likely adding it within a year. Adding  
2 Krabbe disease to the RUSP as recommended would make  
3 screening for Krabbe disease equitable across the  
4 country, essentially eliminate false positive results,  
5 and give affected babies a chance at life.

6 All this at a minimal cost because most states  
7 screening for other RUSP conditions already measure GALC  
8 activity because a testing kit includes the necessary  
9 agents. In summary, the nominated screening procedure  
10 for Krabbe disease is efficient and effective, and  
11 states already screening for Pompe disease or MPS can  
12 easily add Krabbe disease to their panels.

13 Relevant follow-up and monetary guidelines have  
14 been published in peer-reviewed articles, and the NCC  
15 already developed and published ACT Sheets full of  
16 algorithms and a knowledge nugget. Experts in the field  
17 working with patient advocacy groups stand ready at all  
18 times in support also of equity after newborn screening  
19 by facilitating timely and appropriately, through the  
20 screening of newborns to ensure families receive the  
21 most up-to-date information to make the best decisions  
22 for their baby and their families.

23 Therefore, I implore you once again to use your  
24 power to finally give all future U.S. children with  
25 special needs due to Krabbe disease a chance, no longer



1 condemning them to the suffering caused by this horrific  
2 disease. Thank you again for giving me the opportunity  
3 to speak to you, and I'm happy to answer any questions  
4 you may have.

5 DR. CALONGE: Thank you. I'd like to turn now  
6 to Joanne Kurtzburg.

7 DR. KURTZBURG: Thank you, and hi, everyone.  
8 My name is Joanne Kurtzburg, and I'm a transplant  
9 physician at Duke Health. I've testified in the last  
10 two meetings, and I'm back today to share some new  
11 information about the nomination of Krabbe disease for  
12 addition to the RUSP.

13 As you know, Krabbe disease was nominated in  
14 2009, and again in 2021. Unfortunately, it was not  
15 approved to be added to the RUSP either time. Several  
16 criticisms were discussed, and we have attempted to  
17 resolve each one of them in a new and modified  
18 nomination, which will be discussed later today.

19 First, ability to diagnose Krabbe disease to  
20 newborn screening was challenged, and as you've heard  
21 multiple times from Dr. Matern and in the evidence  
22 review, the addition of psychosine as a second-tier test  
23 for babies screening positive for low GALC now enables  
24 definitive diagnosis of babies with the infantile,  
25 formerly called early infantile, form of Krabbe disease.

1 Treatment of these babies with hematopoietic  
2 stem cell transplantation in their first month of life  
3 was lifesaving, and significantly improves the quality  
4 of life of these babies, children and their families,  
5 which is a major justification and motivation for adding  
6 Krabbe disease to the RUSP.

7 Another identified challenge was specificity of  
8 diagnosis of Krabbe disease through newborn screening.  
9 When we nominated Krabbe disease for addition to the  
10 RUSP in 2021, we included the diagnoses of babies with  
11 the infantile, formerly called early infantile, form of  
12 the disease, meaning disease presenting before 12 months  
13 of age, and delayed infantile, meaning disease  
14 presenting from 1 to 3 years of age as the nominated  
15 forms of disease.

16 Diagnosis of babies with the infantile disease  
17 was based on a positive screen and a psychosine greater  
18 than or equal to 10 nanomolar, while diagnosis of late  
19 infantile disease was based on a positive screen and a  
20 psychosine between 2 and less than 10 nanomolar.

21 The latter category was divided into high-risk  
22 and low-risk groups based on additional testing with  
23 mutational analysis. We now recognize that the  
24 inclusion of the group of babies with intermediate  
25 psychosine created confusion and a lack of clarity

1 around the diagnosis of Krabbe disease. It also had the  
2 potential to cause distress for families where  
3 definitive diagnosis of Krabbe disease could not be  
4 confirmed.

5 We also recognized that medical management of  
6 this intermediate group is not firmly established,  
7 creating more uncertainty, both for newborn screening  
8 labs, and among healthcare providers. To remedy this  
9 situation, and also to enable diagnosis of babies with  
10 the most severe and rapid form of Krabbe  
11 disease -- sorry -- rapidly progressing form of Krabbe  
12 disease where early diagnosis is paramount to enable  
13 early treatment.

14 We've now amended the Krabbe disease nomination  
15 for addition to the RUSP to be restricted to infantile  
16 Krabbe's disease. Infantile Krabbe disease can be  
17 diagnosed through a GALC screening, followed by  
18 second-tier testing with succus. Babies with a positive  
19 screen and a psychosine greater than or equal to 10  
20 always have infantile Krabbe disease.

21 The parents of these babies should be notified,  
22 rapidly counseled, and given the opportunity for urgent  
23 referral to a transplant center for workup for future  
24 transplant, or gene therapy. Additional questions were  
25 raised about whether babies with infantile Krabbe

1 disease referred for transplant during their first month  
2 of life really have infantile Krabbe disease.

3 I can assure you that all of the babies with  
4 high psychosine have active signs of active disease in  
5 their first few weeks of life. Active disease includes  
6 white matter changes on MRI, abnormal nerve conduction  
7 studies and elevated protein and psychosine in the  
8 spinal fluid, accompanied in some babies by changes in  
9 EEG and auditory and vocal responses.

10 This information was published in 2005 and  
11 again in 2022 in two serious reporting outcomes of  
12 transplant in babies with infantile Krabbe disease. As  
13 you've heard many times, babies with infantile Krabbe  
14 disease rarely gain milestones past smiling. They never  
15 develop head control or sit, crawl, stand, speak or  
16 walk.

17 Within a few months of age, they have feeding  
18 problems, extreme irritability, seizures, blindness and  
19 spasticity. Babies who are transplanted in the first  
20 months or so of life never develop irritability, are  
21 cognitively normal, and gain developmental milestones,  
22 albeit at a slower rate than typically developing  
23 children.

24 I personally have treated and followed over 30  
25 of these babies, some of whom are now teenagers and

1 living into their 20's. While I do not deny that some  
2 of these children have motor disabilities affecting  
3 their ability to walk, these children are happy, social,  
4 attending school. They have friends, they use  
5 computers. They can see, hear, read, communicate, and  
6 have a much better quality of life than untreated babies  
7 who suffer extensively, only to die in a median of two  
8 years of age.

9           As you will hear today, we submitted the  
10 revised nomination of Krabbe disease for reconsideration  
11 on the expedited pathway for addition to the RUSP. The  
12 revised nomination restricts the diagnosis of Krabbe  
13 disease to newborn screening to the infantile form.  
14 Diagnosis of this form of disease can be accomplished  
15 rapidly with the GALC screen, and second-tier psychosine  
16 testing on screen positive babies.

17           If the psychosine is greater or equal to 10,  
18 the baby definitely has infantile Krabbe disease.  
19 Parents can be informed and given the option to be  
20 referred for further evaluation and treatment. This  
21 strategy will greatly reduce the suffering of these  
22 babies and their families who will otherwise experience  
23 it as you have heard from many of the speakers today.

24           Additional benefits include elimination of  
25 confusing results and unclear pathways for a follow-up

1 for risk of later onset of Krabbe disease, eliminates  
2 diagnostic odysseys for parents of affected babies, and  
3 provides access to genetic counseling.

4 In summary, I strongly encourage the Committee  
5 to vote today to allow reconsideration of the revised  
6 nomination for infantile Krabbe disease on the expedited  
7 pathway agreed to during this meeting. I also sincerely  
8 hope this reconsideration will result in a positive vote  
9 to add infantile Krabbe disease to the RUSP in the near  
10 future. Thank you for your time today.

11 DR. CALONGE: Thank you. Now I would like to  
12 call on Elisa Seeger.

13 MS. SEEGER: Dear Chairman Calonge, and members  
14 of the Advisory Committee for Heritable Disorders in  
15 Newborns and Children. Thank you for the opportunity to  
16 provide public comment. My name is Elisa Seeger, and I  
17 am the founder of the ALD Alliance. Newborn screening  
18 advocacy and education are at the core of our mission,  
19 along with providing support to families affected by  
20 ALD, a disease that is not equally screened for in our  
21 country due to the disparities that exist in our country  
22 today.

23 I would like to draw attention to the advocacy  
24 work that our coalition has been doing to end death by  
25 zip code. As many of you here know, the state where a

1 baby is born determines which conditions they are  
2 screened for, leading to inequalities across the  
3 country. To end death by zip code, the country must  
4 prioritize complete RUSP implementation in all 50  
5 states.

6 We have consistently heard from state labs and  
7 the countless research done that funding is one of the  
8 major barriers to efficiently implementing newborn  
9 screening conditions. The CDC, under their newborn  
10 screening quality assurance program, and HRSA, both  
11 offered funding opportunities last year through grants  
12 intended to help states to build capacity to support  
13 implementation of the RUSP conditions.

14 Today, as this community looks to the future  
15 with Duchenne and Krabbe in mind, I implore this  
16 Committee to allot more of its time and resources to  
17 finding ways to fund our country's newborn screening  
18 labs. As a foundation that has lobbied tirelessly for  
19 the CDC's enhancing disease detection in newborns,  
20 building capacity in public health laboratories grant, I  
21 applaud HRSA for the recent newborn screening Propel  
22 grant opportunity.

23 However, there is a need to address this to  
24 take into account the birth rate and individual states  
25 to correlate with the funding that is available. One

1 state looking to add MPS II, a condition recently added  
2 to the RUSP, estimated a cost of \$750,000 to complete  
3 implementation.

4           This state received approximately \$345,000,  
5 about the same amount that all states were awarded.  
6 \$120,000 of the 345 must be used for the state's  
7 follow-up program. As per the grant, it cannot be used  
8 for implementation, leaving the state left with  
9 \$225,000, which will only cover 30 percent of their  
10 costs to add the new RUSP approved condition.

11           We are asking our state labs to do the  
12 impossible. What purpose does the RUSP serve if states  
13 are not properly funded to add the recommended  
14 conditions? Many are so short-staffed that they cannot  
15 even designate an employee to apply for the HRSA and CDC  
16 grants.

17           For this reason, I am presenting three asks  
18 today. Transparency. The CDC has a website dedicated to  
19 their grant program that shows the history of the grant,  
20 awarded states, amount awarded, and what the funding  
21 will be used for by the state. This allows  
22 organizations like ours to help target our advocacy and  
23 work with state follow-up programs to provide education  
24 to their newborn screening follow-up programs. We ask  
25 that the same be done for the HRSA Propel grant.



1           Number two, smarter funding. It is apparent  
2 that this new grant opportunity was put together with  
3 very little involvement from our country's newborn  
4 screening labs. Please include them in the process.  
5 \$345,000 may be appropriated for some states, but the  
6 needs of our labs vary greatly across the country, as  
7 does the cost of implementing a new condition.

8           Accessibility. As mentioned previously, many  
9 states do not have the resources to devote an employee  
10 to grant writing. It may be a skillset that is missing  
11 altogether. A one-hour virtual meeting is not enough to  
12 prepare a lab to write a grant with personnel that has  
13 no experience writing grants. Grant writing workshops  
14 should not only be provided, but incentivized.

15           It is already a difficult notion that labs  
16 should have to apply for funding to do their jobs. Let's  
17 make it as easy as possible for them to do so. We will  
18 continue to push for more federal funding for states and  
19 their newborn screening programs, and hope that state  
20 lab engagement and newborn screening process continues,  
21 as their voice and hard work is vital for ensuring that  
22 geography does not dictate life and death for newborns.

23           I would be happy to help meet at a later date  
24 regarding these requests, and share our thought  
25 processes as an organization that has dedicated much of

1 our time building relationships with our state's newborn  
2 screening programs, the dedicated individuals that make  
3 newborn screening a reality. Thank you for your time  
4 today.

5 DR. CALONGE: Thank you, Elisa. Next I'd like  
6 to call on Dean Suhr.

7 MR. SUHR: Yes, good morning. Thank you,  
8 Chairman and Committee members and other attendees for  
9 this opportunity to speak. I appreciate your continued  
10 presence on our journey as we continue to work to bring  
11 early diagnostics and therapies to MLD families across  
12 the United States and around the globe.

13 At our recent MLD family conference last month,  
14 we hosted our traditional butterfly memorial ceremony.  
15 We recognized and honored over 60 MLD loved ones lost  
16 since our last gathering, many of them would still be  
17 with us if we had diagnosed them pre-symptomatically.

18 I'd like to give you a couple of quick MLD  
19 newborn screening updates. MLD Foundation started  
20 working with gene therapy researchers in Italy in 2005.  
21 We started working with the Advisory Committee to learn  
22 and to positively impact the MLD newborn screening  
23 ecosystem about 2011. About that same year we started  
24 working with Professor Gelb on an MLD newborn screening  
25 assay.

1 I'm pleased to share that MLD gene therapy was  
2 approved by the EMA in December 2021, almost two years  
3 ago, again the therapy was developed there. That's why  
4 the first approval was there. The outcomes for this  
5 gene therapy have been extraordinary, and many are  
6 saying curative.

7 But as a rare disease, pre-symptomatic  
8 diagnosis is generally not possible without newborn  
9 screening, so most families don't have the opportunity  
10 to access that therapy. The FDA BLA, the biologics  
11 application submission was completed over the past few  
12 weeks by Orchard Therapeutics here in the U.S. It has a  
13 rare pediatric disease and regenerative medicine  
14 advanced therapy designation from the FDA.

15 The sponsor organizations requested prior  
16 review, which if granted would put the therapy on track  
17 for a potential U.S. approval the first half of 2024,  
18 next year. A publication recently came out last month  
19 or six weeks, with algorithm to determine the form of  
20 MLD using the babies' variant data, and the genotype  
21 phenotype scaling matrix. The authors are reporting a  
22 76 percent accuracy across nearly 500 patients, and the  
23 matrix identifies how to deal with the VOUS, the  
24 variants of unknown significance, as well.

25 This is really important because, as we have

1 discussed and heard, these meetings and plenty of other  
2 meetings, we need to know how to properly refer patients  
3 depending upon the form of disease that they have.  
4 We're adding advocacy and family experience to the  
5 scientific basis of this publication to increase the  
6 accuracy and expand some of those VOUS and some of those  
7 conclusions.

8           76 percent accuracy is awesome. We'd love to  
9 see that in the 80s or maybe up to low 90s. The MLD  
10 RUSP nomination is very close to a formal submission to  
11 this Committee. And adhering to your requirements to  
12 have an approved U.S. FDA approved U.S. therapy, the  
13 nomination will be submitting roughly in sync with that  
14 anticipated approval.

15           In addition, four babies have been identified  
16 to date through current newborn screening pilots that  
17 have screened well over 100,000 babies, so we hit those  
18 checkoffs. It's a good therapy for babies, and soon an  
19 approved therapy.

20           RUSP alignment: I want to shift gears and  
21 acknowledge the progress of the MLD Foundation, and the  
22 many organizations working with them and the families  
23 that are participating in the process to advance RUSP  
24 alignment. RUSP alignment sets a timeframe and/or a  
25 process for a state to consider the review, in some

1 cases, actually to implement the screening of a new RUSP  
2 addition on a specific timeline.

3 I was the catalyst for the language in  
4 California's 2016 Senate Bill 1095 that started their  
5 efforts, and I'm just thrilled with the progress they've  
6 made since then. In the last month or so they passed  
7 Texas RUSP alignment, bringing their RUSP alignment  
8 state count to 11, covering 47.5 percent of U.S. annual  
9 births, almost half of the country is covered by RUSP  
10 alignment at this point.

11 In parallel, I'd like to give congratulations  
12 to the Illinois-based Evanosky Foundation, which brought  
13 a 10-plus-year lysosomal disease effort to conclusion  
14 with the passing of Illinois Senate Bill 67, which gives  
15 MLD RUSP alignment-like status, so this is a singular  
16 disease legislation. With this legislation MLD newborn  
17 screening, once approved, will cover more than 50  
18 percent of the babies, about 51 percent of the babies  
19 will be expedited to implementation.

20 I'd like to make a quick comment about the  
21 review process of the Committee, again, just to  
22 encourage additional consideration and thinking. We've  
23 talked a little bit about this yesterday. The newborn  
24 screening process is purposely optimized and focused on  
25 quality of life. Quality of course, but also life. But I

1 want to ask that you consider, what about death? Not  
2 just death, and the negatives potentially from therapy,  
3 but death when there is no diagnosis or no therapy.

4 Not all disorders are fatal, or fatal quickly.  
5 MLD happens to be the infantile, late infantile form  
6 happens to be that. But death when you can't get  
7 diagnosis is death, and that's just a significant thing  
8 that you all need to consider when you do not review a  
9 therapy, or if you're looking at the positives, you need  
10 to add that to the negatives.

11 So death should be part of that net benefit.  
12 Dr. Bailey, former member of this Committee, published a  
13 new viewpoint article this week with a core discussion  
14 focusing on net benefit. I'd encourage you to take a  
15 look at that document. And finally, the Committee  
16 approved yesterday the review of DMD.

17 We'll be talking about a re-review, an  
18 expedited review of Krabbe. There's reviews in process,  
19 and who knows what else will be submitted between now  
20 and when MLD comes on the docket. I remain very  
21 concerned about the expert advisory group's capability  
22 and capacity to handle multiple simultaneous reviews.

23 They've shared that they can handle two, but  
24 can they handle three, or can they handle four? And we  
25 need to keep thinking about that. There is a tsunami of

1 gene therapies coming along. You will see a dramatic  
2 increase in nominations, and I'd like to help you, and  
3 encourage you to consider your capacity.

4 Thank you for the time to speak this morning.  
5 I appreciate your hard work.

6 DR. CALONGE: Thanks Dean. And now I'd like to  
7 welcome Kimberly Widner.

8 MS. WIDNER: Good morning. Thank you for time.  
9 I'm Kim Widner, and I currently live in Omaha, Nebraska.  
10 One of the worst days of my mothering experience to date  
11 was the evening after my daughter Bailey received her  
12 diagnosis of congenital cytomegalovirus, or cCMV.

13 That evening when I was tucking her into bed, I  
14 sat with my four-year-old daughter and explained to her  
15 that if she were to wake up in the morning and wouldn't  
16 be able to hear mommy, it would be all okay. We would  
17 get through it together. Bailey has single sided  
18 deafness due to congenital CMV, along with bowels loss,  
19 ADHD, brain calcifications, which we are still currently  
20 anxiously awaiting to see how this will impact her  
21 learning as she matures.

22 Bailey was not diagnosed with CMV until she was  
23 age 4, when she was able to tell me herself that she had  
24 a loud ear and a quiet ear. Her hearing was progressive  
25 when she received her diagnosis. We are still currently

1 monitoring her other ear to see what her hearing levels  
2 will be.

3 She now has one Cochlear implant to assist  
4 hearing in her deaf ear. Congenital CMV affects over  
5 30,000 babies every year in the United States, making it  
6 the most common congenital viral infection. One in 200  
7 children are born with cCMV each year, which equates to  
8 one child being permanently disabled from CMV every  
9 hour.

10 Common disabilities caused by CMV include  
11 hearing loss, vision loss, mental disability, brain  
12 calcifications, cerebral palsy, seizures, developmental  
13 and motor delays and even death. More children are  
14 disabled by CMV annually than any of the other following  
15 congenital conditions: HIV, Down Syndrome, Sudden  
16 Infant Death Syndrome, or SIDS, spina bifida, fetal  
17 alcohol syndrome, group E strep, and Rubella.

18 Our family story is so similar to every other  
19 CMV's family that I have met through our journey. Why  
20 did we not know about CMV? Why didn't any doctor  
21 discuss this virus with us?

22 I was worried about the impacts of kitty  
23 litter, deli meat, but never once was CMV, the  
24 prevention methods, and/or screening and testing ever  
25 discussed with us. I'm here today asking you, and



1 pleading for more to be done so future moms and dads and  
2 children won't have to unnecessarily battle the impacts  
3 of CMV for their lifetime.

4 I ask you, if you were a new parent whose  
5 newborn was just diagnosed with hearing loss, only to  
6 find out that your child was exposed to a virus in  
7 utero, that no one in the medical community warned me  
8 about, what would you do? Thank you for your time today.

9 DR. CALONGE: Thank you. Last, we have Dylan  
10 Simon.

11 MR. SIMON: Good morning. My name is Dylan  
12 Simon, and I serve as the Director of Policy for the  
13 EveryLife Foundation for Rare Diseases. On behalf of  
14 the EveryLife Foundation, I would like to thank the  
15 Committee for providing me with the opportunity to  
16 provide updates on our relevant newborn screening  
17 initiative program.

18 EveryLife's newborn screening initiative is  
19 focused on ensuring babies receive lifesaving treatment  
20 opportunities through early diagnosis with newborn  
21 screening. I would like to thank the Committee for  
22 providing me with the opportunity to provide updates on  
23 our relevant newborn screening initiatives, including  
24 two upcoming publications, and our annual newborn  
25 training boot camp.

1           The EveryLife Foundation is dedicated to  
2 leading evidence-based policy efforts. As the Committee  
3 may be aware, in 2021 the National Economic Program for  
4 Rare Disease study, in 2022 published those findings for  
5 that. The test study included the largest survey of the  
6 rare disease community to date, which revealed an  
7 average diagnosed odyssey of over 6 years and 17  
8 specialists and providers visited.

9           Over the last 18 months, EveryLife has been  
10 working with the Lewin Group to better understand the  
11 economic impact of the diagnosed odyssey in rare  
12 disease, and any economic implications for early  
13 intervention. Review showed the findings for the new  
14 study, cost of delayed diagnosis in rare disease, the  
15 health economic study in September.

16           Of note, the study includes an assessment of  
17 the economic impact of the diagnosed odyssey in five  
18 pediatric conditions, including SKID, ALD, Pompe,  
19 Duchenne muscular dystrophy, and Fragile X. We are also  
20 happy to share that during the coming weeks we will be  
21 posting a white paper reflecting policies that were  
22 proposed as part of a newborn screening by this  
23 roundtable series last summer.

24           The roundtable series brought together more  
25 than 100 leaders from a broad array of newborn screen

1 stakeholders, including academic researchers, state  
2 public health officials, patient advocacy organizations  
3 and industry and government officials. Together we  
4 worked to identify key actual recommendations designed  
5 to achieve newborn screening modernization.

6 Our hope is that these actions will help to  
7 transform the newborn screening system to remain one of  
8 the most accessible of health programs. We look forward  
9 to working alongside you, as we further develop these  
10 policy concepts.

11 And now, a special save the date. An  
12 invitation. We are delighted to share that the  
13 EveryLife Foundation is once again partnering with  
14 Expecting Health for our fifth annual newborn screening  
15 bootcamp. Bootcamp will take place in person in  
16 Rockville, on Wednesday, November 1, just prior to the  
17 final fall Advisory Committee meeting. Our goal is to  
18 provide attendees with the opportunity to learn and  
19 discuss developments in newborn screening of experts and  
20 patient advocates, currently navigating the newborn  
21 screening process.

22 As we also work to facilitate engagement across  
23 our ecosystems, we appreciate the time and education of  
24 the expert speakers, and community members who will be  
25 part of this event. Thank you for the opportunity to

1 speak to the Committee today, and your tireless efforts  
2 on behalf of the nation's newborn screening families.  
3 Thank you, and have a great rest of your day.

4 DR. CALONGE: Thank you, Dylan, And thanks to  
5 all of the folks who have provided public comment today,  
6 and of course those who shared with us yesterday. And  
7 we appreciate, especially parents, bringing their  
8 stories forward in such a way that things are work in  
9 life, with real examples of impact, these conditions on  
10 families and siblings and others.

11 So I thank you for your time today. We do  
12 appreciate it. We listen intently and it's a very  
13 important part of our process on this Committee.

14 At this time, I'd like to say that we'll take a  
15 20-minute break. We're a bit ahead of schedule by like  
16 3 minutes, I think, so we're doing well. And we'll come  
17 back in about 20 minutes. Thank you.

18 (Break)

19 **Expedited Review Discussion**

20 DR. CALONGE: Thanks folks. I think what I'd  
21 like to do is just go through the revised slide sets on  
22 the expedited review process, amended after yesterday's  
23 discussion, and we'll move on with the rest of the  
24 agenda. So could we bring those slides up?

25 And you remember we talked about the background

1 that there might be a short timeframe that would be  
2 sufficient to address issues of the nomination. That  
3 was voted against by the Committee. Next slide.

4 And at this point the nominators might choose  
5 to respond to address issues and submit new evidence or  
6 other revisions within the one-year timeframe. We  
7 believe the ERG could do an expedited review in an  
8 efficient manner, incorporating new evidence or just  
9 addressing other revisions without starting over. Next  
10 slide.

11 So this is the process. Step one, the Chair  
12 sends a letter to the nominators summarizing the issues  
13 leading to the decision, and the current practice is  
14 within two months of the ACHDNC meeting where the  
15 decision was made. That starts the clock on the  
16 one-year timeframe, but in that timeframe nominators may  
17 resubmit a renomination package for an expedited review.  
18 Next slide.

19 Requests for an expedited review must include  
20 responses to the Chair's letter, and may include  
21 additional new evidence or information on other relevant  
22 issues. The request must outline at least one material  
23 change, and include supporting data or documents.

24 A material change involves a change in scope of  
25 the condition nominated, or substantial new evidence for

1 the nominated condition. If there is a change in scope,  
2 it's preferable that there is also new evidence provided  
3 in support of the nomination. Next slide.

4 So these are some caveats that I think we  
5 developed after yesterday's discussion. The package  
6 must address Committee's questions and comments in the  
7 Chair's letter, but nominators must realize that the  
8 Chair's letter only includes those issues that were  
9 raised in the discussion. There may be other reasons a  
10 Committee member voted against adding the condition. So  
11 we don't want to create expectations that addressing the  
12 issues in the letter alone will result in a changed vote  
13 for any one member. Next slide.

14 The Chair reviews the renomination package and  
15 then as necessary can reach out to both the ERG and the  
16 Nomination and Prioritization workgroup, and then make  
17 the determination if it qualifies as a material change.  
18 This step is likely to involve ongoing discussions with  
19 the nominators, and will be performed as expeditiously  
20 as is practical for all participants.

21 And we phrased this this way without a  
22 timeframe because it really depends on setting up  
23 meetings that meet the timeframes and availability of  
24 all the parties that are involved, and we're worried if  
25 we define this, or shorten it more succinctly, it could

1 actually work against the process, and kind of create  
2 unforced errors. Next slide please.

3 If the Chair concludes the renomination  
4 constitutes a material change, the package will be  
5 presented and discussed by the full Committee for  
6 consideration for an expedited review. The Committee  
7 should vote on whether to move the condition to an  
8 expedited review to be conducted by the ERG.

9 If the vote fails, the Chair will summarize the  
10 issues leading to the decision in a letter to the  
11 nomination group, and the condition will return to the  
12 list of conditions for future nomination and  
13 prioritization. Next slide please.

14 If the Chair concludes there is not a material  
15 change, the nominators and the rest of the Committee  
16 will be notified, and the condition will return to the  
17 list of conditions for future nomination and  
18 prioritization. Next slide.

19 If the vote passes, if necessary, the N&P  
20 workgroup will prioritize the review, considering other  
21 topics in the prioritization queue, in order to  
22 determine timelines and deadlines. The ERG will follow  
23 standard systematic review processes to identify  
24 relevant research published since completing the  
25 previous review.

1           The ERG may find additional new research  
2 on issues not identified in the original review and  
3 Committee decision and Chair's letter that could impact  
4 the decision to recommend the condition be added to the  
5 RUSP. So that would be following kind of standard  
6 processes. Next slide.

7           The ERG will work with the technical evaluation  
8 panel, revise the review to include the new evidence,  
9 and address the new revision in scope, and this could  
10 involve additional modeling. When the review is  
11 complete the condition will be scheduled for  
12 presentation, discussion and vote, for recommendation  
13 for inclusion on the RUSP at a regular Committee  
14 meeting.

15           A vote on the condition must be held within 9  
16 months after approval of the expedited review. Next  
17 slide.

18           It must be clear to all that a topic approved  
19 for expedited review may still not be recommended for  
20 addition to the RUSP. Next is the intent and  
21 expectation of the Committee that this process will be  
22 engaged rarely, for very few nominated conditions. This  
23 is not intended to become a routine process for most, or  
24 even many, conditions.

25           And finally, recognizing that we need some



1 experience with this, the Committee review and evaluate  
2 the process after two expedited review requests to  
3 identify and implement any appropriate revisions.

4           So I think I've captured the thoughts of the  
5 discussion yesterday that you conditionally approved,  
6 and hopefully we can have if -- well, let me just open  
7 it up for questions and comments. Christine?

8           DR. DORLEY: Yes, Ned. You did a great job of  
9 summarizing everything we discussed yesterday. I just  
10 had one question. So if you, as the Chair, or future  
11 ACHDNC Chairs, decided there is not an adequate material  
12 change, or there's no change that exists, does that  
13 nullify the nominator from having a future expedited  
14 review for their package?

15           DR. CALONGE: Yes. So the idea was we had to  
16 put it -- I felt strongly we had to put a closure on  
17 this, and there is an ongoing dialogue that can last up  
18 to a year. But at some point there needs to be a  
19 decision that there's not a material change. So there's  
20 no timeframe associated with that, but there ultimately  
21 needs to be a closure of the door that says we're not  
22 going to move ahead with the expedited review.

23           Part of that is to protect our time, and the  
24 time of staff here, and to be clear with the nominators  
25 the conversation is not getting to the endpoint. But

1 you noticed there was no timeframe on that other than  
2 the full year, so. Margie?

3 DR. REAM: Hi. Thanks. I had a couple of  
4 questions. First, related to including new evidence.  
5 So, if there's a change of scope, and just like a  
6 narrowing of the scope, like I anticipate we'll hear  
7 later about Krabbe disease, that wouldn't necessarily  
8 require new evidence just a change in how the previously  
9 reviewed evidence is being applied to the nomination.

10 So I was wondering if you could clarify the  
11 idea of having new evidence with the expedited review  
12 request. That's one question. I don't know if you  
13 wanted me to give you all the questions, or just do them  
14 one at a time?

15 DR. CALONGE: Let me do that one quickly. So  
16 yeah, the idea is that it needs to fulfill one of the  
17 two criteria and new evidence for the original  
18 nomination, or a change in scope that's a material  
19 change. I did put in there that ideally you did both.  
20 So you changed the scope, and were able to provide  
21 additional evidence, but that's not a requirement.

22 DR. REAM: Okay. So that's kind of an and/or?

23 DR. CALONGE: Yes.

24 DR. REAM: Okay. And then the 9 month, you  
25 know, different parts in the Word document that was sent

1 out, the timeline is more vague than 9 months at  
2 different parts in the document. So the 9 months starts  
3 from the day of the meeting vote, rather than like how  
4 does the decision -- how does the 9 months relate to  
5 where something would fall in a prioritization queue?

6 DR. CALONGE: Yeah. The 9 months is after the  
7 decision to do an expedited review.

8 DR. REAM: Okay. And so I guess how it falls in  
9 the prioritization queue could shorten that 9 months, or  
10 it could extend it to the full 9 months, but not past  
11 the 9 months?

12 DR. CALONGE: Yes.

13 DR. REAM: Okay. And then last  
14 question -- thank you for taking all my questions. I  
15 was wondering if you could comment on what sort of  
16 datapoints might be important in the review and  
17 re-evaluation of this process once there's two expedited  
18 reviews completed?

19 DR. CALONGE: Well, I think if both were able  
20 to meet the timelines. Are the timelines not wrong? Do  
21 they need to be readjusted, give the nominators a chance  
22 to provide input as to how the process went for them,  
23 and see if there are any additional changes that would  
24 make it work better?

25 I think we were also -- we want to make sure

1 that we are resourced appropriately in terms of staff  
2 and time, and Chair time to make this work, and so  
3 figuring that out will be important datapoints to say do  
4 we need to make any changes.

5 I would also say that anything else that came  
6 up as we know on, I think recognizing that processes  
7 are, especially new ones, need to be piloted and tested.  
8 I felt strongly that we should do that for this one, and  
9 that two sounded to me like a good number to kind of  
10 take a relook at how things should be changed, and how  
11 we could tighten it up, or sorry, make it more  
12 consumer-friendly, or customer-focused, and still keep  
13 it within the resource allocation that those of us on  
14 the Committee, and those of us who work for HRSA that  
15 have available, so thanks for those questions. Shawn?

16 DR. REAM: Thank you.

17 DR. MCCANDLESS: Thank you. Shawn McCandless,  
18 Committee member. I think more of the questions are  
19 very pertinent, but also kind of directed. They sort of  
20 emphasize a concern that I've had about this, which is  
21 that, you know, I think it's right for this Committee to  
22 try and formalize processes and make the process more  
23 clear, and make expectations more clear for nominators.

24 But at the same time I want to understand what  
25 is the basis for these like this decision we make today.

1 What are we creating? Is this a guideline? Is this a  
2 part of our bylaws for the Committee? Is this  
3 committing HRSA to something? I guess I'm not -- I want  
4 to be sure I understand what the level of expectation is  
5 because I think that pertinent to some of the comments  
6 that Dean Suhr made earlier, we may bump up against  
7 problems with timelines in the future if we create very  
8 strict policies about what we're going to do this within  
9 this timeline.

10 And so I just want to make sure that I  
11 understand what we are voting to create here,  
12 what -- how it impacts us, and what are the mechanisms  
13 for adapting or adjusting if need be.

14 DR. CALONGE: Yeah. I appreciate that, Shawn,  
15 and I'm trying to build in the flexibility. When we  
16 first put it out, other than the one year, there were no  
17 timeframes, and that was really to allow us to get some  
18 experience with it. Then there was a concern that this  
19 process, which was really intended to expedite things,  
20 might be inherently slow.

21 And so, the 9 months the timeline was borrowed  
22 from, what the practice we currently follow for  
23 conditions that are referred to the ERG for full  
24 evidence review, so that's where that number came from.  
25 I think the one, the area of flexibility we gave

1 ourselves was there has to be a vote. It didn't say  
2 that we had to vote up or down on the condition at that  
3 9 month period of time.

4 The vote may be to extend the time for later,  
5 and trying to build flexibility in anticipation of the  
6 problems that might arise. And then ultimately, I think  
7 we need to get some experience, and figure out if this  
8 is a process we can do, or can't do, given the resources  
9 we have available.

10 Again, if there's some concerns expressed that  
11 this would just be the way that groups do things, and in  
12 fact, might have nominators come to the N&P workgroup  
13 earlier before the research was robust enough to support  
14 a nomination with the idea that they could always come  
15 back with an expedited review.

16 And that's why trying to set the expectations  
17 that this is rare, this is not a process for all topics  
18 is an expectation I tried to lay out in the caveats for  
19 the process. Shawn?

20 DR. MCCANDLESS: Thanks. And just to clarify,  
21 this is not -- is this binding in any way on the  
22 Committee? Is this forcing us into, or is this merely  
23 an attempt to clarify and document how we intend to  
24 process -- a process that we intend to try to follow  
25 until we change the process? I guess, is there any

1 formal meaning to us adopting this as a process? And  
2 maybe this is a HRSA question for Jeff Brosco.

3 DR. CALONGE: Let me just say it won't show up  
4 in the bylaws, because our processes aren't in the  
5 bylaws, so that's kind of a different issue. But if we  
6 had a procedure manual, this would be a procedure  
7 manual. We kind of have that with N&P process in the  
8 pathways and the timelines, and the other documents that  
9 support creation of a nomination package.

10 I'll let Jeff answer, and then I'll give you my  
11 thoughts.

12 DR. BROSCO: And what Ned said is exactly  
13 right. And so, what binds us are things like  
14 legislation, and if the Committee decides to take a  
15 certain practice, the Committee can decide to change,  
16 and it will be, you know, there are bylaws and standard  
17 operating procedures, and this falls towards the  
18 standard operating procedures, but it's an initial kind  
19 of what we think we should do, and let's see how it goes  
20 kind of.

21 DR. CALONGE: Part of the thing, Shawn, with  
22 the process now on putting a burden on future Chairs,  
23 because there's a lot of decision making and work  
24 inherent in the work of the Chair. And I actually think  
25 that's appropriate as trying to figure out a way for

1 someone working directly with the staff could think  
2 about the process and to be the point person.

3 But you recognize there's like words like  
4 should vote. But the Committee could choose not to vote  
5 on an expedited review process. And so I didn't want to  
6 bind the Committee to say the Chair thought this was a  
7 material change, it's bringing it forward, therefore we  
8 have to vote on it.

9 I think making that should are ways to say that  
10 we're creating this pathway for exceptional topics, not  
11 that all topics aren't exceptional, but that it's  
12 something about the topic or the evidence, or the  
13 evidence review that makes offering this approach  
14 something that keeps us responsive to the needs of our  
15 advocacy communities. Michele?

16 DR. CAGGANA: Michele Caggana, member. I guess  
17 I'm not clear on how long it takes for HRSA to do the  
18 cursory review when a package comes in in the first  
19 place. Is that, you know, to kind of fend off the worry  
20 that packages will come in sort of incomplete, or really  
21 prepared and cause undue burden downstream?

22 So yeah, I was just wondering, is it pretty  
23 obvious if a package, somebody tried to channel  
24 something in that direction might -- would be obvious to  
25 the staff, and not really be a big burden for everyone



1 along the line.

2 DR. CALONGE: The question is -- yeah, go  
3 ahead.

4 DR. BROSCO: Michele, you mean a renomination  
5 package, right?

6 DR. CAGGANA: Yeah. I mean, if something comes  
7 in, so there's this fear that this expedited process is  
8 going to be used because people are going to come in at  
9 the beginning and try and circumvent the whole process,  
10 but I think the rules we set in place for this preclude  
11 that. But even so, if something comes back with a  
12 material change, or extra evidence that's not strong, is  
13 that -- unclear, I guess, how much of a burden that will  
14 be.

15 DR. BROSCO: Looking back historically, right,  
16 over the last decade. It is very rare for a condition  
17 not to be voted to the RUSP once it's past the N&P  
18 workgroup. So the initial N&P workgroup has frequently  
19 said, no, there's not enough to move forward here, and  
20 sent things back. It's happened probably at least five  
21 or maybe as many as 10 times over the last decade.

22 So you're right, there is that initial sort of  
23 leap that you have to get to just to get to the  
24 Committee to vote for evidence review. What we're  
25 talking about now is once you've made it through all the

1 way there's been a negative vote. And then what I'll  
2 tell you is there's a lot of back and forth between  
3 nominators, the Chair and the staff, to try to get to a  
4 point where we say, yes, there really is new evidence  
5 here, there really is a change. This is something that  
6 the Committee is likely to take seriously. It's going  
7 to move forward.

8           And the N&P workgroup and the ERG play a role  
9 in that as well. So, if the nominators say, well, we  
10 have all these new articles, well, we can share them  
11 with the ERG, and they could say yes, this really is  
12 new, or we both knew this, it's not really new.

13           And the N&P workgroup can be very valuable  
14 because they can say, yeah, this change in scope really  
15 is a change in scope. This would change the way we  
16 think about things, or, no, it's not really. We also  
17 wanted to make it easy because if a study came out that  
18 said there's a brand new therapy, it fixes everything,  
19 the Chair could just say, yeah, that's a material  
20 change.

21           We don't have to go through ERG or N&P, so  
22 there's flexibility built in to meet the many different  
23 possibilities of why a condition might come back for an  
24 expedited review.

25           DR. CAGGANA: Okay. Thank you.

1 DR. CALONGE: Ash?

2 DR. LAL: I'm actually in support of making  
3 the -- narrowing down the reasons why the  
4 reconsideration would be granted, or an expedited review  
5 would be granted. And I'm especially -- I think the  
6 change in scope on material change, that is one point,  
7 but the generation of new evidence within a year of the  
8 previous deliberation seems like that wouldn't be a  
9 frequent occurrence in my view.

10 Like I think those are the kinds of situations  
11 where it could have been better because research from  
12 the planning stage to the actual results takes multiple  
13 years, and most people would feel aware of results that  
14 would be coming down the line. It's better in those  
15 situations to just hold off on the nomination to a more  
16 favorable time upon expecting positive results, rather  
17 than submitting it.

18 I would certainly I think, oppose the  
19 situations when you would expect would be  
20 extraordinarily rare, and that it's more often that the  
21 other side would be more likely to happen.

22 DR. CALONGE: Right, and I agree with you.  
23 Unless there are objections to what is in front of you  
24 being voted on initially yesterday, and I hope to  
25 address the issues that came up.

**Committee Discussion on Possible Krabbe Expedited  
Review**

DR. CALONGE: What I would like to do is move ahead to present for your consideration a possible expedited review for Krabbe disease, but this would be our first one. There is the summary of Krabbe disease renomination package. Next slide please.

We requested additional published data on the following topics: Evidence review, efficacy of transplants for infantile Krabbe disease that systematically reports outcomes and adequately classifies cases as early vs. late onset, e.g., psychosine levels or genetic markers of infants transplanted.

We requested evidence regarding harms of neuro transplants for infants transplanted in the first two months of life, including the possibility of transplanting infants not meeting criteria for early infantile disease.

And finally, evidence regarding outcomes of infants who are at risk of late infantile Krabbe disease, as these children may experience even greater benefits than early infantile Krabbe disease, and some of those should include number lost to follow-up, and potential burden on families and infants of intensive follow-up visits and consequences of indeterminate

1 diagnostic testing. Next slide.

2           Again, material change has to be a change in  
3 scope of the condition nominated or new evidence.

4 Resubmission should address Committee questions and  
5 comments described in the Chair letter. Next slide.

6           You remember we voted not to recommend Krabbe  
7 for inclusion on the RUSP at our February 2023 meeting.  
8 We sent the Chair letter to the Krabbe nominators in  
9 March. The disease nominators resubmitted the  
10 nomination package in discussions with myself and staff  
11 at HRSA in response to the Chair letter, and that came  
12 back in July of this year. Next slide please.

13           I will just point out that we asked for the  
14 renomination package in anticipation of adopting an  
15 expedited review process. Had we not adopted the  
16 expedited review process, we would not have presented  
17 the renomination package. So, I want to be clear that  
18 in an attempt to be timely with the nominators of  
19 Krabbe, we kind of staged this intentionally, and we'd  
20 be doing things differently and would have ended the  
21 meeting early had we not approved the process.

22           So, asking the question, has it been less than  
23 a year since the ACHDNC vote? The answer is yes. Is  
24 there a material change in the new nomination? My  
25 assessment of this is yes. The material change was the

1 change in the targeted screen to early infantile Krabbe  
2 disease, defined as onset within the first year of life  
3 with reduced GALC enzyme activity, and elevated  
4 psychosine in the newborn dried-blood spot, represented  
5 a material change in the nomination.

6           There were additional data provided that we  
7 reviewed with the ERG, made the conclusion that the  
8 additional data did not rise to the level of a material  
9 change, but the targeted screening could change the  
10 benefit to harm ratio, which is why I'm asking you to  
11 consider this today. Next slide.

12           Those are the all items, nice and short. Some  
13 of the decisions on my part is material change, and now  
14 I will put it up for discussion remembering that the  
15 next step would be the Committee considering whether to  
16 take a vote, and then I would ask if you do phrase the  
17 vote in the positive that we would move ahead with  
18 expedited review.

19           And I'll open it up to discussion starting with  
20 Committee members and organizational representatives. I  
21 often say that one of the physician's best tools is  
22 silence.

23           **Vote on Whether to Move Krabbe to Expedited Review**

24           DR. CALONGE: However, I'm going to see if  
25 there's someone who is interested in making a motion.

1 If there is no motion, then we won't move on the  
2 consideration of this renomination. Ash?

3 DR. LAL: Well, I move the motion to for  
4 expedited review.

5 DR. CALONGE: So is there a second?

6 DR. CAGGANA: I second.

7 DR. CALONGE: It's been moved and seconded that  
8 we move Krabbe disease to the expedited review process  
9 based on a material change in scope of the condition. I  
10 will now open it up to discussion on the motion.

11 Seeing no discussion, I would like to move to a  
12 roll call vote.

13 COMMANDER MANNING: Thank you. And if you can  
14 just state yes if you are in agreement, no if you're  
15 not, or you can let me know if you choose to abstain.  
16 Michele Caggana?

17 DR. CAGGANA: Yes.

18 COMMANDER MANNING: Jannine Cody?

19 DR. CODY: Yes.

20 COMMANDER MANNING: Cynthia Hinton?

21 DR. HINTON: Yes.

22 COMMANDER MANNING: Christine Dorley?

23 DR. DORLEY: Yes.

24 COMMANDER MANNING: Paula Caposino?

25 DR. CAPOSINO: Yes.

1           COMMANDER MANNING: Jennifer Kwon?

2           DR. KWON: Yes.

3           COMMANDER MANNING: Ash Lal?

4           DR. LAL: Yes.

5           COMMANDER MANNING: Shawn McCandless?

6           DR. MCCANDLESS: I'm going to take the  
7 prerogative of the Committee member to make a comment as  
8 I give my vote. And that is that I am going to vote yes  
9 that this move forward. I do think this is a  
10 substantial and marked improvement in the definition of  
11 what newborn screening would look for.

12           I would appreciate going forward if it were  
13 clear that this Committee is made up of people who are  
14 capable of understanding evidence and data, and that the  
15 Evidence Review Group and the experts that work with  
16 them make a good-faith effort to respond to questions  
17 and concerns, and I specifically would say two things.

18           The question about our understanding of whether  
19 the addition of psychosine reduces false positives.  
20 There's no doubt about that. But to continue saying  
21 that there are no false positives when the data  
22 presented show false positives as happened in the  
23 evidence review, and it was seen in the new literature,  
24 regarding of the reason for the false positive, and  
25 regardless of whether that is a one off event.



1           That's real life. And so, please give us the  
2 benefit of being intelligent people, and recognizing  
3 that there may be false positives. No one has ever  
4 asked that there be zero false positives for a  
5 condition. This Committee has asked that false  
6 positives be balanced with the benefits of the  
7 treatment.

8           The second point I would make is that, again,  
9 we all look at the same literature. And when questions  
10 are raised about asking for confirmation that  
11 individuals that are referred to as having good outcomes  
12 from a treatment that questions that are raised to show  
13 the evidence that those children truly have the  
14 condition up for discussion, and specifically related to  
15 Krabbe to refer to older literature where molecular data  
16 are not available, where the diagnostic criteria that  
17 document the early infantile onset are not available.

18           It's fine for someone to say, well, we know  
19 that these patients had it, but this Committee is not  
20 expected to act on hearsay or on the advice of  
21 individual experts, and nobody would want us to do that.  
22 The data are the data. And if there is a request for  
23 data, and a request for additional data, it would be  
24 greatly appreciated if that were honored, and honored in  
25 a respectful way.

1           So, with those two comments in mind, I vote yes  
2 to move this to expedited review.

3           COMMANDER MANNING: Thank you, Shawn. Kamila  
4 Mistry?

5           DR. MISTRY: Yes.

6           COMMANDER MANNING: Melissa Parisi?

7           DR. PARISI: Yes.

8           COMMANDER MANNING: Chanika Phornphutkul?

9           DR. PHORNPHTKUL: Yes.

10          COMMANDER MANNING: Jeff Brosco?

11          DR. BROSCO: Yes.

12          COMMANDER MANNING: And Ned Calonge?

13          DR. CALONGE: Yes. Thank you. The motion  
14 passes unanimously. Again, to clarify expectations, the  
15 strength of the vote does not indicate how we will vote  
16 based on the evidence review and presentation, which the  
17 clock now starts running on the 9 months.

18          DR. BROSCO: And Ned, can I bring something up?

19          DR. CALONGE: Yeah.

20          DR. BROSCO: Because I just got a text, and I  
21 want to be absolutely clear. Organizational reps were  
22 allowed to speak. There wasn't any exclusion of  
23 organizational reps, so if someone had anything they  
24 wanted to say, please, this was not a -- I'm not sure  
25 why anyone would think you would be excluded, but no one

1 was excluded. Okay. Thank you.

2 DR. CALONGE: Thanks for helping me, Jeff.  
3 When I see no hands on the screen I take that as no  
4 hands on the screen. At this point, I'd like to  
5 ask -- also this will be referred to the ERG. We will  
6 use ERG methodology, including a technical expert panel  
7 and the Nomination and Prioritization workgroup review  
8 as possible, and as feasible, and move forward in time.

9 **New Business**

10 DR. CALONGE: So, at this point I'd like to ask  
11 if there's any new business to bring up in front of the  
12 Committee? I have Jannine Cody with a hand up.

13 DR. CODY: Yes. Jannine Cody, Committee  
14 member. I was wondering if it might not be a good idea  
15 to have a presentation from the -- someone associated  
16 with the National Academy of Medicine Project to look at  
17 newborn screening, and genome sequencing. And I don't  
18 know what their goals are, what their scope is, and I  
19 thought it might be, if others agree, a good idea to  
20 hear from someone associated with that project.

21 DR. CALONGE: Yeah. I think, Jannine, in my  
22 understanding that it's still early in the negotiation  
23 process, so while the money has been identified in the  
24 budget, it's still in the process of talking about what  
25 the statement of tasks will be. The National Academy's

1 work is dependent on that negotiated statement of tasks,  
2 which comes from a dialogue with the sponsoring  
3 organization and the leadership on the board to which  
4 the topic has been assigned.

5           And so, that will occur. Once the statement of  
6 tasks is formed, and they start to work, there is no  
7 reporting out. It is a confidential process, and it  
8 remains confidential up until the end. Now, that being  
9 said, the Committee -- National Academy almost always  
10 includes public comment, and open sessions to inform  
11 their work.

12           When I did the PFAS study we did town halls.  
13 We actually made sure we gained the voice of the  
14 affected community across the country. And it would be  
15 my hope that as we set the new standard for community  
16 engagement for nation's studies with that study, that  
17 that would be also a process that was included in this  
18 one coming up.

19           So, I think we could have a presentation, but  
20 there are other things that have to occur before that  
21 would be possible. So thanks. And I'm not involved in  
22 those negotiations, so I just know about them from my  
23 own experience with them. Natasha?

24           MS. BONHOMME: Hi. Natasha Bonhomme, Genetic  
25 Alliance. Now two things that Jannine's comments made

1 me think of. I just wanted to update the Committee that  
2 on June 7, the National Academies did have their  
3 workshop on Next Generation screening.

4 DR. CALONGE: You were a co-chair?

5 MS. BONHOMME: Yep. The promise and perils of  
6 DNA sequencing of newborns, and I just wanted to let  
7 people know that the proceedings for that are up on the  
8 website. I did say that I would update this Committee,  
9 and there will be a writeup on that.

10 I'm happy to share any more information for  
11 anyone who would be interested in that. And then the  
12 second piece is, you know, this concept of harms keeps  
13 coming up, and I know that we've had some sessions that  
14 have kind of talked about it. But I wonder if in future  
15 meetings we could delve more into that.

16 I'm thinking of earlier today kind of the  
17 message from our speaker, you know, to call a thing a  
18 thing. You know, let's put names to things, and it may  
19 be helpful to really revisit what does that mean and  
20 have some conversation both about harms in newborn  
21 screening as well as benefits, since those keep coming  
22 up, but not necessarily the details behind those words.

23 So, just a suggestion as it's a theme that, as  
24 I said, keeps coming up. Thanks.

25 DR. CALONGE: I appreciate that, Natasha. And

1 I think that is one of the topics that we hope to pursue  
2 with a broader inclusion of families and advocacy groups  
3 and experts, as we think about a more detailed  
4 operationalization of decision making supported by the  
5 matrix.

6 So, we've been talking around it, and I think  
7 as I'm talking with Jeff and HRSA staff, purposefully  
8 thinking about how we quantify, describe both harms and  
9 benefits moving forward to support decision making is  
10 something we're all very interested in.

11 MS. BONHOMME: Yeah. And also just I'm not  
12 saying that you were saying this, but I think it isn't  
13 just a theme that just advocates or families need to  
14 understand, but we, as a whole community and system,  
15 need to understand where each of us, how we see that and  
16 can work together around those concepts, so thank you.

17 DR. CALONGE: I appreciate that. And I wasn't  
18 saying just families. I think I didn't say just  
19 families.

20 MS. BONHOMME: That's what I said, that's why I  
21 wanted to echo that. So thanks.

22 DR. CALONGE: Thank you. Jennifer?

23 DR. KWON: I don't have anything new. I just  
24 wanted to thank Natasha for bringing up the important  
25 concept of harms, and also for trying to clarify what

1 Shawn was saying about harms yesterday. I think  
2 Natasha, that one of the things that Shawn may have been  
3 alluding to is that for certain people and populations,  
4 it is really hard for them to share their experience,  
5 their negative experiences of newborn screening.

6 So this may be families who are, you know,  
7 probably grateful that their child does not have a  
8 condition, and maybe as severe as the condition that  
9 advocacy groups are passionate about.

10 It may also be medical providers who hear  
11 things that may present medical liability, and are  
12 difficult to bring up because of the need to be, you  
13 know, really discreet about comments that may, you know,  
14 raise concerns about medical liability, or just things  
15 that families do that have nothing to do with the advice  
16 they were given, but maybe just a misunderstanding of  
17 that advice.

18 So, it's always been a struggle for me to know  
19 where those -- that miscellaneous group of harms can fit  
20 in, but I think that they also occur, and they're more  
21 likely to occur with very rare diseases where treatments  
22 are in the hands of, you know, relatively few experts.

23 So, I just sort of throw that out there in any  
24 sort of discussion of problems. I wonder if there could  
25 be room for those sorts of topics as well.

1 DR. CALONGE: Thanks Jennifer. Scott?

2 DR. SHONE: Not to belabor the harms point, but  
3 I think that Natasha was just suggesting, and the back  
4 and forth after her comment reflects that there is a  
5 need for this discussion, and it needs to include  
6 diverse viewpoints and perspectives.

7 And so I would -- based on just the feedback  
8 that you provided, Ned, and now Jennifer, I think it  
9 would be important to have that, and I would second that  
10 need for a discussion, particularly in light of some of  
11 the recent publications that have come out discussing  
12 not only this Committee's, but in general, the weight of  
13 benefits and harms, and our entire system would benefit  
14 from it, so I second her suggestion.

15 DR. CALONGE: Thanks Scott. Are there any  
16 other comments, or any other new business to be brought  
17 in front of the Committee this day? Seeing none, as  
18 always, I wish to thank members of the Committee, our  
19 organizational reps, other members of the audience,  
20 those families and other advocates and experts who bring  
21 their time forward to provide public comment.

22 And then all the people at HRSA without which  
23 there would be no Committee, there would be no RUSP.  
24 There would be no ongoing work. The people that got me  
25 to Rockville successfully, and hopefully will get me



1 home, and who ride herd on our IT, including all the  
2 little glitches that we may have from time to time. I  
3 appreciate all the work that people sitting in the room  
4 with me do, as well as those who are outside the room,  
5 making things happen.

6           And with that, I would like to adjourn the  
7 meeting, but I will tell you our next meeting is in  
8 person in Rockville, November 2nd and 3rd, 2023. All of  
9 the dates through 2025 are listed on the ACHDNC website.  
10 And the May meeting of the Advisory Committee on  
11 Heritable Disorders in Newborns and Children  
12 is -- sorry, the August meeting is now adjourned.

13           Thank you.

14           (Whereupon at 12:57 p.m. the meeting was  
15 adjourned.)