

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

**The Advisory Committee on
Heritable Disorders in Newborns and Children**

Virtual Meeting

10:00 a.m.

Tuesday, November 9, 2021

Attended Via Webinar

Page 1 - 211

Reported by Garrett Lorman

1

Committee Members

2

3 **Mei Baker, MD**

4 Professor of Pediatrics

5 University of Wisconsin School of Medicine and

6 Public Health

7 Co-Director, Newborn Screening Laboratory

8 Wisconsin State Laboratory of Hygiene

9

10 **Jeffrey P. Brosco, MD, PhD**

11 Professor of Clinical Pediatrics, University of

12 Miami

13 Title V CYSHCN Director, Florida Department of

14 Health

15 Associate Director, Mailman Center for Child

16 Development

17 Director, Population Health Ethics, UM Institute

18 For Bioethics and Health Policy

19

20 **Kyle Brothers, MD, PhD**

21 Endowed Chair of Pediatric Clinical and

22 Translational Research

1 **Committee Members - continued**

2

3 Associate Professor of Pediatrics University
4 of Louisville School of Medicine

5

6 **Jane M. DeLuca, PhD, RN**

7 Associate Professor

8 Clemson University School of Nursing

9

10 **Shawn E. McCandless, MD**

11 Professor, Department of Pediatrics

12 Head, Section of Genetics and Metabolism

13 University of Colorado Anschutz Medical Campus

14 Children's Hospital Colorado

15

16 **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

17 Professor of Pediatrics and Genetics

18 Director, Medical Genetics Residency

19 Program Pediatric Genetics and Metabolism

20 The University of North Carolina at Chapel Hill

21

22

1 **Committee Members - continued**

2

3 **Annamarie Saarinen**

4 Co-founder

5 CEO Newborn Foundation

6

7 **Scott M. Shone, PhD, HCLD (ABB)**

8 Director

9 North Carolina State Laboratory of Public Health

10

11 **Ex-Officio Members**

12

13 **Agency for Healthcare Research & Quality**

14 Kamila B. Mistry, PhD, MPH

15 Senior Advisor

16 Child Health and Quality Improvement

17

18 **Centers for Disease Control & Prevention**

19 Carla Cuthbert, PhD

20 Chief

21 Newborn Screening and Molecular Biology Branch

22 Division of Laboratory Sciences

1 **Ex-Officio Members - continued**

2

3 National Center for Environmental Health

4

5 **Food & Drug Administration**

6 Kellie B. Kelm, PhD

7 Director

8 Division of Chemistry and Toxicology Devices

9

10 **Health Resources & Services Administration**

11 Michael Warren, MD, MPH, FAAP

12 Associate Administrator

13 Maternal and Child Health Bureau

14

15 **National Institutes of Health**

16 Melissa Parisi, MD, PhD

17 Chief

18 Intellectual and Developmental Disabilities Branch

19 Eunice Kennedy Shriver National

20 Institute of Child Health and Human Development

21

22

1 **Ex-Officio Members - continued**

2

3 **Designated Federal Official**

4 Mia Morrison, MPH

5 Genetic Services Branch

6 Maternal and Child Health Bureau

7 Health Resources and Services Administration

8

9 **Organizational Representatives**

10

11 **American Academy of Family Physicians**

12 Robert Ostrander, MD

13 Valley View Family Practice

14

15 **American Academy of Pediatrics**

16 Debra Freedenberg, MD, PhD, FFACMG, FAAP

17 Medical Director

18 Newborn Screening and Genetics

19 Texas Department of State Health Services

20

21 **American College of Medical Genetics & Genomics**

22 Maximilian Muenke, MD, FACMG

1 **Organizational Representatives - continued**

2

3 Chief Executive Officer

4 Maryland Department of Health Maternal and Child

5 Health Bureau

6

7 **American College of Obstetricians & Gynecologists**

8 Steven J. Ralston, MD, MPH

9 Chair, OB/GYN

10 Pennsylvania Hospital

11

12 **Association of Maternal & Child Health Programs**

13 Jed Miller, MD

14 Director, Office for Genetics and People with

15 Special Care Needs

16 Maryland Department of Health Maternal and Child

17 Health Bureau

18

19 **Association of Public Health Laboratories**

20 Susan M. Tanksley, PhD

21 Manager, Laboratory Operations Unit

22 Texas Department of State Health Services

1 **Organizational Representatives - continued**

2

3 **Association of State & Territorial Health**

4 **Officials**

5 Christopher Kus, MD, MPH

6 Associate Medical Director

7 Division of Family Health

8 New York State Department of Health

9

10 **Association of Women's Health Obstetric and**
11 **Neonatal Nurses**

12 Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,
13 IBCLC

14 Vice President, Research Officer University of
15 North Carolina Health

16 Board Director, Association of Women's Health,
17 Obstetric & Neonatal Nurses

18

19 **Child Neurology Society**

20 Jennifer M. Kwon, MD, MPH, FAAN

21 Director, Pediatric Neuromuscular Program

22 American Family Children's Hospital

1 **Organizational Representatives - continued**

2

3 Professor of Child Neurology, University of
4 Wisconsin School of Medicine & Public Health

5

6 **Department of Defense**

7 Jacob Hogue, MD

8 Lieutenant Colonel, Medical Corps, US Army

9 Chief, Genetics, Madigan Army Medical Center

10

11 **Genetic Alliance**

12 Natasha F. Bonhomme

13 Vice President of Strategic Development

14

15 **March of Dimes**

16 Siobhan Dolan, MD, MPH

17 Professor and Vice Chair for Research

18 Department of Obstetrics & Gynecology and Women's

19 Health

20 Albert Einstein College of Medicine and Montefiore

21 Medical Center

22

1 **Organizational Representatives - continued**

2

3 **National Society of Genetic Counselors**

4 Cate Walsh Vockley, MS, CGC

5 Senior Genetic Counselor Division of Medical

6 Genetics

7 UPMC Children's Hospital of Pittsburgh

8

9 **Society for Inherited Metabolic Disorders**

10 Georgianne Arnold, MD

11 Clinical Research Director, Division of Medical

12 Genetics

13 UPMC Children's Hospital of Pittsburgh

14

1		
2	C O N T E N T S	
3	WELCOME AND ROLL CALL	12
4	OPENING REMARKS AND COMMITTEE BUSINESS	16
5	APPROVAL OF MINUTES	32
6	OVERVIEW OF IMMEDIATELY ACTIONAL COMMITTEE	
7	PROCESS UPDATES	34
8	MUCOPOLYSACCHARIDOSIS TYPE II EVIDENCE-BASED	
9	REVIEW: PHASE 2 UPDATE	40
10	QUESTION AND COMMENT PERIOD	78
11	OVERVIEW OF IMMEDIATELY ACTIONABLE	108
12	COMMITTEE PROCESS UPDATES	108
13	MOTION TO REVISE/APPROVE UPDATED NOMINATION FORM	129
14	RECAP OF KEY ISSUES IDENTIFIED FOR FUTURE	
15	CONSIDERATION	133
16	BREAK	136
17	PUBLIC COMMENTS	140
18	HRSA NEWBORN SCREENING PORTFOLIO EVALUATION:	
19	CURRENT AND FUTURE NEEDS OF THE NEWBORN	
20	SCREENING SYSTEM	157
21	ADJOURNMENT	213
22		

1 P R O C E E D I N G S

2 **WELCOME AND ROLL CALL**

3 CYNTHIA POWELL: Good morning, everyone.
4 I'll now call to order the fourth meeting in 2021
5 of the Advisory Committee on Heritable Disorders
6 in Newborns and Children. I'm Dr. Cynthia Powell,
7 Committee chair. We're going to begin by taking
8 roll.

9 First our Committee Members.

10 Representing the Agency for Health Care
11 Research and Quality, Kamila Mistry.

12 KAMILA MISTRY: Here.

13 CYNTHIA POWELL: Mei Baker.

14 MEI BAKER: Here.

15 CYNTHIA POWELL: Jeff Brosco.

16 JEFF BROSCO: Here.

17 CYNTHIA POWELL: Kyle Brothers.

18 KYLE BROTHERS: Here.

19 CYNTHIA POWELL: Jane DeLuca.

20 JANE DELUCA: Here.

21 CYNTHIA POWELL: Representing the Centers
22 for Disease Control and Prevention, Carla

1 Cuthbert.

2 CARLA CUTHBERT: I'm here.

3 CYNTHIA POWELL: Representing the Food
4 and Drug Administration, Kellie Kelm.

5 KELLIE KELM: Here.

6 CYNTHIA POWELL: Representing HRSA today,
7 Joan Scott.

8 JOAN SCOTT: Here.

9 CYNTHIA POWELL: Shawn McCandless.

10 SHAWN MCCANDLESS: Here.

11 CYNTHIA POWELL: Representing National
12 Institutes of Health, Melissa Parisi.

13 MELISSA PARISI: Here.

14 CYNTHIA POWELL: And Cynthia Powell. I'm
15 here.

16 Annamarie Saarinen.

17 ANNAMARIE SAARINEN: Here.

18 CYNTHIA POWELL: And Scott Shone.

19 SCOTT SHONE: Here.

20 CYNTHIA POWELL: Next, our organizational
21 representatives. From the American Academy of
22 Family Physicians, Robert Ostrander.

1 ROBERT OSTRANDER: Here.

2 CYNTHIA POWELL: From the American
3 Academy of Pediatrics, Debra Freedenberg.

4 DEBRA FREEDENBERG: Here.

5 CYNTHIA POWELL: From the American
6 College of Clinical Genetics and Genomics,
7 Maximilian Muenke.

8 MAXIMILIAN MUENKE: Here.

9 CYNTHIA POWELL: From the American
10 College of Obstetricians and Gynecologists, Steven
11 Ralston.

12 (No audible response)

13 CYNTHIA POWELL: From the Association of
14 Maternal and Child Health Programs, Jed Miller.

15 JED MILLER: Here.

16 CYNTHIA POWELL: From the Association of
17 Public Health Laboratories, Susan Tanksley.

18 (No audible response)

19 CYNTHIA POWELL: From the Association of
20 State and Territorial Health Officials, Chris Kus.

21 (No audible response)

22 CYNTHIA POWELL: From the Association of

1 Women's Health, Obstetric, and Neonatal Nurses,
2 Shakira Henderson.

3 SHAKIRA HENDERSON: Present.

4 CYNTHIA POWELL: From the Child Neurology
5 Society, Margie Ream.

6 MARGIE REAM: Here.

7 CYNTHIA POWELL: From the Department of
8 Defense, Jacob Hogue.

9 JACOB HOGUE: Here.

10 CYNTHIA POWELL: From Genetic Alliance,
11 Natasha Bonhomme.

12 NATASHA BONHOMME: Here.

13 CYNTHIA POWELL: From the March of Dimes,
14 Siobhan Dolan.

15 SIOBHAN DOLAN: Here.

16 CYNTHIA POWELL: From the National
17 Society of Genetic Counselors, Cate Walsh Vockley.

18 CATE WALSH VOCKLEY: Here.

19 CYNTHIA POWELL: And from the Society of
20 Inherited Metabolic Disorders, Georgianne Arnold.

21 (No audible response)

22 CYNTHIA POWELL: Okay. Thank you.

1 I'll now turn things over to Mia
2 Morrison, our Designated Federal Official.

3

4 **OPENING REMARKS AND COMMITTEE BUSINESS**

5 MIA MORRISON: Thank you, Dr. Powell.

6 Next slide.

7 (Slide)

8 MIA MORRISON: I'll now go over the
9 standard reminders for the Committee. As a
10 Committee, we are advisory to the Secretary of
11 Health and Human Services and not the Congress.
12 For anyone associated with the Committee or due to
13 your membership on the Committee, if you receive
14 inquiries about the ACHDNC, please let Dr. Powell
15 and me know prior to committing to the interview
16 or presentation.

17 I also must remind Committee Members that
18 you must recuse yourself from participation in all
19 particular matters likely to affect the financial
20 interests of any organization with which you serve
21 as an officer, director, trustee, or general
22 partner unless you are also an employee of the

1 organization or unless you have received a waiver
2 from HHS authorizing you to participate.

3 When a vote is scheduled or an activity
4 is proposed and you have a question about a
5 potential conflict of interest, please notify me
6 immediately.

7 Next slide.

8 (Slide)

9 MIA MORRISON: According to FACA, all
10 Committee meetings are open to the public. If the
11 public wishes to participate in the discussion,
12 the procedures for doing so are published in the
13 Federal Register and/or announced at the opening
14 of the meeting.

15 For the November meeting, in the Federal
16 Register notice we said that there would be a
17 public comment period. Only with advance approval
18 of the chair or Designated Federal Official,
19 public participants may question Committee Members
20 or other presenters. Public participants may also
21 submit written statements. Also, public
22 participants should be advised that Committee

1 Members are given copies of all written statements
2 submitted by the public.

3 As a reminder, it is stated in the FRN as
4 well as the registration website that all written
5 public comments are part of the official meeting
6 record and are shared with Committee Members. Any
7 further public participation will be solely at the
8 discretion of the chair and the DFO.

9 And if there are no questions, I'll turn
10 it back over to Dr. Powell.

11 CYNTHIA POWELL: Thank you, Mia.

12 Before we begin today's agenda, I'd like
13 to acknowledge that this will be the last Advisory
14 Committee meeting for Dr. Mei Baker, Dr. Jeffrey
15 Brosco, and Annamarie Saarinen, whose terms will
16 end in December.

17 Dr. Baker, as director of an innovative
18 state newborn screening laboratory, the Committee
19 has benefited from your expertise and insight, as
20 has the Laboratory Standards and Procedures
21 Workgroup on which you have served.

22 Dr. Brosco, your expertise as a

1 pediatrician and bioethicist and thoughtful
2 commentaries, have enlightened many Committee
3 discussions. We also acknowledge and thank you
4 for your service as chair of the Long-Term Follow-
5 Up Workgroup and member of the Nomination and
6 Prioritization Workgroup.

7 Ms. Saarinen, as a parent advocate
8 helping to lead the effort to add critical
9 congenital heart disease newborn screening to the
10 RUSP prior to your service on the Committee, your
11 voice has been extremely important to the work of
12 this Committee in the follow-up and treatment
13 work.

14 Each of you has dedicated countless hours
15 to attend Committee meetings, contributed to
16 Committee products, participated on workgroups,
17 and applied your in-depth subject-matter expertise
18 to Committee deliberations and decisions.

19 On behalf of HRSA and the Advisory
20 Committee, we thank you for your outstanding
21 service and contributions to the Committee and the
22 field of newborn screening.

1 If we were in person, I would now present
2 you with a certificate and letter of appreciation
3 from the Acting Administrator of HRSA, Diana
4 Espinosa. Please look for these tokens of our
5 gratitude in the mail.

6 I'd now like to open the floor to
7 Annamarie Saarinen, Dr. Baker, and Dr. Brosco if
8 they would like to say a few words.

9 I think, Annamarie, you're first on the
10 list.

11 ANNAMARIE SAARINEN: That's unfair. I
12 wanted to go after somebody and gather my
13 thoughts.

14 CYNTHIA POWELL: Sorry.

15 (Laughter)

16 ANNAMARIE SAARINEN: Thank you so much,
17 Dr. Powell, for the kind acknowledgements.

18 I was telling some colleagues that this
19 was going to be our last meeting on this esteemed
20 Committee, and I had mixed emotions about it.

21 Because it has been truly one of the
22 greatest privileges, I think of my adult life to

1 have been appointed and had this chance to serve
2 and learn from the colleagues in this group, to
3 hear from and represent the patient and parent
4 community.

5 I am so grateful that HRSA and this
6 workgroup always considers and goes out of its way
7 actually to ensure it's represented as part of the
8 process of reviewing and potentially adding new
9 conditions to the panel that impacts every baby in
10 our country.

11 So, I just want to express my sincere
12 gratitude for the opportunity. And I think on
13 behalf of my family and my daughter, I hope the
14 journey we went on resulted in some additional
15 benefit for public health in this country. And I
16 know this will happen for others, moving forward.

17 So, I will watch and participate as much
18 as I can, moving forward because newborn screening
19 will always be very, very near and dear to my
20 heart. So, thanks for the opportunity to say a
21 few words.

22 CYNTHIA POWELL: Thank you.

1 Dr. Mei Baker.

2 MEI BAKER: Well, I knew that, right?

3 But I couldn't describe what's in my emotion now.

4 It was really such a great honor to serve. And I

5 think, Annamarie, as you mentioned even more

6 emotional. I just want to say again thank you for

7 all the kind words, and I'm really enjoying very

8 much. I think the effort is totally, totally

9 worth it. And also, I learned so much from my

10 fellow Members.

11 So, thank you to everybody. I'm not done

12 with NBS so, I will see you all later. Thank you.

13 CYNTHIA POWELL: Thank you.

14 Dr. Jeff Brosco.

15 JEFF BROSCO: Well, as Annamarie and Mei

16 said, it's a wonderful honor to be part of this

17 group and a truly awesome responsibility to make

18 the decisions that we do as a group.

19 And the thing that I think is the most

20 impressive about newborn screening is that, amidst

21 all the madness in the world, we get to be

22 rational, evidence-based, seeking the best

1 interests of everyone. It's a transparent
2 process. It's really extraordinary. It's a
3 unique part of medicine and health care and
4 science in the United States.

5 And to be with such incredibly
6 intelligent and dedicated people has been just
7 wonderful. I think that we all feel that way.

8 Not only is it rare for us to be so
9 logical and evidence-based, but it's also about
10 building equity. It's a universal program and
11 reduces disparity in our community.

12 So, whenever someone grouses about how
13 government can't do anything, I say, "Well, what
14 do you know about newborn screening?" We just
15 talk a little bit about what happens in each
16 state. And they say, "Oh, I didn't know we could
17 do that." I say, "Yeah, we do that."

18 The few years that I had on the Committee
19 I've really enjoyed. And one thing I've tried to
20 impress is the public health perspective. The
21 idea that while -- you guys are probably tired of
22 my saying this. But in Florida right now, I put

1 on my Title 5 hat in MCHB, and I think about the
2 400,000 children with developmental and behavioral
3 disorders, only half of whom get any treatment.
4 So, 200,000 kids right now are not getting any
5 treatment.

6 And you could extend this to newborn
7 screening, right, because we know that many of the
8 children identified by newborn screening don't get
9 the high-quality treatment or follow-up that they
10 need.

11 So, as we move forward, as you guys move
12 forward as a Committee, I hope you keep that
13 public health perspective. I always think about
14 how we are making sure that the work we do in
15 newborn screening gets extended to everyone for as
16 long as possible.

17 Thank you, guys. It's been a lot of fun,
18 and I'm really going to miss the tuna melts and
19 sweet potato fries from the HRSA cafeteria. It's
20 the hardest part of this process.

21 (Laughter)

22 CYNTHIA POWELL: Thank you.

1 Once again, thank you all for your
2 service. May each of you continue then to have a
3 lasting impact on newborns and their families
4 across the nation.

5 Now we can go to the next slide.

6 (Slide)

7 CYNTHIA POWELL: As you may recall, in
8 July 2021, HRSA received the nomination package
9 for Krabbe Disease, or globoid cell
10 leukodystrophy. Krabbe disease is both a
11 leukodystrophy and a lysosomal storage disorder
12 and was first nominated to the Advisory Committee
13 in 2007. It went through evidence-based review.

14 However, in 2009, the Committee voted to
15 not recommend the addition to the recommended
16 uniform screening panel. The Nomination and
17 Prioritization Workgroup is reviewing the
18 nomination package for Krabbe disease and will
19 keep both the nominators and the rest of the
20 Committee informed of next steps.

21 I would also like to inform the Committee
22 that on October 3rd, the National CMV Foundation

1 submitted a RUSP nomination package for Congenital
2 Cytomegalovirus, or cCMV. The National CMV
3 Foundation initially submitted a RUSP nomination
4 package for cCMV in March of 2019.

5 At that time, HRSA conducted a review for
6 completeness and asked the nominators to provide
7 additional information missing from the package.
8 HRSA is conducting the review for completeness on
9 the resubmitted packets and will continue to
10 update the nominators and Committee of next steps.

11 Today the Committee will vote on whether
12 or not to approve proposed updates to the
13 Committee's nomination, evidence-based review, and
14 decision-making process. The proposed changes are
15 intended to strengthen the Committee processes for
16 reviewing conditions but will not increase burden
17 for nominators or advocates.

18 As a reminder for groups that may be the
19 process of developing condition nomination
20 packages, if approved by the Committee these
21 processes will not go into effect until calendar
22 year 2022.

1 If your organization is working on a
2 condition nomination package and you are planning
3 to submit in early 2022, please contact the
4 Committee's Designated Federal Official, Mia
5 Morrison, who can provide you with additional
6 guidance. Mia and I are available to provide
7 technical assistance to nominators.

8 Next slide.

9 (Slide)

10 CYNTHIA POWELL: The Education and
11 Training, Follow-Up in Treatments, and Laboratory
12 Standards and Procedures Workgroups will convene
13 today from 3:00 to 4:30 p.m. Eastern time. The
14 Zoom links for the workgroups will be posted on
15 the workgroup's tab of the ACHDMC meeting
16 registration website. Please note this is not the
17 same as the Committee's website.

18 I will also have this web address
19 available at the end of the meeting today. We
20 will provide instructions for accessing the Zoom
21 links for the workgroup meetings again before we
22 adjourn for the day.

1 Next slide.

2 (Slide)

3 CYNTHIA POWELL: I have asked the
4 workgroups to continue the conversation on
5 challenges facing the newborn screening workforce.
6 As you recall, during the last two meetings we
7 heard from critical members representing those who
8 are part of the newborn screening system both on
9 the laboratory side, the short-term follow-up, as
10 well those with long-term follow-up.

11 I'd like to continue with those
12 discussions, and so I've asked the workgroups to
13 continue the conversation on challenges facing the
14 newborn screening workforce strategies to address
15 workforce-related gaps and assess potential ways
16 the Committee could support meeting current and
17 future needs of the newborn screening workforce.

18 I've assigned the following questions to
19 the workgroups. For all workgroups, please
20 discuss the following questions:

21 Should the Committee consider the
22 availability of follow-up experts (clinical,

1 follow-up, public health, laboratory side, etc.)
2 when reviewing a new condition nominated to the
3 RUSP? How could that information be collected?
4 And what role could the Committee play in calling
5 attention to identified shortages of follow-up
6 experts?

7 Next slide.

8 (Slide)

9 CYNTHIA POWELL: Specifically for the
10 Education and Training Workgroup, where are the
11 major gaps in newborn screening workforce
12 education? Do Education and Training Workgroup
13 members have additional recommendations on
14 resources or training opportunities that support
15 addressing shortages in the newborn screening
16 workforce? How could those resources be expanded
17 to further strengthen the newborn screening
18 system?

19 Next slide.

20 (Slide)

21 CYNTHIA POWELL: For the Follow-Up and
22 Treatment Workgroup, what are the key workforce-

1 related challenges impacting access to short- and
2 long-term follow-up, including treatment for
3 individuals and families identified with
4 conditions on the RUSP? Are there examples of
5 workforce innovations that have supported access
6 to short- and long-term follow-up care?

7 Next slide.

8 (Slide)

9 CYNTHIA POWELL: For the Laboratory
10 Standards and Procedures Workgroup, at the August
11 2021 ACHDNC meeting, the Association of Public
12 Health Laboratories outlined challenges facing the
13 NBS laboratory and follow-up workforce and
14 resources that have been used to address those
15 challenges.

16 Are there other resources that have been
17 used at the state or national level to address
18 laboratory workforce challenges? How could those
19 resources be expanded to further strengthen the
20 newborn screening laboratory workforce?

21 And also, the workgroups are certainly
22 free to discuss other things that they would like,

1 and we're happy to once again engage the
2 workgroups for discussion. We do appreciate all
3 members of the workgroups and the input that they
4 have in providing feedback to help.

5 Next slide.

6 (Slide)

7 CYNTHIA POWELL: Thank you to the
8 Committee and organizational representatives for
9 reviewing the August 2021 meeting summary. We
10 received one correction that is not reflected in
11 the version that Committee Members received from
12 the briefing book.

13 On page 21 in the third bullet of the
14 Committee Discussions section that states, "A
15 Committee Member requested that Dr. Shallon
16 addressed the interface between lab work and
17 follow-up," the correction is to strike "any
18 number" and replace with "organizational
19 representative."

20 Are there any other corrections or additions
21 before the Committee votes?

22 (No audible response)

1

APPROVAL OF MINUTES

2

3

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

CYNTHIA POWELL: Hearing none, do I have
a motion to approve the Minutes for the August
2021 ACHDNC meeting?

UNIDENTIFIED FEMALE VOICE: So, moved.

CYNTHIA POWELL: Is there a second?

UNIDENTIFIED FEMALE VOICE: Second.

CYNTHIA POWELL: All right. I will now
call each Committee Member's name. Please state
whether you approve or disapprove the minutes or
if you're abstaining.

Mei Baker.

MEI BAKER: Approve.

CYNTHIA POWELL: Jeff Brosco.

JEFF BROSCO: Approve.

CYNTHIA POWELL: Kyle Brothers.

KYLE BROTHERS: Approve.

CYNTHIA POWELL: Carla Cuthbert.

CARLA CUTHBERT: Approve.

CYNTHIA POWELL: Jane DeLuca.

JANE DELUCA: Approve.

CYNTHIA POWELL: Kellie Kelm.

Kellie KELM: Approve.

1 CYNTHIA POWELL: Shawn McCandless.

2 SHAWN MCCANDLESS: Approve.

3 CYNTHIA POWELL: Kamila Mistry.

4 KAMILA MISTRY: Approve.

5 CYNTHIA POWELL: Melissa Parisi.

6 MELISSA PARISI: Approve.

7 CYNTHIA POWELL: Cynthia Powell; I

8 approve.

9 CYNTHIA POWELL: Annamarie Saarinen.

10 ANNAMARIE SAARINEN: Approve.

11 CYNTHIA POWELL: Scott Shone.

12 SCOTT SHONE: Approve.

13 CYNTHIA POWELL: Joan Scott.

14 JOAN SCOTT: Approve.

15 So, the minutes are approved. Thank you.

16 Next slide.

17 (Slide)

18 CYNTHIA POWELL: So, here's the agenda
19 for the November 2021 meeting. The Committee will
20 meet today from 10:00 a.m. to 2:00 p.m. Eastern
21 time. First on the agenda for today, November
22 9th, Dr. Alex Kemper and Dr. Lisa Prosser will

1 provide the Phase 2 update on the evidence-based
2 review for mucopolysaccharidosis type II.

3 CYNTHIA POWELL: Next, I will review the
4 Immediately Actionable Committee updates to the
5 condition nomination form, evidence-based review,
6 and decision matrix guidance. Afterward, the
7 Committee is scheduled to vote on these updates.

8 Following the vote, I will briefly recap
9 several of the key issues identified for future
10 consideration through the Committee's review of
11 its processes.

12 Next slide.

13 (Slide)

14 CYNTHIA POWELL: Please note that there
15 will be a slight schedule change and we will break
16 from 12:15 to 1:00 p.m. Eastern time. After
17 returning from the break, we will receive public
18 comments from three individuals. They are Zhanzhi
19 (Mike) Hu, MPS II; Niki Armstrong, who will
20 provide an update on newborn screening for
21 Duchenne muscular dystrophy; and Dylan Simon on
22 behalf of the EveryLife Foundation for Rare

1 Diseases.

2 During our last session of the day, Dr.
3 Melissa Rasper, from RTI International, will
4 provide an overview of the HRSA newborn screening
5 portfolio evaluation.

6 We will end the first day of the meeting
7 at 2:00 p.m. Eastern time. As I mentioned
8 earlier, the Education and Training, Follow-Up and
9 Treatment, and Laboratory Standards and Procedures
10 Workgroups will meet from 3:00 p.m. to 4:30 p.m.
11 Eastern time.

12 Next slide.

13 (Slide)

14 CYNTHIA POWELL: The Committee will
15 reconvene tomorrow, Wednesday, November 10, from
16 10:00 a.m. to 1:15 p.m. Eastern time. We'll start
17 with the Phase 1 update on the evidence-based
18 review for guanidinoacetate methyltransferase
19 deficiency, or GAMT deficiency.

20 Afterward, our workgroup chairs will
21 update the Committee on workgroup activities, and
22 discussions, followed by a short break.

1 And our last session of the meeting will
2 be on newborn screening pilot studies with
3 presentations by Dr. Melissa Wasserstein, from
4 ScreenPlus in New York; and Dr. Don Bailey, from
5 Early Check in North Carolina.

6 I'll now turn things back over to Mia.

7 MIA MORRISON: Thank you.

8 Next slide.

9 (Slide)

10 MIA MORRISON: Now I'll go over some
11 guidance for participating in today's webinar.
12 For members of the public, audio will come through
13 your computer speakers. So please make sure to
14 have your speakers turned on. If you can't access
15 the audio through your computer, you may dial into
16 the meeting using the telephone number in the
17 email with your Zoom link.

18 For Committee Members, audio will come
19 from your computer speakers, and you'll be able to
20 speak using your computer microphone. If you
21 cannot access the audio and microphone through
22 your computer, you may dial into the meeting using

1 the telephone number in the email with your user-
2 specific Zoom link.

3 Please speak clearly and remember to
4 state your first and last names in order to ensure
5 proper recording for the Committee transcript and
6 minutes.

7 The chair will call on Committee Members
8 and then organizational representatives.

9 In order to better facilitate the
10 discussions, Committee Members and org reps should
11 use the raise-hand feature if you would like to
12 make comments or ask questions. Simply click on
13 the "participant" icon and choose "raise hand."
14 Please note that, depending on your device or
15 operating system, the raise-hand feature may be in
16 a different location.

17 To trouble-shoot, the webinar
18 instructions page in your brief can help.

19 Next slide.

20 (Slide)

21 MIA MORRISON: To enable closed
22 captioning, please select the "closed captioning"

1 icon from your Zoom taskbar. From that menu, then
2 select "Show subtitles."

3 Thank you.

4 Dr. Powell.

5 CYNTHIA POWELL: Thank you, Mia.

6 At the May 2021 meeting, the Committee
7 voted to move mucopolysaccharidosis type II, or
8 MPS II, to full evidence-based review. And this
9 was assigned to the external Evidence-Based Review
10 Group, or ERG. From May, the Committee has nine
11 months to complete the evidence-based review and
12 vote on whether or not to recommend MPS II for
13 addition to the Recommended Uniform Screening
14 Panel. The Committee received the Phase 1 update
15 for the evidence-based review in August.

16 Today, Dr. Alex Kemper, ERG Lead and Dr.
17 Lisa Prosser, who is a member of the ERG, will
18 provide the Phase 2 update.

19 Committee Members, please note that in
20 February 2022, the Committee is scheduled to vote
21 on whether or not to recommend MPS II for
22 inclusion in the RUSP.

1 Before turning it over to Dr. Kemper and
2 Dr. Prosser, I would like to introduce them. Dr.
3 Kemper is the Division Chief of Primary Care
4 Pediatrics at Nationwide Children's Hospital, and
5 Professor of Pediatrics at the Ohio State
6 University College of Medicine.

7 He completed his pediatric residency
8 training at Duke University, followed by combined
9 fellowship training in health services research
10 and medical informatics, with residency training
11 in preventive medicine at the University of North
12 Carolina.

13 Dr. Kemper's research focuses on the
14 delivery of preventive care services, including
15 newborn screening. Since 2013, Dr. Kemper has
16 also served as Deputy Editor, Pediatrics.

17 Lisa Prosser, Ph.D., is the Marilyn
18 Fisher Blanch Research Professor of Pediatrics and
19 Director of the Susan B. Meister Child Health
20 Evaluation and Research Center at the University
21 of Michigan. Dr. Prosser also holds an adjunct
22 faculty appointment at the Harvard School of

1 Public Health.

2 Her research focuses on measuring the
3 value of childhood health interventions using
4 methods of decision sciences and economics. Dr.
5 Prosser's research evaluating the cost-
6 effectiveness of vaccination programs has been
7 used in setting national vaccine policy for
8 children and adults.

9 Dr. Kemper, I will turn it over to you.

10 **MUCOPOLYSACCHARIDOSIS TYPE II EVIDENCE-BASED**
11 **REVIEW: PHASE 2 UPDATE**

12 ALEX KEMPER: Thank you very much, Dr.
13 Powell.

14 And before I get into this presentation,
15 I just want to give an additional thanks to
16 Annamarie, Mei, and Jeffrey. Their work with us
17 has really been invaluable. They helped improve
18 the process and the rigor with which we do our
19 work. So, I just wanted to publicly thank them
20 before they rotate off.

21 So as Dr. Powell mentioned, this is the
22 interim update of our review. And in February we
23 will be bringing things to conclusion for the

1 Advisory Committee Group.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: So, I'm just going to leave
5 this up for a second to thank members of our team.
6 I'm incredibly lucky to work with smart and very
7 dedicated individuals. I'd also like to thank
8 Jane DeLuca and Shawn McCandless for serving as
9 the Committee liaisons and helping prepare this
10 presentation, making sure that we ask the
11 difficult questions that need to be asked as we do
12 our work.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: As with all of the projects
16 in the review process, we assembled technical
17 expert panel members. This is a list here of the
18 individuals that have been helping us through.
19 Again, this evidence review work wouldn't be
20 possible without having these individuals help put
21 the findings into perspective.

22 Next slide, please.

1 (Slide)

2 ALEX KEMPER: So again, the objective
3 today is to update things with where we are and
4 highlight some key findings. I'm going to go back
5 and forth between some things that we found in the
6 published literature, the unpublished literature
7 from talking to states that are doing newborn
8 screening.

9 I'm also, as I talk through, I'm going to
10 identify gaps in the body of evidence that's out
11 there and be proposing solutions. At that point
12 I'm going to bring in my colleague and friend, Dr.
13 Lisa Prosser, who's going to talk about the
14 implications of this for the modellings that we do
15 to estimate the impact in newborn screening on the
16 population of newborns who are being screened.

17 And then, of course, we're going to end
18 with next steps as we move toward the February
19 vote.

20 Next slide, please.

21 (Slide)

22 ALEX KEMPER: So, I'm just going to touch

1 base and so on, and remind people of things that
2 we talked about at the last meeting, so MPS II is
3 an X-linked inborn error metabolism caused by the
4 deficiency of this specific enzyme that leads to
5 the accumulation of glycosaminoglycans or GAGs, as
6 you'll be hearing me talk about.

7 There are many mutations in the IDS gene,
8 including many private mutations. Based on
9 published reports that clinically detect a
10 prevalence and, as you all know, once newborn
11 screening begins there's often a discrepancy
12 between what has been recognized and what's found
13 through newborn screening.

14 But the clinically detected prevalence is
15 this wide range of between 0.2 and 2.5 per 100,000
16 live births, depending on the population you look
17 at, and the particular study in terms of the way
18 that it's done.

19 As we also mentioned before, there are
20 different ways to break up the phenotype of MPS
21 II. The one that I am going to be using
22 preferentially today is attenuated and severe. We

1 talked about the differences between that and the
2 neuronopathic/non-neuronopathic classifications
3 before. But simply because so many publications
4 use an "attenuated" and "severe," I'm going to
5 stick with that.

6 About two-thirds of cases, based on
7 clinical and detected prevalence, fall into a
8 severe category. Again, I want to remind everyone
9 based on what was said at the last presentation
10 that "attenuated" does not mean "benign." It is
11 just that it doesn't have the same degree of CNS
12 involvement.

13 And then some who have screened positive
14 will have pseudodeficiency and I'll talk about
15 that again later.

16 Next slide, please.

17 (Slide)

18 ALEX KEMPER: The severe form is
19 associated with progressive multi-organ and joint
20 involvement, cognitive impairment, and even
21 regression. Diagnosis is typically in early
22 childhood for clinically detected cases between 18

1 and 36 months with mortality in the teens or 20s
2 in the absence of treatment, which consists of
3 enzyme replacement therapy.

4 The attenuated form has regular
5 diagnoses, which could be a little bit later
6 again. Some of this gets into classification, but
7 it's typically described as a little bit later in
8 childhood. Again, it has the same type of
9 progressive multi-organ involvement, but no CNS
10 impairment. And some individuals would be
11 attenuated when these come into adulthood again.
12 You know, this is a spectrum condition.

13 Next slide, please.

14 (Slide).

15 ALEX KEMPER: The phenotype is not
16 typically predictable at the time of diagnosis
17 unless you have peculiar mutations. And the
18 phenotypic prediction is not typically possible
19 for the private mutations, and I talked about that
20 there are a large number of those.

21 Screening is based on MS/MS or
22 fluorometry, and diagnosis is based on confirmed

1 low enzyme activity and also measuring GAGs levels
2 to rule out pseudo-deficiencies. Those are
3 individuals who look like they have low enzyme
4 activities, but then had elevated GAGs. Genotype
5 could be helpful in, of course, ruling out other
6 related conditions like multiple sulfatases.

7 There is targeted treatment available for
8 MPS II. There are enzyme replacement therapies,
9 which is the predominant form of the treatments
10 that is used. As I talked about last time,
11 there's also hematopoietic stem cell
12 transplantation. The challenge is with the enzyme
13 replacement therapy not getting into the CNS for
14 individuals with severe neuronopathic form of MPS
15 II.

16 Next slide.

17 (Slide)

18 ALEX KEMPER: Here we go. So, this is a
19 photo-diagram of the articles that we have
20 identified through the published literature. And
21 the bottom line is there are a little over 130
22 studies that we are evaluating as part of this

1 evidence review.

2 Next slide.

3 (Slide)

4 ALEX KEMPER: I'm just going to touch
5 base on some things I think are particularly
6 relevant to the Committee's understanding as we
7 move forward toward the February vote. And a lot
8 of what I'm going to point out today comes from
9 the Hunter Outcome Survey, which is a registry of
10 individuals with MPS II or Hunter's Syndrome.

11 The first thing that I just want to
12 illustrate with this slide is these compares
13 treated to untreated individuals by treating that
14 we're referring to, enzyme replacement therapy.

15 And the few things that I want to point
16 out, one, is that there is a relatively large
17 number of individuals in the Hunter Outcome
18 Survey, which has been very helpful in terms of
19 understanding the impact of therapy.

20 And, you know, the key take-home from
21 this slide as well is that enzyme replacement

22

1 therapy is an effective therapy. We know that.
2 And still one of the questions that's going to
3 come up as I go through this particular
4 presentation is the benefits of earlier pre-
5 symptomatic treatment versus clinically detected,
6 you know, when cases are clinically detected.

7 Next slide, please.

8 (Slide).

9 ALEX KEMPER: This slide also comes from
10 the registry, and it breaks systems affected by
11 MPS II and age of onset, which can be variable.
12 So, you can just see that there's a sort of timing
13 of one end, the symptoms associated with early
14 disease involvement which MPS II went into
15 impacts. But again, this slide doesn't get to the
16 benefits of early treatment, which we're going to
17 move into in a moment.

18 Next slide, please.

19 (Slide).

20 ALEX KEMPER: So, when you look across
21 the studies, there is a wide range of treatment
22 outcomes that are described. And the ones that

1 are bolded are the key ones that we found recorded
2 in multiple studies. So, things like respiratory
3 failure, cardiac involvement, liver volume
4 involvement, development, ability to ambulate, and
5 overall enduring physical features and urinary GAG
6 levels.

7 The ones that aren't bolded are things
8 that we found reported and things that we were
9 particularly interested in looking at but are
10 harder to summarize across studies.

11 Next slide.

12 (Slide)

13 ALEX KEMPER: So, this slide hearkens
14 back to what I was talking about before in terms
15 of the risk of mortality and how it's altered by
16 enzyme replacement therapy. So, you can see that
17 in those individuals who receive enzyme
18 replacement therapy, the risk of death over time
19 is lower.

20 Again, this doesn't specifically get to
21 the benefits of pre-symptomatic therapy, but again
22 underscores the benefits of enzyme replacement

1 therapy for individuals with MPS II.

2 Next slide, please.

3 (Slide).

4 ALEX KEMPER: So now I'm going to dig
5 into some studies that can help us. And some of
6 the key findings come from these reports of
7 siblings with MPS II.

8 And the first one that I want to
9 highlight is this study. It's a three-year
10 follow-up with twins, one of whom had MPS II and
11 was treated pre-symptomatically. The other twin
12 did not have MPS II and went right to
13 identification as if there was an older sibling.
14 It was actually a girl who had MPS II leading to
15 the early identification of one of the two twins.
16 I hope that makes sense.

17 For the twin who had MPS II, enzyme
18 replacement therapy was done at three months of
19 age. By the time of the follow-up, there was
20 basically normal range of movement for most of the
21 joints. There were normal cardiac valves. There
22 was normal facial appearance. The IQs were

1 similar, 98 for the individual with MPS II, 118
2 with his twin, you know, with those fine IQ's.
3 The twin with MPS II had mild deformity of one
4 vertebra.

5 And then the older sister, the one who
6 was the index case that led to the identification
7 in these twins, at age 7.5 has a reported IQ of
8 24, which had decreased over the three years, and
9 a wide variety of other findings consistent with
10 MPS II.

11 So, you can begin to see how this can be
12 an insight into the effectiveness of early
13 intervention. But again, it's essentially a case
14 report.

15 Next slide.

16 (Slide)

17 ALEX KEMPER: So, we did find an abstract
18 that actually followed these twins out to nine
19 years of age. And still at the time, no evidence
20 of MPS II in the affected individual who was
21 receiving enzyme replacement therapy. There was
22 reported minor restriction of movement at the hip.

1 And the IQ has essentially remained stable from
2 before.

3 Next slide, please.

4 (Slide).

5 ALEX KEMPER: I want to dive into another
6 study that recently came out, which is evaluation
7 of the long-term treatment effects of IV enzyme
8 replacement therapy using statistical modeling.
9 And as these things, typically happen, this paper
10 came out a few days before our slides were due for
11 this presentation. So, we did plan to follow up
12 with the investigator to get some clarity on the
13 particular study.

14 But again, this is an analysis from
15 Hunter Outcomes Study. It included males with MPS
16 II who had received enzyme replacement therapy for
17 five years or more. There had to be data from at
18 least two time points, including one after enzyme
19 replacement therapy started. And these
20 individuals hadn't received hematopoietic stem
21 cell transplants or any researched therapy for the
22 MPS II.

1 They categorized individuals by the age
2 at which enzyme replacement therapy began to under
3 18 months, between 18 months up to five years, and
4 then five or more years. And then they looked out
5 from eight years.

6 And they had a wide variety of outcome
7 measures: urine GAG levels; left ventricular mass
8 index; whether or not the liver was palpable; FVC
9 and FEV₁, these are pulmonary function measures.
10 And for this they included children who were older
11 than five years of age because you have to be a
12 certain age to get a reliable measure of FVC and
13 FEV₁. And there is no recorded cognitive
14 impairment.

15 Similarly, this study also reported the
16 six-minute walk test for subjects who were at
17 least five years of age and had no cognitive
18 impairment at any time. So again, this is a
19 secondary analysis of the Hunter Outcomes Study,
20 looking at outcomes for individuals who meet these
21 criteria.

22 Next slide, please.

1 (Slide).

2 ALEX KEMPER: So, the overall study
3 population if we do 481 subjects with a symptom
4 onset at a median of 1.5 years. And the median
5 age at which enzyme replacement therapy started
6 was five years. And about two-thirds of the
7 subjects were reported by parents to have
8 cognitive impairment at some time. Again, this
9 mirrors what you would expect in terms of the
10 distribution of the attenuated versus severe MPS
11 II.

12 Next slide.

13 (Slide)

14 ALEX KEMPER: So, I want to summarize the
15 things that I found out. I talked about the
16 overall sample size, but not every individual had
17 all of the measures. So, you're going to see that
18 there's a big drop in some of the sample sizes.

19 So, you might expect the urine GAG levels
20 and whether or not there was any palpable liver
21 decrease over time for all subjects. And it
22 didn't really matter when the enzyme replacement

1 therapy had begun.

2 For FVC and FEV₁, the pulmonary function
3 decreased slightly after five years with the age
4 group, without cognitive impairment. Remember
5 those are the only individuals that they put in
6 this particular analysis.

7 And quoting from the paper, which trends
8 similar across all ages at treatments start. Then
9 they also reported for the left ventricular mass
10 index. According to the paper, it remained stable
11 for up to eight years after enzyme replacement
12 therapy in all age groups, with decreases of about
13 1 gram per meters squared at eight years after
14 ERT, beginning with baseline across all ages at
15 treatment start.

16 So again, the left ventricular mass index
17 did not seem to vary based on when enzyme
18 replacement therapy started.

19 Next slide.

20 (Slide).

21 ALEX KEMPER: But what I really want to
22 do is spend some time digging into the findings

1 related to the six-minute walk test. And these
2 figures are taken directly from the paper. I do
3 want to take some time just to explain what was in
4 this.

5 So, first of all, the sample size was
6 just 76 individuals with MPS II who were not
7 reported to have cognitive impairment. The values
8 weren't in terms of how far they could walk in
9 this kind of long test, weren't evaluated until
10 subjects were five years of age if the enzyme
11 replacement began before five years of age. And
12 just trying to standardize when the assessment
13 would begin.

14 And the study provides point estimates
15 for the mean walking distance at eight years after
16 enzyme replacement therapy start. And that was
17 greater for patients 1 year to 18 months after the
18 enzyme replacement therapy was begun, with
19 substantial overlap between the confidence
20 intervals.

21 So again, I appreciate that what I said
22 was just confusing. So, what I want to do is have

1 everyone take a look at the figure. First of all,
2 do you see in the light gray in the background?
3 Those are the actual points from the individuals
4 over time.

5 You can see that they're a little bit
6 messy. And part of this is because these were not
7 prospective data that were collected at fixed
8 intervals for particular subjects at certain ages.
9 Instead, they were just using the data that they
10 had.

11 The red is for individuals who began
12 enzyme replacement therapy between 0 and 18
13 months. The blue line is between 18 and up to 5
14 years. And the black line is when enzyme
15 replacement therapy started after five years. And
16 then these sampled lines, which I would encourage
17 you, you can sort of block them out as you look at
18 these lines, represent the confidence intervals.

19 I guess the only reason that we would pay
20 attention to these confidence intervals is just
21 showing that there is substantial overlap, which
22 one would expect because of the small sample size.

1 But if you look out to eight years after
2 enzyme replacement therapy started, even with this
3 -- and sort of putting aside the overlap,
4 individuals who began at 0 to 18 months had a
5 12.6-meter further walk test than those who began
6 18 months up to 5 years. And they had a pretty
7 frequent 1-meter further six-month walk test than
8 those who began enzyme replacement therapy after
9 five years of age.

10 So again, substantial overlap in the
11 confidence intervals, but the individuals who
12 began enzyme replacement therapy between 0 and 18
13 months did have greater distance on the six-minute
14 walk test.

15 Next slide, please.

16 (Slide)

17 ALEX KEMPER: It's important that we
18 really keep these findings in perspective, given
19 the limitations. So first of all, this doesn't
20 show the statistically significant difference by
21 the age at enzyme replacement therapy. Everyone
22 just saw the wide confidence intervals.

1 And again, given the sample size and the
2 fact that the data were collected not prospective,
3 but as secondary analysis of the data set, there's
4 really limited ability to conduct statistical
5 inference testing, and those are standardized
6 things across.

7 I talked about the variability in timing
8 and the number of measures per subject. There's
9 lots of incomplete data. There's a risk of
10 confounding.

11 The other thing, and this is one of the
12 things that we need to follow up with the
13 investigators, is that in the report they describe
14 this as a pseudo-process general analysis. So,
15 what do I mean by that?

16 They were looking at each time point
17 individually, not taking into account the trends
18 that might have been happening at the individual
19 level in terms of both falling or increasing six-
20 minute walk tests. So, it's just really looking
21 at each time point on its own.

22 The other thing, and I should have

1 pointed this out on the previous slide. Actually,
2 maybe we can just go back. I think it's easier to
3 point this out.

4 (Slide)

5 ALEX KEMPER: It is that the X axis is
6 not the age of the child, but it's the time from
7 enzyme replacement therapy start. So, individuals
8 who started at a younger age are younger than
9 individuals who started at an older age on this
10 kind of thing here. So again, that's not the age
11 of the child, but it's the time that enzyme
12 replacement therapy starts.

13 Okay. Now you can go back again.

14 (Slide)

15 ALEX KEMPER: Sorry to make people dizzy
16 by jumping around like that.

17 So again, the outcomes, like I just said,
18 were based on the times since enzyme replacement
19 therapy initiation, not absolute age.

20 And then finally, there no information in
21 the study about what led to the diagnosis. And
22 that could have important impact on the degree of

1 involvement at that time of the enzyme replacement
2 therapy was started.

3 So, this is an important study, but there
4 are a lot of limitations. It needs to be
5 understood in the context of the available data.

6 So, I don't want to minimize the
7 importance of having registries and doing these
8 kinds of analyses. But the findings have to be
9 interpreted with some degree of caution.

10 Next slide.

11 (Slide)

12 ALEX KEMPER: So, let's go back again to
13 the gray literature. So again, I'm wanting to
14 point out three siblings who were diagnosed with
15 different agents six years, two-and-a-half years,
16 and then prenatally. In this report, the age of
17 treatment was a little bit unclear in that the
18 six-year-old's enzyme replacement therapy was
19 listed for four years, not at three years of age,
20 whereas the other ones had enzyme replacement
21 therapy between two-and-a-half years and four
22 months.

1 You can see their ages right on the
2 bottom. And again, you can see differences in
3 outcomes. But again, the ages are all different.
4 So, it makes predictions for the youngest one and
5 where these can end up a little bit challenging.

6 But again, I really think that it's these
7 kinds of studies that can give the most insight
8 into the impact of pre-symptomatic enzyme
9 replacement therapy.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: So, let's move back again
13 and talk about some of the laboratory stuff. As I
14 mentioned before, measuring GAGs are the way to
15 rule out pseudodeficiency.

16 But Michael Gelb at the University of
17 Washington shared with us a report that's been
18 submitted for peer review that, without taking
19 names of the specific laboratory issues, it does
20 seem that the GAG markers are a valid and reliable
21 way to ensure -- or to separate out
22 pseudodeficiency versus MPS II.

1 Next slide.

2 (Slide)

3 ALEX KEMPER: One of the things we
4 haven't talked about and we're looking at the gray
5 literature for our novel therapies, including --
6 it's always hard when you have these like new
7 therapy drug names that I don't want to slaughter.

8 So, I'm just going to say for the first
9 bullet point that it's an enzyme replacement
10 therapy that uses a transparent receptor to cross
11 the blood-brain barrier that's been approved in
12 Japan, with trials that are ongoing in the United
13 States.

14 There's another similar product that has
15 clinical trials just underway, and it has been
16 granted FDA fast-track designation, which means it
17 will be sped through the review process once the
18 data are available.

19 And then the last one here is a gene
20 therapy that's just now beginning investigation.
21 It's going to be delivered directly into the
22 cisterns. And there's also work that's going on

1 around intrathecal idursulfase.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: Let's go back again and
5 talk about what we've learned from the newborn
6 screening programs. We talked a little bit about
7 Illinois. What I can tell you is that from
8 December 2017 through May of 2021, they screened
9 about 475,000 newborns, with 63 who screened
10 positive. And you can see a breakdown there in
11 terms of severe, affected, and variants of unknown
12 significance.

13 One of the challenges in terms of
14 interpreting the data that's collected with the
15 newborn screening program is that sometimes LIMS
16 system classifies -- the way they record a
17 classification isn't the ones that we typically
18 use around the conditions. Some of that has to do
19 with, you know, how the LIMS systems are updated
20 and that kind of thing.

21 This is why you see the term here,
22 "classical," which is not something that is

1 typically used when talking about MPS II. You can
2 see that they have identified 30 pseudodeficiency,
3 9 normals, 1 lost to follow-up, 1 parent who
4 refused further testing, and then another 6 who
5 are in the process of being evaluated.

6 Next slide.

7 (Slide)

8 ALEX KEMPER: In terms of Missouri's
9 trending data from November 2018 through June of
10 2021, where they screened just about 200,000
11 newborns with 28 screen positives. Three of them
12 were severe. Nine variants of unknown
13 significance.

14 Again, I thought these were about how it
15 was going to be challenging to figure out if an
16 individual is going to turn out to have severe or
17 attenuated or neuronopathic or non-neuronopathic
18 disorder.

19 Three cases of pseudodeficiency, seven
20 normals, one lost to follow-up, one refusing
21 testing, and four who are still in the process of
22 being evaluated.

1 Next slide, please.

2 (Slide)

3 ALEX KEMPER: So, in terms of the
4 screening results, the first I had been referral
5 rates to the numbers that you could on to get by
6 massive testing. You can see it's between 13 and
7 14 per 100,000 births. And then in terms of cases
8 identified, 1.7 to 1.5 per 100,000 live births,
9 which again is what you would expect based on our
10 knowledge of the epidemiology going into this
11 review.

12 Next slide, please.

13 (Slide)

14 ALEX KEMPER: So, I am going to switch
15 gears a little bit and bring in Dr. Prosser --
16 hopefully, she can come in and be promoted to
17 presenter -- to talk about how we use the findings
18 that I've shared with you before to do the kind of
19 population modeling that is a component of our
20 evidence review.

21 So, Lisa, are you there?

22 LISA PROSSER: I am here. Can you see

1 and hear me?

2 ALEX KEMPER: Excellent! It's a
3 technology miracle. We can see and hear you.

4 So why don't you go ahead? And then once
5 you're done, I'll take over again.

6 LISA PROSSER: Terrific. Thanks so much,
7 Alex. Good morning, everyone. Thanks for the
8 opportunity to share a little bit more information
9 about the decision-analytic modeling that we're
10 using in the evaluation of MPS II.

11 Next slide.

12 (Slide)

13 LISA PROSSER: So, is there a question?
14 Georgianne has her hand raised.

15 GEORGIANNA ARNOLD: Well, yes, but I
16 don't mean to interrupt now. I can wait till the
17 end.

18 CYNTHIA POWELL: Okay. Yes, we'll wait.
19 Thank you.

20 LISA PROSSER: Okay, great. Thanks.
21 Just wanted to check on that. Okay, thank you.

22 So, as this slide shows, as its previous

1 conditions we will be planning to do simulation
2 modeling to estimate population health outcomes
3 for newborn screening compared to clinical
4 detection.

5 So, this slide depicts a schematic of the
6 model. In this model we will be simulating a
7 cohort of hypothetical newborns for who are not at
8 otherwise higher risk for MPS II, comparing
9 newborn screening to clinical detection.

10 In the newborn screening arm, the model,
11 as you can see, each arrow here represents the
12 probability. So, under newborn screening, there
13 is each newborn who undergoes screening will have
14 some chance of experiencing a positive screen or a
15 negative screen. For those who screen positive
16 following confirmatory testing, they will be
17 categorized as confirmed probable, or
18 pseudodeficiency.

19 Again, keep in mind that the timeframe of
20 this model, it's not all happening instantaneously
21 and that for those with confirmed MPS II it may
22 take some time before they are then further

1 classified as attenuated or severe.

2 However, from information that we have
3 from the pilot newborn screening programs, as well
4 as from the literature, we'll be able to create
5 some probabilities and ranges to estimate the
6 proportion of newborns who are likely to fall into
7 each of those categories.

8 Again, under the clinical detection arm
9 of the model, again we'll be projecting what the
10 comparison would be of the numbers of newborns,
11 from this case individuals, who would likely be
12 detected, again within a newborn growth cohort of
13 roughly 4 million, what the likelihood of having
14 attenuators that we are given diagnosed with MPS
15 II.

16 Next slide, please.

17 (Slide)

18 LISA PROSSER: So, the goal here is to
19 provide for the Committee some context on what the
20 projected number of screening outcomes in cases
21 identified would be. As in previous analyses, our
22 goal here, again given the scarcity of the data

1 typically available for newborn screening, their
2 goal is there is to provide ranges of the
3 screening outcomes.

4 So estimated range of positive screens
5 that would likely be anticipated if newborn
6 screening were to be implemented at the national
7 level, as well as again the estimates of the
8 numbers of identified individuals within MPS II.

9 And then further categorized by
10 attenuated, severe, and then another category of
11 probable MPS II for individuals who will be
12 identified and potentially diagnosed during the
13 screening process but may not exhibit symptoms for
14 a longer period of time.

15 Different from other conditions that we
16 have previously modeled, the evidence review group
17 has made the determination that for MPS II there
18 is insufficient evidence to model longer-term
19 outcomes. In previous conditions, we have
20 typically used either mortality or a number of
21 outcomes we've had, other markers of disease
22 progression that we've been able to project the

1 number of cases that would likely experience those
2 outcomes with or without newborn screening.

3 But here, as Alex has just given an in-
4 depth overview of the evidence involved, there is
5 evidence of the effectiveness of treatment. There
6 is at this time insufficient evidence to model the
7 attributable incremental effectiveness that would
8 be associated with earlier detection, diagnosis,
9 and treatment.

10 This is due to a number of reasons,
11 listed here on this slide, including the
12 heterogeneity of outcome measures, the continuous
13 progression of disease again, and most of the
14 conditions that we have used simulation modelling
15 to protect population health outcomes. We are
16 typically looking at conditions which result in
17 early mortalities, so within the early childhood
18 years.

19 And here again, it is challenging to
20 conduct modeling, given the very wide range and
21 different types of systems that may be impacted in
22 terms of profiles of these progressions.

1 Next slide, please.

2 (Slide)

3 LISA PROSSER: So, in lieu of being able
4 to provide those population-level outcomes for
5 markers, or for health outcomes associated with
6 longer-term MPS II, the evidence review group will
7 be conducting, or our decision analytic modeling
8 team will be conducting an additional systematic
9 review of the health outcomes that have been
10 included in the clinical trials to identify and to
11 be able to provide additional information to the
12 Committee and future researchers to guide
13 additional studies in future understanding of the
14 effectiveness and potential effectiveness of
15 earlier diagnosis and treatment of MPS II.

16 So, we'll be doing a systematic review to
17 understand both the range of outcome measures that
18 have been used in clinical trials, and this is a
19 BRT, as well as to be able to characterize part of
20 the most common measures. And then again,
21 bringing this back for a technical expert panel to
22 be able to write guidance to the research

1 community about where to focus efforts.

2 I will also provide information on review
3 study designs from the previous condition reviews,
4 as Alex has already included, some of the evidence
5 that we have used for previous decision-analytic
6 models that relates to sibling studies or other
7 studies which have really been able to
8 characterize, in a sense, a cohort that in many
9 ways can proxy for a newborn screening cohort,
10 which then provided the ability for us to make
11 inferences about the effectiveness of earlier
12 diagnosis and intervention.

13 Listed on the slide here, we would also
14 look to be able to provide some evidence for
15 potential study designs. We've seen one very
16 recent study that's been released from data using
17 the health outcomes study, and we believe that
18 there are additional potential uses of those data
19 that might be able to provide some additional
20 evidence there.

21 So, I'll pause there and turn it back
22 over to you, Alex.

1 ALEX KEMPER: Great. Thank you. Thank
2 you very much for that overview.

3 Next slide, please.

4 (Slide)

5 ALEX KEMPER: So I just want to update
6 everyone with the status of the Public Health
7 System Impact Survey, which has been fielded from
8 September 20th through the end of October. I know
9 that there are some 40-plus newborn screening
10 programs that that have filled in those surveys.
11 So if you represent one of those programs, thank
12 you very much.

13 APHL has also been meeting newborn
14 screening program interviews. Illinois and
15 Missouri have been completed. We have one pending
16 with New York. And then we're also in -- and when
17 I say "we," again it's APHL that's leading this.
18 It was Elizabeth Jones and Jelili Ojodu also
19 interviewing other states that might be doing a
20 pilot study or that aren't considering MPS II
21 right now, just understand what kind of issue
22 might arise regarding adding MPS II to newborn

1 screening.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: We've also been working on
5 the cost assessment, and I'd like to thank Dr.
6 Scott Grosse for his work on collecting and
7 interpreting those data. As is typical, we
8 consider both the start-up costs for adopting MPS
9 II newborn screening, as well as the operating
10 costs in the newborn screening program.

11 Laboratory, clearly the costs faced by
12 the newborn screening program for screening for
13 MPS II is going to vary based on unique
14 characteristics of the program and the way that
15 the screening is going to happen, as well as the
16 number of births within the state.

17 As we've talked about when we reviewed
18 our methods before, really putting ranges around
19 what these estimated costs are, given there are
20 some new variables that go into things. And so
21 far we have the estimated range for newborn
22 screening for MPS II of between one and six

1 dollars.

2 Next slide, please.

3 (Slide)

4 ALEX KEMPER: So, in terms of next steps,
5 we're completing the evidence synthesis. As we've
6 done in the past, we're going to close our
7 literature search 30 days before the report
8 deadline in February. I guess it's really the end
9 of the January that the report is due.

10 The real focus of our work right now is
11 on trying to figure out more information about the
12 treatment impact related to earlier identification
13 that might happen through newborn screening. I
14 suspect that this is going to be a key
15 consideration. It's always been a key
16 consideration for the Advisory Committee.

17 There is another abstract that we didn't
18 present. It's been submitted to a national
19 meeting that has sibling data. But per our rules,
20 we have to wait until that abstract has gone
21 through peer review. So hopefully that will be
22 done soon and we can talk about that some more in

1 the February meeting.

2 But again, this abstract describing
3 siblings is really sort of along the lines of what
4 I presented earlier.

5 Dr. Prosser talked about the modelling
6 screening outcomes based on available evidence,
7 really focusing on the number of newborns who
8 would be detected through newborn screening versus
9 usual clinical care. And also outlining
10 opportunities for investigators in the field about
11 the kind of data that need to be collected to be
12 able to do more specific population health
13 modelling. And then finally, working with APHL.
14 And Dr. Scott Grosse is completing the PHSI
15 assessment and cost evaluation.

16 In terms of where we are with our
17 timeline, we're doing very nicely. And I don't
18 anticipate any problems with having these final
19 pieces completed well in advance of the February
20 meeting, when that occurs.

21 Next slide.

22 (Slide)

1 ALEX KEMPER: And with that, I'll open
2 things to questions.

3 CYNTHIA POWELL: Thank you, Dr. Prosser
4 and Dr. Kemper, for this mid-term review for MPS
5 II.

6 And now we'll open it up for questions
7 and discussion. We'll have the Committee Members
8 discuss first, and then organizational
9 representatives will follow.

10 As a reminder, please use the raise-hand
11 feature in Zoom when you would like to make
12 comments or ask questions. When speaking, please
13 remember to unmute yourself and state your first
14 and last names each time you ask a question or
15 provide comments to ensure proper recording for
16 the minutes.

17 **QUESTION AND COMMENT PERIOD**

18 CYNTHIA POWELL: Okay. First I see
19 Committee Member Scott Shone.

20 SCOTT SHONE: Yes, Scott Shone, Committee
21 Member.

22 So, Alex, you know, the prevailing theme

1 that I pulled and what helped me understand, if I
2 should, is there appears to be just a paucity of
3 data, particularly quantative data, around.

4 And I don't want to misquote Lisa. I
5 tried to look at the transcript while she was
6 speaking so I could write it down. But she said
7 something to the effect of, she said, "As Alex
8 said, there's insufficient evidence," and then she
9 went on to say, "to model the effectiveness of
10 treatment attributable to early detection and
11 intervention." Something to that effect. Please
12 correct me if I'm wrong.

13 So two quick questions, if you'll indulge
14 me. The first is, am I right in assuming there is
15 a paucity of data? And if so, is that because of
16 the ultra-rarity of this condition? Or that there
17 isn't much in the literature around the outcomes
18 that can be drawn to extrapolate what we need for
19 early intervention if there were screening?

20 ALEX KEMPER: Yeah. So that's a great
21 question, one that we struggle with. And I'm glad
22 you're giving me the opportunity to amplify my

1 comments from before.

2 So this is what we know. We know that
3 enzyme replacement therapy is effective. There's
4 no question about that. And at least for the
5 older children, it does seem that earlier
6 intervention, sort of after when they would become
7 clinically defective, there just seemed to be a
8 benefit there.

9 The real question that's challenging is,
10 because treatment that's initiated because of
11 newborn screening, so you know, early infancy,
12 leads to better outcomes. And it's difficult to
13 get there because, first of all, there's limited
14 experience in screening for MPS II. So it's not
15 like there are large sample sizes of children who
16 were identified through newborn screening.

17 So when that happens, we oftentimes have
18 to rely on these case series and that kind of
19 thing which I presented before. So case series
20 really can't be used in the quantitative model
21 that Lisa and her team does. It's just, you know,
22 you just can't assign probability when you're

1 dealing with siblings and those kinds of things.

2 So I don't want to say that there's no
3 evidence at all about the effectiveness of early
4 intervention. But we simply don't have the kind
5 of quantitative data that it would be nice to
6 present to you.

7 We're in this unique position of having
8 the Hunter Outcomes Survey. So kudos to everybody
9 who's involved with that for setting for setting
10 up a registry to correct the information. But
11 it's just the nature of the condition that there
12 are not going to be a lot of children who were
13 treated pre-symptomatically in that registry just
14 by the nature of the condition.

15 So what I don't want to say is that
16 insufficient data for the modeling means there is
17 insufficient evidence to make a recommendation.
18 But I think you all as Committee Members are just
19 going to have to decide where you put the weight
20 on these sibling case series and that kind of
21 thing.

22 And I think that -- this is why it's

1 challenging to be making a recommendation -- that
2 there are other bits of evidence that you can use
3 to infer that, you know, like that other study
4 from the Hunter Outcomes Survey that I carefully
5 walked through before, to the degree that we use
6 and interpret that to reflect early intervention.

7 Again, these are all very difficult
8 things. But just the rarity of the condition and
9 the fact that there hasn't been a large number of
10 individuals who have gotten treated based on
11 newborn screening is really what makes this gap
12 challenging.

13 Does that answer your question?

14 SCOTT SHONE: It does. And I'm glad you
15 brought up the six-minute walk test because that
16 was the second question I had. I'm really
17 struggling with how to -- you spent a lot of time
18 on that. So, you know, I really want to pay
19 attention to that because I feel that, to me, that
20 indicates you think there's value there if you
21 spent all that time really clarifying the outcome
22 of that and making sure that we understand that.

1 I'm really struggling, Alex, with
2 extrapolating that to, what is the weight of that?
3 What does 33 metres more in six minutes mean?
4 Like how can we understand what that means? How
5 does that translate to a sort of demonstrable
6 evidence of beneficial outcomes?

7 And related to that on your next slide,
8 you said in the gray literature there is
9 significantly slower disease progression, which
10 again is a qualitative assessment.

11 If you really come from a quantitative, a
12 33-meter, help me to understand how that relates
13 in your mind and how should we as a Committee
14 think about that? After your presentation and
15 reading through your slides, I'm kind of
16 struggling with lesson 8 from this.

17 ALEX KEMPER: Yeah. That paper came out,
18 I think it was October 30th when the paper was
19 published and came out. So I really do want to
20 talk to the investigators and there just wasn't
21 time with when everything is due to be able to do
22 this because of the other limitations that I

1 described around there.

2 And what I can't tell is when there are
3 these between 10- and 30-meter differences, you
4 know, just getting rid of the confidence interval
5 set of things, but just like you need to point out
6 problems.

7 What I can't give you is, say, what does
8 this mean to the families? You know, how does
9 that improve the quality of life? And then the
10 other thing, because they're at measure, you know,
11 let's just say there's a 30-meter difference in
12 this six-minute walk test. What I can't tell you
13 is what happened over time. Is it sustained?
14 Does the difference diverge? We just don't have
15 that information.

16 So I hate not to provide a direct answer
17 for you. But the impact of that 30 meters, I
18 think that that is a question not that we can't
19 answer, but that's one for families.

20 All right, Lisa. You talked so much this
21 modeling and all. I don't know if you want to add
22 anything to what I just said.

1 LISA PROSSER: Yeah, I just want to
2 highlight either that there is a difference
3 between what conclusions can be drawn from the
4 evidence about the effectiveness of treatment, and
5 then doing what we're specifically referencing
6 with respect to the modeling, is that, because of
7 course it is clearly evidence, the sibling case
8 series that are available, the evidence of
9 treatment.

10 But where the evidence is insufficient is
11 to make that next step, to quantitatively
12 characterize what the incremental, attributable
13 benefit of correlative diagnosis and treatment
14 would be at the population level. So I just
15 wanted to highlight that one thing.

16 ALEX KEMPER: Can I add one other thing
17 on, which I should have added before? Well, we
18 know from sources that the enzyme replacement
19 therapy has limited effect on CNS disease and
20 those outcomes. And again, that six-minute walk
21 test is restricted to the individuals whose
22 parents reported that they didn't have cognitive

1 impairment as well.

2 So again, when we just focus on enzyme
3 replacement therapy and its effects based on that
4 study, again it's just one more caveat in terms of
5 interpreting it.

6 CYNTHIA POWELL: Okay. Shawn McCandless.

7 SHAWN McCANDLESS: Thanks. This is Shawn
8 McCandless. I'm a Committee Member.

9 Hopefully this will be a slightly easier
10 question to answer. In the Illinois data that
11 were presented, there were eight patients that
12 were reported as variants of unknown significance.
13 And I'm just wondering, what does that mean in
14 this context of newborn screening? And what
15 happened to those individuals?

16 And then for Dr. Prosser the question is,
17 How do you know that in your model? Where do you
18 put them in your model? And secondly, do you have
19 a mechanism for identifying adverse or negative
20 effects being considered a variant of unknown
21 significance?

22 ALEX KEMPER: So first I want to thank

1 Shawn for all of the work he's done as a liaison
2 and pushing us to think about these issues. And
3 again I'm going to give you a very long-worded
4 answers to what might otherwise be considered an
5 easy question.

6 So the challenge in looking at outcomes
7 from newborn screening, this has come up in other
8 conditions, is that the laboratory perspective and
9 then there's the follow-up program perspective.
10 So when we talked about the variants of
11 significance, part of it is that sort of putting
12 it into the laboratory information management
13 system that's been developed for other conditions.
14 And so it doesn't fit entirely with that.

15 So we did have a separate call with the
16 individual who was responsible for follow-up. And
17 what I can tell you is it's still a work in
18 progress, classifying exactly where those children
19 are going to fall into.

20 So it's difficult to figure out if the
21 individuals are going to be attenuated or severely
22 infected because, you know, we need time for

1 things to declare themselves if it's not clear
2 from the genotype.

3 And what I can tell you is that the
4 follow-up program, because the individuals in the
5 follow-up programs to themselves are not
6 responsible for doing that management, is they're
7 still trying to gather that information.

8 So I would say the variants of certain
9 significances is probably not the way that we all
10 from a health policy standpoint or evaluation side
11 of things would like to talk about it. But that's
12 just the way the laboratory system collects the
13 data.

14 And then the follow-up system is still
15 working with the people who are actually providing
16 the follow-up care to be able to find out what's
17 going on with those individuals.

18 So that's why the terms are that way.
19 It's 100 percent not clean, but it's just the way
20 that newborn screening programs and follow-up
21 programs work.

22 SHAWN McCANDLESS: So, this is Shawn

1 McCandless again.

2 So in the model, they would fall under
3 probable MPS II?

4 LISA PROSSER: Yes, that's right. And I
5 should have labeled this as a draft model
6 schematic because this is where we would typically
7 add a number of footnotes and definitions to be
8 really clear about what's being included in each
9 of those categories.

10 And again, to keep in mind that for the
11 end points of that tree in classifying attenuated
12 and severe, some of that will be projected based
13 on other evidence in the literature and not
14 directly based on outcomes from the laboratories.

15 CYNTHIA POWELL: Mei Baker.

16 MEI BAKER: Okay, yes. Mei Baker,
17 Committee Member.

18 Actually, then you follow up with Shawn's
19 question about the variants of significance. Also
20 there's a probable. So actually, I have a second
21 question, but it's also sufficient as to the
22 situation.

1 But the additional question I have for
2 Lisa is, you've got a category. You put in your
3 severe, you know, mild. Then this one, probable,
4 you want to define this as a benefit or harm. So
5 that's why kind of I struggle a little bit,
6 because you've used most time when you have
7 variants of no significance, most time, a lot of
8 times it cannot be benign.

9 So you've got a category in that. To me,
10 the category is a harm. When you said probable,
11 could potentially have disease, I think it's
12 different. That's just my -- so I don't know --

13 (Crosstalk)

14 ALEX KEMPER: I'm the person to agree
15 with you. And this is just a vestige of how the
16 laboratory -- their ability to record the
17 information that they have, right? Because
18 variants of uncertain significance often are
19 things we're not sure. And it can grate on the
20 families. You know, the kind of follow-up that
21 they need is complicated versus individuals who
22 might be clear that they have the disease and can

1 begin to talk about enzyme replacement therapy.

2 So I 100 percent agree with you. And
3 it's the vestige with the way that the LIMS system
4 is the state works.

5 MEI BAKER: Okay. My additional is the
6 more for clarification, I believe. In that
7 flowchart Lisa presented, have the false positive
8 and the presence of pseudodeficiency. I'm just
9 wondering, the way you present means you have
10 false positive, also including pseudodeficiency?
11 Or pseudodeficiency is another term you use for
12 your model for false positive?

13 LISA PROSSER: So right now they are
14 combined. We can separate those out, do whatever
15 is more useful to the Committee. And in the past
16 we have. We have modeled those separately. So it
17 could be done either way.

18 MEI BAKER: Thank you. I do not know. I
19 don't think about it as useful. I just want to be
20 sure --

21 (Crosstalk)

22 LISA PROSSER: Yeah. Yeah. We'll be

1 really clear.

2 (Crosstalk)

3 MEI BAKER: Okay.

4 LISA PROSSER: Thank you for that
5 feedback. Thank you, Mei.

6 MEI BAKER: Well, because in terms, the
7 consequence is the same.

8 LISA PROSSER: Yep.

9 MEI BAKER: Because we don't want them,
10 right? Okay.

11 One more for Alex. So you estimated the
12 cost at \$1 to \$6. So my question is, the range,
13 is it because if we used a laboratory or already
14 have the instruments so that you just need to
15 worry about a reagent and the multiplexing, so you
16 can get a lower end. Is the \$6 is the high end?
17 If you don't have anything, do you need to
18 purchase everything?

19 ALEX KEMPER: Yes. It has to do with the
20 technologies we use and the degree to which you
21 have to purchase reagents. So if you have to
22 adopt, for example, the fluorometry versus

1 incorporating tandemmass and that kind of thing.

2 And again it's difficult to get to costs
3 just because different programs are coming in for
4 things with different resources ahead of time. And
5 so, you know, I don't think we can promote Scott,
6 he could talk about the costs if he wanted.

7 But I feel most comfortable putting these
8 ranges on it and describing the kinds of things
9 that individual programs have to do as they move
10 forward with it, so that they can understand that.
11 But the range is somewhere between there.

12 MEI BAKER: Thank you.

13 CYNTHIA POWELL: Jeff Brosco.

14 JEFF BROSCO: Jeff Brosco, Committee
15 Member.

16 I'll start with a comment and then I have
17 a specific question that I think will be easy for
18 you guys. And the comment is related to not just
19 this condition, but a number that are coming
20 through. And that is, figuring out what "benefit"
21 really means. You know, and Scott asked about
22 these 33 meters live, and Alex has said, "Well,

1 you know, a lot depends on the families."

2 And I guess we could try to make some
3 sense of that by saying, "Well, what's the mean
4 that you go in six minutes?" Right? And try to
5 figure it quantitatively. But for this condition
6 and many others, there are still going to be some
7 issues even after full treatment. Right?
8 Morbidity continues.

9 But having some research in this HRSA
10 MCHB, big money comes in, or other organizations.
11 Let's try to get at what really matters to
12 families, per condition. And then making sure
13 that the nominating groups look forward, saying,
14 "These are the outcomes that we think are
15 important." So what's beyond mortality is,
16 someone is able to walk this much further.
17 Someone is able to do these things more.

18 And there is some research now, of
19 course, in patient-centered outcomes. But I feel
20 like we really need to have a lot more of that to
21 be able to make good decisions in this Committee
22 about whether the investment in newborn screening

1 is worth the outcome.

2 I'll probably say that three more times
3 in the next two days because I think it's so
4 important.

5 (Inaudible interjection)

6 JEFF BROSCO: All right. Go ahead. Yes,
7 go ahead.

8 MELISSA PARISI: No, no. Go ahead. Go
9 ahead and then I'll ask.

10 JEFF BROSCO: All right. So the specific
11 question is, as I was following that, it seems
12 like there are at least five individuals who've
13 been identified in pilot state newborn screening
14 programs. Is it possible to get outcomes on those
15 children to get a sense of what's happening with
16 them? Or are they just so new that we don't
17 really know yet?

18 ALEX KEMPER: Well, I think that it's so
19 new it's going to be hard to say anything just
20 because it takes time for things to evolve. But
21 we've been in conversation with follow-up folks
22 just to see what it's possible to get. And even,

1 at what point did they decide to begin, assuming
2 that they did begin enzyme replacement therapy or
3 some other kind of therapy.

4 But getting back to your philosophical
5 question, one of the things that I learned on the
6 Technical Expert Panel call that I thought was
7 really important was the point around toileting.
8 And that is, you could have an intervention so
9 that the toileting is easier as the child aged.
10 Because that would make a profound effect on
11 families.

12 Unfortunately, we haven't been able to
13 find the kinds of data that I was hoping that we
14 would find around that. So I do think that there
15 are these measures that these clinicians don't
16 realize are so important to families. So I think
17 that's such an important point that you've made,
18 Jeff.

19 The other thing is that I just want to be
20 again very careful about, you know, it's not just
21 30 meters. Because the population was so
22 restricted in terms of that analysis included.

1 And I just worry that they're going to call people
2 and say, "Well, there's a 30-meter difference if
3 you begin this enzyme replacement therapy
4 earlier."

5 But there are a lot of potential issues
6 with this study that may limit what you can read
7 that study as. So I just again want to put a
8 certain caution flag around how much weight to put
9 in 30 meters.

10 JEFF BROSCO: It's Jeff Brosco again.

11 I want to clarify because I remember the
12 nominating group where you had concerns that early
13 treatment may not affect cognitive ability. And
14 then although there might be some improvements in
15 some organ function, which is really important, it
16 may not be in terms of cognitive ability.

17 And so to your point, figuring out what's
18 really important to families -- toileting might be
19 so important it's worth it for newborn screening.

20 CYNTHIA POWELL: Melissa Parisi.

21 MELISSA PARISI: Thank you. And I just
22 wanted to thank you. This is Melissa Parisi from

1 NIH. And thanks for that presentation.

2 I wanted to make a comment for the
3 evidence review group that the NIH is actually
4 funding a pilot screening project through RTI in
5 conjunction with the State of North Carolina for
6 MPS II.

7 That was just awarded in September,
8 however, so I don't think that they will have much
9 data available for your review in time for final
10 presentation in February. But just wanted to let
11 you all know that there is another pilot project
12 that is ongoing for MPS II.

13 ALEX KEMPER: That's really helpful. I
14 didn't realize that. So we should reach out to
15 them, and especially because that can inform us
16 around certainly the implementation side of
17 things, even if they haven't gotten to actual
18 screening.

19 MELISSA PARISI: Okay. Thank you.

20 CYNTHIA POWELL: Mei Baker, did you have
21 another question? Or still had your hand up?

22 MEI BAKER: Sorry, I forgot.

1 CYNTHIA POWELL: Okay. That's all right,
2 no problem.

3 Before we go on to our organizational
4 representatives and their questions, I had a
5 couple of things. This is Cynthia Powell,
6 Committee Member.

7 I do think that it's helpful to separate
8 out the pseudo-deficiencies from the other false
9 positive cases. I don't know that data for MPS
10 II, but I know for MPS I, certain ethnic groups do
11 have a very high frequency of pseudodeficiency
12 alleles. And you know, it may sort of falsely
13 look like it may be an issue with the screening
14 test itself versus just the high number of pseudo-
15 deficiencies in that particular state.

16 The other thing -- I'm sorry if it's too
17 granular. But, Alex, the Tilke (ph) article --
18 I'm not too sure how you pronounce the author's
19 name, but that paper about the two twins, one
20 affected, one not. And they were treated; one of
21 them was treated early. And then they had the
22 older sister with severe MPS II.

1 I was just wondering, did the authors
2 provide any reason for why a female would have
3 such a severe case?

4 ALEX KEMPER: No, it was just fragmented.
5 What I can say is that I read the paper a few
6 times, because I was like -- at first I thought I
7 had misread it that there was an affected female.
8 But the paper said it. That's all I know.

9 CYNTHIA POWELL: Okay. Thank you.

10 All right. Let's see. Going on, I think
11 Georgianne Arnold had her hand raised for a long
12 time. So we'll start with Georgianne.

13 GEORGIANNE ARNOLD: Well, thank you.

14 I have to say Shawn asked most of my
15 questions. But one of the things that I think is
16 not clear to me is, when states declare their
17 effective rates, what are they using? Are they
18 using the cases that are proven? Or what are they
19 doing with the mousess?

20 Are GAGs, urine GAGs is really enough to
21 tell affected from unaffected. And I think that
22 that is missing kind of not only from this

1 literature, but from most of it with this newborn
2 screening of how big of a problem is the -- we
3 don't know.

4 And I would like to see states address
5 that in their data. And I began looking for where
6 that was put in the model, but I think Shawn asked
7 that question.

8 ALEX KEMPER: Yeah. The only thing I can
9 comment on that is the work that Dr. Gelb has
10 shared with me, and then that new descriptive list
11 was submitted was that they took dried blood spots
12 from affected individuals, pseudo-deficiencies and
13 unaffected individuals and were able to show that
14 measuring the GAGs clearly separated out affected
15 from unaffected people, or individuals.

16 So I think that in terms of the
17 diagnosis, I think that the bearings of uncertain
18 significant issues marked just like I said an
19 issue to related to how the LIMS system is lacking
20 information on those people.

21 But I'm not a laboratory person. So I
22 always feel nervous when I say this. But it looks

1 like the data are pretty compelling that measuring
2 GAGs can separate out pseudodeficiency from those
3 without.

4 CYNTHIA POWELL: Natasha Bonhomme.

5 NATASHA BONHOMME: Thank you. Natasha
6 Bonhomme, organizational rep.

7 A question and a comment. My question
8 is, in the data that you were able to look at, was
9 there any indication regarding race stratification
10 of the screened positives and particularly those
11 pseudo-deficiencies?

12 I know we were talking about separating
13 out those pseudo-deficiencies, but really trying
14 to get a sense of, you know, is it a test issue or
15 is it the fact that who we've seen in clinic has
16 maybe come from one type population, and as we go
17 into a really population-based screening, we need
18 to be looking at that.

19 So just if there's any data that look at
20 that.

21 ALEX KEMPER: That's a great question.
22 And I actually don't remember looking at that.

1 And I think that's an important issue, too, in
2 terms of equity in the future that the data that
3 we have are going to reflect the population, you
4 know, all children at once. You know, if they
5 were to get screened.

6 And I kind of think we have to go back
7 and look at that. I just can't remember looking
8 at that in particular. So we should.

9 NATASHA BONHOMME: Great. Yeah. I think
10 that would be really important. Especially as the
11 discussion of newborn screening and equity takes
12 up more and more space, as it should, that will be
13 really key to that.

14 And then my only comment is really, it's
15 just kind is for observation. I think it's really
16 interesting when you were talking about the
17 language used in and follow-up -- I don't like to
18 say versus lab, because it's all part of a system.

19 And just really thinking about that and
20 maybe as more of a 30,000-foot view of if there
21 are ways that we can bring some harmony to, you
22 know, what we're talking about, the indicators in

1 the data, that's like if you're lab, and what we
2 need to know from the follow-up side to actually
3 know how this is impacting families and more of
4 those long-term outcomes. So just a comment on
5 that.

6 ALEX KEMPER: No, thank you. No, this is
7 an issue that comes up all the time. So I'm glad
8 you raised that.

9 CYNTHIA POWELL: Debra Freedenberg.

10 DEBRA FREEDENBERG: So both Shawn and
11 Georgianne addressed most of my questions, but I
12 just wanted to clarify a few things.

13 One is that, going back to those variants
14 of uncertain significance, those individuals did
15 have GAGs detected as well, which is why they were
16 not satisfied as to the deficiency?

17 ALEX KEMPER: Yeah.

18 DEBRA FREEDENBERG: And the question
19 within that was, then the severity, whether it was
20 an attenuated or a severe form, which we often
21 face in lots of different positions. And I just
22 wanted to make certain I understood that properly.

1 ALEX KEMPER: Yeah. And they're still
2 being worked out.

3 DEBRA FREEDENBERG: Right.

4 ALEX KEMPER: In terms of, you know,
5 talking to the follow-up, we haven't been able to
6 get the information in terms of where they're
7 expected to fall. And like I said, you know, it
8 can take a while to figure out if they're being
9 attenuated or severe.

10 But it is difficult to get this
11 information. And I think it gets back to what
12 Natasha was talking about in terms of unifying
13 their data-collection systems.

14 DEBRA FREEDENBERG: Then the other
15 comment I wanted to make was related to the
16 question about the six-minute walk test. That
17 particular measure has been an assessment that's
18 been around for quite a while. And it is what was
19 utilized to justify the approval of the enzyme-
20 replacement therapy. I remember the -- diseases.
21 That was one of the things the FDA looked at when
22 the ERT's were approved.

1 I can't comment on the significance for
2 families. But that has been like the traditional
3 measure that's been out there for years, just to
4 kind of put it in perspective as well.

5 And then the third thing that I wanted to
6 comment on also is, it's not just lab and follow-
7 up terminology. There's also the clinical
8 terminology that needs to be integrated back into
9 the whole system, because there's very different
10 terminology utilized.

11 ALEX KEMPER: Hundred percent agree.

12 CYNTHIA POWELL: JEd Miller.

13 Jed MILLER: Yes, hi. Jed Miller,
14 Association of Maternal and Child Health Programs.

15 Two questions. First one I think it was
16 kind of answered in your response, Alex, to Dr.
17 Powell's question about the sister who appeared to
18 be impacted with the two younger twin brothers,
19 was about comparability of her clinical picture
20 and everything. I imagine that there is not much
21 known on that. I just wanted to ask.

22 ALEX KEMPER: Correct. Yeah.

1 Jed MILLER: Okay. Thank you.

2 And then the other question is about the
3 survival table for the 100-outcome survey about
4 percent with cognitive impairment. Do you have a
5 comment on the methodology for that, for engaging
6 cognitive impairment? Was it a binary question?
7 Were parents given the opportunity to go with and
8 talk about severity? And have there been any
9 analyses within that realm about if it's not
10 binary?

11 ALEX KEMPER: Yeah. I can't comment on
12 all the different ways that cognitive impairment
13 might be captured in the Hunter Outcomes Study.
14 What I can tell you is in the study that I showed
15 with the six-minute walk test, it was just
16 dichotomized based on what the parents said.

17 And so for that curve that showed the
18 enzyme replacement therapy and the separation, I'm
19 not sure if they used anything more granular than
20 that, you know, parent-reported dichotomous
21 outcome.

22 CYNTHIA POWELL: All right. Any other

1 questions from Committee Members or organizational
2 reps?

3 (No audible response)

4 CYNTHIA POWELL: Once again I'd like to
5 thank Dr. Kemper and Dr. Prosser for their
6 presentations today. Thank you to the Committee
7 Members and organizational reps for your questions
8 and comments. And we look forward to the final
9 ERG report in February at our next meeting.

10 ALEX KEMPER: Thank you.

11 **OVERVIEW OF IMMEDIATELY ACTIONABLE**

12 **COMMITTEE PROCESS UPDATES**

13 CYNTHIA POWELL: All right. Next I'd
14 like to go on to Overview of Immediately
15 Actionable Committee Process Updates, if I could
16 have those slides brought up.

17 While we're doing that, in February of
18 2019, the Committee convened an Expert Advisory
19 Panel to review Committee processes for
20 nomination, evidence-based review, and decision-
21 making; and identified processes that could be
22 updated in order to strengthen the nomination,

1 evidence-based review, and decision-making
2 processes.

3 Throughout the review process, the
4 Committee has discussed the proposed updates and
5 received public comments. At the Committee's last
6 meeting in August, Dr. Kemper and I presented an
7 overview of areas under consideration for
8 potential update which were categorized as
9 immediately actionable, or requiring further
10 discussion, research, or policy change.

11 Today the Committee will vote on the
12 items identified as immediately actionable. I'd
13 like to emphasize that throughout the review
14 process, there were many recommendations
15 identified that merit further consideration, and
16 we will continue to explore feasible next steps.

17 In preparation for today's vote, I want
18 to briefly review the immediately actionable
19 updates that we intend to vote on today. And
20 we'll vote on those as a complete package.

21 Committee members, in the briefing book,
22 in addition to this presentation, you received an

1 overview of the items up for vote; the review of
2 the Advisory Committee on Heritable Disorders in
3 Newborns' nomination; Evidence-based Review and
4 Decision-making Process final report, which
5 provides a detailed explanation and rationale for
6 each of the proposed updates; the updated
7 nomination form; and the draft decision matrix
8 guidance, all of which will be referenced in the
9 next several slides.

10 So the main focus areas are the
11 nomination; the nomination form and process; the
12 evidence-based review; assessing published and
13 unpublished evidence; assessing public health
14 system impact; and assessing stakeholder values,
15 the decision matrix, and review of conditions on
16 the RUSP.

17 Next slide.

18 (Slide)

19 CYNTHIA POWELL: For the proposed next
20 steps categorized by level of actionability, these
21 were separated into those immediately actionable,
22 needs more discussion, needs more research, and

1 needs policy change. And right now we're focusing
2 on the immediately actionable.

3 Next slide.

4 (Slide)

5 CYNTHIA POWELL: So, concerning the
6 nomination process, the issue is that information
7 requested from the nomination form does not
8 directly link to specific and relevant information
9 needed for the evidence review in areas such as
10 registries, unpublished evidence, the screening
11 algorithm and resources, and long-term follow-up,
12 among other things.

13 Revisions have been proposed for the
14 nomination form. The new nomination form will be
15 posted on the Committee website in fiscal year
16 2022.

17 Next slide.

18 (Slide)

19 CYNTHIA POWELL: For the nomination form,
20 highlighted in green are those new additions
21 proposed, including the enzyme if there is one.

22 For incidence, include the U.S. Incidence

1 Estimate and Citation.

2 For the timing of clinical evidence, the
3 relevance of timing of newborn screening to onset
4 of clinical manifestations, for the phenotypes
5 that would be detected with screening.

6 For the Severity of Disease, include the
7 U.S. distribution and the prevalence of known
8 phenotypes, if applicable.

9 Next slide.

10 (Slide)

11 CYNTHIA POWELL: And regarding treatment,
12 in the Modality of Treatment, to describe the
13 medical and clinical care required, whether that
14 be drugs, diet, replacement therapy, transplant,
15 or others; and identify which treatments are
16 current standard of care.

17 For clinical indications for treatment,
18 what are the clinical indications? Including the
19 ages of treatment initiation, clinical symptoms or
20 severity, among other indications. For the
21 current standard of treatment -- identified above.

22 What are the contra-indications for

1 treatment initiation? Regarding availability of
2 treatment: Are treatment and follow-up available
3 in most hospitals, major medical centers? And
4 describe the follow-up and specialized treatment
5 centers which may be needed.

6 Next slide.

7 (Slide)

8 CYNTHIA POWELL: Continuing with
9 suggested changes for the nomination form,
10 regarding the validation of the laboratory test
11 for the specimen sample. If it's not the dried
12 blood spot that's being used, indicate any timing
13 requirements in screening or specimen collection
14 that would be needed.

15 Regarding the screening test, the
16 platform and procedures, include the number of
17 samples run in high throughput, the
18 instrumentation, whether tandem mass spec or
19 digital microfluidics or others, and if available,
20 as part of a multi-analyte platform.

21 For disposables, the lab-base analysis or
22 off-the-shelf kits, which ones are being used? If

1 off-the-shelf kits, have these been FDA approved,
2 and what are the vendors or suppliers, if known?
3 And for the modality of specimen sample for tier 2
4 test, what type of method would that be? And also
5 regarding the screening test.

6 Next slide.

7 (Slide)

8 CYNTHIA POWELL: For analytical
9 validation, and we had one suggested insertion of
10 a word with this one. Has the CDC's Newborn
11 Screening and Molecular Biology Branch been
12 contacted regarding these and our other validation
13 measures currently pending or available?

14 And then for the timeliness, does the
15 condition qualify as time-critical from a
16 timeliness perspective, requiring immediate
17 medical attention? Regarding incidental findings,
18 would there be an addition to secondary findings,
19 also incidental findings that would be discovered
20 in screening?

21 Next slide.

22 (Slide)

1 CYNTHIA POWELL: For confirmatory testing
2 methods, include samples/specimens needed, whether
3 it be blood, radiology tests, urine, tissue
4 sample, biophysical tests. And if available, to
5 include the sensitivity and specificity for
6 clinical and analytical validation.

7 Regarding regulatory status of
8 confirmatory testing, is the test FDA cleared or
9 approved? If so, include the year and the
10 reference. Describe availability of confirmatory
11 testing, information, sole source manufacturer,
12 specialized testing centers, et cetera.

13 Regarding short-term follow-up and
14 diagnosis, how is the diagnosis confirmed, whether
15 it's by laboratory or genetic testing, clinical
16 evaluation, symptom onset, or other? When is the
17 diagnosis confirmed, such as time to diagnosis by
18 phenotype?

19 And who can diagnose newborns with
20 positive screens? Would this be a primary care
21 provider, specialist, major medical special
22 centers, et cetera?

1 Next slide.

2 (Slide)

3 CYNTHIA POWELL: Regarding any pilot
4 studies that have been done, provide information
5 regarding those pilot studies, whether done in the
6 U.S. or international. And if in the U.S., which
7 sites, cities, or regions?

8 In terms of screening methods and
9 algorithms used, describe the screening method and
10 algorithm. Attach a flowchart with pilot
11 outcomes. Include confirmatory testing methods,
12 again whether genetic or other types of
13 confirmatory testing have been done.

14 And in terms of the number of infants
15 confirmed with the diagnosis, include the number
16 of infants identified as having a positive screen
17 and referred for confirmatory testing. Of those
18 referred, the number of infants confirmed with a
19 diagnosis, time to abnormal newborn screening and
20 result obtained, time to diagnosis confirmed, and
21 time to treatment initiation.

22 For key outcomes of treatment, what are

1 the key outcomes of interest? For which of these
2 key outcomes is evidence available, and what is
3 the follow-up period? What plans are there for
4 longer-term follow-up of newborns detected early
5 in these studies with ongoing studies, clinician
6 follow-up, or other?

7 Population-based screening contacts.
8 Cite reference if available and/or program
9 contacts to follow up with about programs
10 conducting prospective population-based screening,
11 whether it be pilots or others.

12 Next slide.

13 (Slide)

14 CYNTHIA POWELL: For pilot studies or
15 states that are already screening, include which
16 states are currently screening for the condition,
17 states that are currently mandated to screen for
18 the condition, and states considering screening
19 but not mandated.

20 And with patient registries or databases,
21 list registries or databases currently established
22 for the condition. Are there unpublished data

1 that would inform newborn screening? If yes, who
2 holds these data?

3 Next slide.

4 (Slide)

5 And finally, there's currently a
6 limitation on the number of references, but the
7 recommendation is that there be no limit on the
8 number of references that can be included.

9 All right. So I'd like to open this up
10 for discussion now. Again we'll have Committee
11 Members go first, followed by organizational
12 representatives. And please state your name and
13 affiliation before speaking.

14 Mei Baker.

15 MEI BAKER: Mei Baker, Committee Member.

16 I have a quick question. For the first
17 page, you have adding on the enzyme. I was
18 wondering, some disorders actually it's not enzyme
19 problem. Are you expecting people for the N/A?
20 Or what's the intention?

21 CYNTHIA POWELL: Yeah. I think that
22 would be appropriate to use N/A, yeah. I think

1 I'd mentioned and we know that there are disorders
2 that may be considered that are not enzyme
3 related. But where it's available, I think there
4 might be a parentheses there; I'm not sure. But
5 "where available."

6 MEI BAKER: I was wondering if the
7 purpose to -- you find a gene, you want the
8 function marker. Can you use the other term? I
9 don't know how it really works because in my mind
10 I think about the SCID. I think about the FMA.
11 If you're trying to make a nominator to define the
12 marker -- but I think you're right. If it's not
13 an enzyme deficiency, maybe you can just put an
14 N/A.

15 CYNTHIA POWELL: Okay. Thank you.

16 Shawn McCandless.

17 SHAWN McCANDLESS: Shawn McCandless,
18 Committee Member.

19 Yeah, actually my question is similar to
20 Mei's. And that is, how would you see like CMV
21 being listed as a proposed condition, where
22 congenital hearing loss? It seems like we're

1 being more specific. And I'm not sure how things
2 like that would be listed.

3 CYNTHIA POWELL: I think that it would
4 be, you know, dependent on what the condition was,
5 whether all of the spaces could be or should be
6 filled out. And we may need to look again at that
7 to just make sure that it's clarified for those
8 who are submitting.

9 But I think, you know, depending on the
10 condition, one wouldn't expect all of the areas to
11 have information available on those.

12 SHAWN McCANDLESS: But even the type of
13 disorder is not really very clear. Again, SCID or
14 hearing loss or congenital cyanotic heart
15 disease how would those be classified? And does it
16 matter? I mean, is it going to impact anything
17 about the review?

18 CYNTHIA POWELL: Um-hm. Did you want to
19 suggest any specific changes, Shawn?

20 And Carla was suggesting in the chat,
21 instead of enzyme, maybe gene product or critical
22 measurement, critical biomarker?

1 (Pause)

2 MEI BAKER: This is Mei again. I just
3 want to quickly -- in my head, I thought the use
4 of biomarker is more generic.

5 CYNTHIA POWELL: Um-hm. Okay.

6 All right. Thank you for those
7 suggestions.

8 Jed Miller.

9 JED MILLER: This is Jed Miller,
10 Association of Maternal and Child Health Programs.

11 Also there on section 1, part A, severity
12 of disease, the new content about including U.S.
13 distribution/prevalence among phenotypes if
14 applicable.

15 I'm wondering, does that mean the
16 distribution of phenotypes is just relative to
17 each other? Or does that mean distribution with
18 respect to race and ethnicity and possibly
19 geography? Just curious if that term
20 "distribution" has anything specifically intended
21 for it.

22 CYNTHIA POWELL: My understanding was

1 that we were not going to ask for that specific
2 information. But Alex may want to comment.

3 ALEX KEMPER: I need to find my unmute
4 button. Yeah, they were just getting a sense of
5 what the epidemiology is. I hadn't gotten -- I
6 apologize, but maybe just referring to it just as
7 the epidemiology, the condition in the United
8 States.

9 CYNTHIA POWELL: Okay. And can we just
10 go back to the slides again? I wanted to finish
11 up some additional things that will be included
12 that we'll be voting on.

13 So the next slide after this.

14 (Slide)

15 CYNTHIA POWELL: So this was the
16 nomination form. And then to go on to the
17 evidence-based review process updates. To develop
18 a systematic and transparent framework for
19 incorporating expert-derived evidence.

20 The ERG will expand current procedures
21 for assessing the gray literature and incorporate
22 standard procedures used in GRADE to collect

1 expert-derived evidence to supplement unpublished
2 evidence. Once-relevant meeting abstracts or
3 other unpublished sources have been identified in
4 the evidence review. If information available is
5 not sufficient to assess quality and bias risk,
6 the ERG will request further information from the
7 investigators and authors.

8 Next, the registry data and other sources
9 of data. The EAP meeting attendees agreed that
10 conducting new analyses on unpublished data within
11 the timeframe allotted for review is challenging
12 from a timeframe standpoint, but also poses issues
13 due to the data and analysis not being peer
14 reviewed.

15 So the actionable item is that registry
16 and other unpublished sources of data will be
17 considered and reviewed as unpublished evidence.

18 Next slide.

19 (Slide)

20 CYNTHIA POWELL: Current public health
21 system impact findings regarding cost estimates
22 are not widely generalizable to all newborn

1 screening programs, especially regarding resources
2 and costs. So, the PHSI cost assessment results
3 will report cost estimates in general terms versus
4 point estimate ranges.

5 Next slide.

6 (Slide)

7 CYNTHIA POWELL: And then finally, in
8 terms of the decision matrix, no major changes
9 have been done in the decision matrix. But
10 additional guidance has been drafted to help
11 Committee Members in utilizing the decision
12 matrix.

13 And this would include the purpose of the
14 decision matrix, then going over more detailed
15 information regarding what is meant by "net
16 benefit" and giving descriptions for each
17 criterion within the decision matrix that have
18 been thought to be too limited, especially
19 regarding some of the complex conditions that are
20 being considered.

21 So that was included in the briefing
22 book. And at other times we've seen that.

1 Next slide.

2 (Slide)

3 So, the summary of the immediately
4 actionable updates to the nomination form, the
5 evidence-based review, assessing published and
6 unpublished evidence, the public health impact
7 assessment regarding cost estimates, and the
8 decision-making process regarding the decision
9 matrix guidance.

10 And are there any other comments or
11 questions from Committee Members and/or
12 organizational representatives?

13 Scott Shone.

14 SCOTT SHONE: Hi. Scott Shone, Committee
15 Member. Thanks, Dr. Powell.

16 So, I just wanted to go back to what
17 Shawn and Mei were saying. Are we proposing a
18 modification to what's in the briefing book, at
19 least on the nomination form for that segment that
20 says "gene"? It doesn't say "if applicable"; I
21 went back and looked.

22 So, I guess I'm asking, do Mei and Shawn

1 want to propose -- and I don't know. Maybe this
2 is not in order. So, forgive me.

3 (Laughter)

4 CYNTHIA POWELL: No, that's okay.

5 SCOTT SHONE: Are we proposing to
6 actually make a change to vote on, as opposed to
7 leaving it as is, first of all? And I guess
8 there's a second, I will just say. I think the
9 rest of the changes to the form do help from an
10 N&P perspective. As a member of the N&P
11 Workgroup, I think that what we're asking for will
12 help the process a lot.

13 But I just wanted to make sure I didn't
14 get lost in the -- I got lost in the back-and-
15 forth on, where do we land on those two pieces,
16 and also with Jed's question around, are we
17 changing it to epidemiology? I mean, where are we
18 actually looking at on that form before we move
19 toward a vote, please?

20 CYNTHIA POWELL: I think it depends on
21 what the Committee Members think. You know, if
22 it's just two or three fairly minor revisions, we

1 can state what those are and then vote on, you
2 know, the full package with those revisions. I
3 think if it's going to need to be more major
4 revisions, then we probably need to delay the vote
5 and look at additional revisions of the form.

6 From what I'm hearing, we had one
7 suggested change in section 2, part A, adding the
8 word "are" to, "Has the CDC's newborn screening
9 and molecular biology branch been contacted
10 regarding these, and are other validation measures
11 currently pending where available?" And then
12 instead of asking for the "enzyme," to change that
13 to "critical biomarker."

14 In terms of the epidemiology, I'm not
15 sure if there's specific wording changes or if
16 people need a longer time to think about that.

17 And Kellie Kelm has a question or a
18 comment.

19 KELLIE KELM: Kellie Kelm from FDA.

20 I just had comments or clarifications I
21 could provide on how to describe most cases of
22 executive clearance or authorization, not

1 approval. I can just pass along those comments to
2 you, Cindy, and the HRSA folks just to ensure
3 that's accurate.

4 CYNTHIA POWELL: Okay. Okay.

5 I've gotten a bunch of messages from Mia.
6 So, I think it may help if Mia unmutes and gives
7 us some guidance whether we should delay the vote
8 awaiting some of these changes that we could then
9 send out to the Committee Members, or if we should
10 go ahead and vote with the revisions.

11 MIA MORRISON: Thanks, Dr. Powell.

12 From what I'm hearing based on Committee
13 Member feedback right now, it sounds like these
14 are relatively minor revisions that we could
15 summarize today on the webinar. And if Committee
16 Members feel comfortable, we can vote to adopt or
17 not adopt these changes today.

18 If, Dr. Powell, you feel comfortable
19 moving in that direction, I'd be happy to report
20 the proposed modifications. And after the webinar
21 next week, they can send out the modified
22 nomination form.

1 So, I'd defer to you, but I believe based
2 on the discussion today, we can still vote on this
3 nomination form.

4 CYNTHIA POWELL: Okay. If it's okay with
5 HRSA, it's okay with me. So, we will go ahead.

6 Is there a motion to either approve or
7 disapprove the updates to the nomination form, the
8 methods for reviewing published and unpublished
9 evidence, reporting cost estimates, and decision
10 matrix guidance? And Alex said there's a
11 clarification on the cost description that we need
12 to fit in, which is minor. Okay.

13 So, we'll have input from Alex and Kellie
14 Kelm, and anyone else. So, is there a motion to
15 go ahead with approving these with the recommended
16 minor revisions?

17 **MOTION TO REVISE/APPROVE UPDATED NOMINATION FORM**

18 KYLE BROTHERS: This is Kyle Brothers,
19 Committee Member.

20 I move to vote, including those minor
21 revisions, to approve.

22 CYNTHIA POWELL: Is there a second?

1 JEFF BROSCO: This is Jeff Brosco,
2 Committee Member.

3 I second.

4 CYNTHIA POWELL: Thank you.

5 Does any Committee Member have a conflict
6 of interest regarding this vote and the need to
7 recuse themselves?

8 (No audible response)

9 CYNTHIA POWELL: Are there any
10 abstentions?

11 (No audible response)

12 CYNTHIA POWELL: Okay. So Committee
13 Members, I will read your name. And if you are
14 voting to approve the updates, please state
15 "Approve." If you object, please say "Oppose."

16 CYNTHIA POWELL: Mei Baker.

17 MEI BAKER: Approve.

18 CYNTHIA POWELL: Jeff Brosco.

19 JEFF BROSCO: Approve.

20 CYNTHIA POWELL: Kyle Brothers.

21 KYLE BROTHERS: Approve.

22 CYNTHIA POWELL: Carla Cuthbert.

1 CARLA CUTHBERT: Approve.

2 CYNTHIA POWELL: Jane DeLuca.

3 JANE DELUCA: Approve.

4 CYNTHIA POWELL: Kellie Kelm.

5 KELLIE KELM: Approve.

6 CYNTHIA POWELL: Shawn McCandless.

7 SHAWN McCANDLESS: Approve.

8 CYNTHIA POWELL: Kamila Mistry.

9 KAMILA MISTRY: Approve.

10 CYNTHIA POWELL: Melissa Parisi.

11 MELISSA PARISI: Approve.

12 CYNTHIA POWELL: Cynthia Powell. I

13 approve.

14 Annamarie Saarinen.

15 ANNAMARIE SAARINEN: Approve.

16 CYNTHIA POWELL: Scott Shone.

17 SCOTT SHONE: Approve.

18 CYNTHIA POWELL: Joan Scott.

19 MICHAEL WARREN: This is Michael Warren,

20 back on for HRSA. Approve.

21 CYNTHIA POWELL: Oh, okay. Thank you.

22 All right. So the Committee has voted to approve

1 the immediately actionable updates to the
2 nomination, evidence-based review, and decision-
3 making process with the minor modifications
4 included.

5 Members of the public, changes to the
6 nomination form will not go into effect until
7 January 2022. The DFO will post the updated
8 nomination form to the ACHDNC website within the
9 next few weeks. Also, in early 2022, a series of
10 consumer-friendly educational materials on
11 Committee processes, including an FAQ on the
12 nomination process, will be made available on the
13 Committee's website.

14 If you have any questions about the
15 updated nomination form or condition, nomination
16 process, please contact me or Mia Morrison, the
17 Designated Federal Official for the ACHDNC, at
18 achdnc@hrsa.gov.

19 So I appreciate all of the input from
20 Committee Members and the organizational
21 representatives on these changes. And we'll next
22 go on to the final thing before we break. Oh, and

1 I'm going to provide a feedback on next steps at
2 the February 2022 Advisory Committee meeting.

3 **RECAP OF KEY ISSUES IDENTIFIED FOR FUTURE**
4 **CONSIDERATION**

5 CYNTHIA POWELL: As I mentioned earlier,
6 we will continue to consider recommendations that
7 were generated through this process that require
8 additional discussion, research, or policy change.
9 In the following slides, I'll provide a brief recap
10 of those recommendations.

11 Next slide.

12 (Slide)

13 CYNTHIA POWELL: So we wanted to
14 establish a plan to conduct regular review of
15 conditions on the RUSP. Decisions are needed to
16 define this process, including the frequency of
17 review, how many to review in a year, how often to
18 review different conditions that are already on
19 the RUSP.

20 What's the process for prioritizing
21 review of those RUSP conditions? Should there be
22 a nominating process, or how would they be
23 selected? What would be other considerations and

1 criteria for reviewing those conditions? And then
2 what are the goals and outcomes of doing this?

3 Next slide.

4 (Slide)

5 CYNTHIA POWELL: In terms of assessing
6 long-term follow-up of newborn screening, what's
7 the impact of newborn screening? What are the
8 treatment and clinical outcomes, both short- and
9 longer-term? What are the costs of the
10 implementation and treatment?

11 What's the impact on the health care
12 system and providers? And also, consideration of
13 equity and access long-term for those infants
14 identified with conditions?

15 Next slide.

16 (Slide)

17 CYNTHIA POWELL: Establishing a priority
18 list of research and development issues, which
19 will be ongoing, while revisiting the decision
20 matrix, specifically regarding the B-ratings where
21 there is a moderate certainty of evidence. And it
22 was felt that further discussion is needed to

1 develop guidance regarding B-ratings.

2 There has been a lot of interest in
3 discussion about determining values, values of
4 stakeholders and others, and including this in the
5 decision-making process. Certainly the
6 limitations by the nine-month review make this
7 extremely challenging, but something that we want
8 to continue to consider.

9 What are the preferences for newborn
10 screening, especially among patients, families,
11 and the public? And then how to capture values
12 and preferences regarding attitudes, and what are
13 critical outcomes of these measures?

14 Next slide.

15 CYNTHIA POWELL: And that's it.

16 So more to come regarding those issues.

17 And at this point we're going to break. Please
18 note the schedule change once again. The
19 Committee will break from 12:15 to 1:00 p.m.
20 Eastern time. And when we return, we'll begin at
21 1:00 p.m. with public comments.

22 Thank you all. See you soon.

1

BREAK

2

CYNTHIA POWELL: Welcome back, everyone.

3

I think we can get started with our afternoon

4

session. It's still morning for some of you, I

5

know.

6

Mia, are we ready to go ahead? Has

7

everybody been able to rejoin?

8

MIA MORRISON: Yes. I would say we can

9

go ahead.

10

CYNTHIA POWELL: Okay. I need to take

11

the roll call again. So we'll start with

12

Committee Members from the Agency for Health Care

13

Research and Quality.

14

Kamila Mistry.

15

(No audible response)

16

CYNTHIA POWELL: Mei Baker.

17

MEI BAKER: Here.

18

CYNTHIA POWELL: Jeff Brosco.

19

(No audible response)

20

CYNTHIA POWELL: I think he may be a

21

little late in coming back.

22

Kyle Brothers.

23

KYLE BROTHERS: Here.

1 CYNTHIA POWELL: Jane DeLuca.

2 JANE DELUCA: Here.

3 CYNTHIA POWELL: From the CDC, Carla
4 Cuthbert.

5 CARLA CUTHBERT: I'm here.

6 CYNTHIA POWELL: From the FDA, Kellie
7 Kelm.

8 KELLIE KELM: Here.

9 CYNTHIA POWELL: From HRSA, do we have --

10 JOAN SCOTT: Joan Scott. I'm here.

11 CYNTHIA POWELL: Joan, okay. Thank you,
12 Joan.

13 (Laughter)

14 CYNTHIA POWELL: Shawn McCandless.

15 SHAWN McCANDLESS: Here.

16 CYNTHIA POWELL: From NIH, Melissa
17 Parisi.

18 MELISSA PARISI: Here.

19 CYNTHIA POWELL: I'm here.

20 Annamarie Saarinen.

21 ANNAMARIE SAARINEN: Here.

22 CYNTHIA POWELL: And Scott Shone.

1 SCOTT SHONE: Here.

2 CYNTHIA POWELL: Okay. And from our
3 organizational representatives, from the American
4 Academy of Family Physicians, Robert Ostrander.

5 ROBERT OSTRANDER: I'm here.

6 CYNTHIA POWELL: from the American Academy
7 of Pediatrics, Debra Freedenberg.

8 DEBRA FREEDENBERG: Here.

9 CYNTHIA POWELL: From the American
10 College of Clinical Genetics and Genomics, Max
11 Muenke.

12 (No audible response)

13 CYNTHIA POWELL: From the American
14 College of Obstetricians and Gynecologists, Steven
15 Ralston.

16 (No audible response)

17 CYNTHIA POWELL: From the Association of
18 Maternal and Child Health Programs, Jed Miller.

19 JED MILLER: Here.

20 CYNTHIA POWELL: From the Association of
21 Public Health Laboratories, Susan Tanksley.

22 SUSAN TANKSLEY: I'm here.

1 CYNTHIA POWELL: From the Association of
2 State and Territorial Health Officials, Chris Kus.

3 (No audible response)

4 CYNTHIA POWELL: Unfortunately, I think
5 he's sick. So, we hope you feel better soon,
6 Chris.

7 From the Association of Women's Health,
8 Obstetric, and Neonatal Nurses, Shakira Henderson.

9 (No audible response)

10 MIA MORRISON: I'll see if Dr. Henderson
11 is here.

12 CYNTHIA POWELL: Okay. Thanks, Mia.

13 From the Child Neurology Society, Margie
14 Ream.

15 MARGIE REAM: I'm here.

16 CYNTHIA POWELL: From the Department of
17 Defense, Jacob Hogue.

18 JACOB HOGUE: Here.

19 CYNTHIA POWELL: From Genetic Alliance,
20 Natasha Bonhomme.

21 NATASHA BONHOMME: Here.

22 CYNTHIA POWELL: From the March of Dimes,

1 Siobhan Dolan.

2 SIOBHAN DOLAN: Here.

3 CYNTHIA POWELL: From the National
4 Society of Genetic Counselors, Cate Walsh Vockley.

5 CATE WALSH VOCKLEY: I'm here.

6 CYNTHIA POWELL: From the Society of
7 Inherited Metabolic Disorders, Georgianne Arnold.

8 GEORGIANNA ARNOLD: Here.

9 CYNTHIA POWELL: Okay. Thank you.

10 **PUBLIC COMMENTS**

11 CYNTHIA POWELL: All right. Next we're
12 going to have our public comment period. We
13 received three requests by individuals to provide
14 oral public comment to the Committee today.
15 Committee Members received a written copy of their
16 testimony prior to the meeting. The order that
17 will go in is with Jhanjhi Hu, Niki Armstrong, and
18 Dylan Simon.

19 JHANJHI HU: Thank you, Dr. Powell. Is
20 it time for me to speak in here?

21 CYNTHIA POWELL: Yes. Yes. Thank you.

22 JHANJHI HU: Okay. Thank you.

1 Thank you for the opportunity to comment.
2 Hello, everyone. My name is Mike Hu, cofounder of
3 Project Guardian, a nonprofit organization
4 dedicated to push newborn screening forward.

5 I'm a father of three boys. My two elder
6 sons were diagnosed with MPS II in 2011. Over the
7 past decade, my younger son has shown better
8 outcomes due to his presymptomatic diagnosis and
9 treatment, which has inspired my passion for
10 newborn screening.

11 I have to apologize. I'm at the
12 Childrens Hospital with him today for his trial
13 treatments. So bear with me when there are
14 background noises for announcement and what-not.

15 I submitted a written comment, but I'm
16 going to digress a little bit today. In response,
17 I want to just comment on the MPS II evidence that
18 we have seen earlier and just some of the
19 questions, as a parent from the advocacy
20 perspective.

21 The first one is for Dr. Shone's comment
22 on the paucity of data showing presymptomatic

1 treatment benefits. And I want to say that this
2 is at the heart of all of the challenges in terms
3 of coming up with nomination packages. This is
4 probably the most challenging one, which is to
5 demonstrate the presymptomatic treatment benefit.

6 And that is for a variety of reasons, but
7 it's basically a chicken-and-egg conundrum.

8 Without really implementing screening population-
9 wide, we cannot identify these cases and we have
10 to rely on sibling studies, case reports to show
11 some of the benefits. So for the quantitative
12 evidence that we're seeking, it is not going to
13 come.

14 And even though we have screening
15 programs in Illinois -- and serious kudos to
16 everyone who is involved in enabling that -- the
17 slow progressive nature of the disease means it's
18 going to take quite a few years before we can see
19 anything quantitative. And so, you know, we're
20 still early on in the screening process, and
21 that's why there's a lack of evidence, if you
22 will.

1 I do want to mention that in this regard,
2 I hope -- and this is not just for MPS II, but for
3 other diseases as well -- that when we consider
4 the evidence, we will put the scientific rationale
5 behind it, as well.

6 For something like MPS II, whereby the
7 scientific background of how the disease
8 progresses and how the accumulation of gas causes
9 progressive damage in all of the organs, I think
10 it's hard to argue that earlier start of treatment
11 is going to be beneficial to control the GAG
12 damage. We don't have the quantitative data to
13 show for that. But the scientific rationale is
14 there.

15 The other one is for the six-minute walk
16 test, what the 33 meters really mean in terms of
17 the gain. I think Dr. Freedenberg has provided
18 some background on that.

19 I want to comment. As a family, when we
20 joined the Haas study 10 years ago, this was
21 immediately a problem to us because six minutes is
22 just not meaningful for these boys. You can see

1 the clear difference between mobility on my older
2 son and my younger son. But both of them can
3 hike, you know, at least four miles at a time. So
4 a six-minute walk test is just nowhere near the
5 limit.

6 And even today, 10 years later, my older
7 son can still walk about a mile. But if you see
8 the boy himself, you will be immediately able to
9 tell that he is severely affected. I think in
10 this case, it's probably an inappropriate choice
11 of metric to measure. In that regard, I think the
12 potty train is a much better measure for future
13 study purposes.

14 And the final one that I want to comment
15 on is for Dr. Baker and Dr. McCandless mentioning
16 the variance of unknown significance in the pilot
17 screening programs. I think these cases are
18 slightly different from the typical view as we
19 hear about, because they have some priors, not
20 entirely from healthy background. They did screen
21 positives. So the interpretation of that is a
22 little bit different.

1 And we've also heard, from Dr. Kemper's
2 presentation, that private notations, at least in
3 the case of MPS II, are frequently seen and hard
4 to interpret. Right? So I think the solution to
5 that, at least to some extent, is once we have
6 screening, the accumulation of data will push us
7 to better and better interpretations for future
8 cases.

9 I had my comments focused on test
10 performances for screening in terms of false
11 positives and false negatives. While I'm running
12 out of time, I just want to make a quick comment.

13 False positives, as we know, there are
14 real reasons why we ask for high specificity. And
15 as a previous molecular diagnostic test developer,
16 I know exactly why we ask for that.

17 But at the same time, as a patient
18 family, I think we should consider not just the
19 ethical and social impact for false positive
20 screenings, but also the ethics and inequitable
21 consequences of not screening to the affected
22 babies and families which, in most cases those are

1 devastating consequences.

2 And so the question to pose to everyone
3 for consideration is, Can our society as a whole
4 shoulder more such burdens, undesirable as they
5 may be, so that the horrible impacts to the
6 affected families and babies can be alleviated?

7 And last, I want to leave you with a
8 quote from Mr. Winston Churchill. "Perfection is
9 the enemy of progress." We know that no screening
10 tests are perfect. Let's keep that on our minds
11 as we pursue progresses in newborn screening for
12 our future generations.

13 Thank you for your attention.

14 CYNTHIA POWELL: Thank you for your
15 comments.

16 Next we'll hear from Niki Armstrong.

17 NICKY ARMSTRONG: Thank you. Can you
18 hear me okay?

19 CYNTHIA POWELL: Yes.

20 NICKY ARMSTRONG: On behalf of Parent
21 Project Muscular Dystrophy and the Duchenne
22 patient community, thank you for the opportunity

1 to speak today. My name is Niki Armstrong, and I
2 serve as the Newborn Screening Program Manager for
3 PPMD.

4 I am pleased to provide an update about
5 our Duchenne newborn screening pilot in New York
6 City today. We are so excited to share that we
7 completed recruitment on our Duchenne newborn
8 screening pilot. Over the two-year pilot,
9 conducted at the epicenter of a pandemic in New
10 York City, we were able to screen more than 36,000
11 babies for Duchenne.

12 Of those newborns, 42 babies have been
13 referred to genetic testing because the initial
14 screen indicated increased risk. While some
15 follow-up testing is still ongoing, after this
16 week we have identified four boys with Duchenne or
17 Becker, and one carrier female.

18 The incidence of four boys out of about
19 18,000 male births is consistent with past
20 research showing an incidence of about 1 in 5,000
21 males.

22 Our pilot was conducted through a unique

1 model that utilized tools, resources, and
2 expertise at PPMD, the Newborn Screening
3 Translational Research Network, and the New York
4 State Department of Health.

5 There was funding support from PPMD, and
6 an innovative precompetitive funding consortium
7 that comprised biopharmaceutical industry partners
8 who all had the commitment to early diagnosis and
9 intervention in Duchenne.

10 The pilot is guided by an amazing
11 steering committee comprising representatives from
12 federal agencies, provider groups, and from key
13 Duchenne stakeholder communities. The pilot
14 itself utilized the FDA-approved CK-MM assay.

15 Our Duchenne effort has convened experts
16 and established the partnerships required to
17 implement nationwide newborn screening for
18 Duchenne. PPMD and Duchenne newborn screening
19 program incorporates expertise from leaders within
20 NIH, HRSA, FDA, CDC, AAP, the ACMGACHDNC, past
21 Duchenne pilots, the boarder newborn screening
22 community, the industry partners, and the Duchenne

1 community.

2 As you all know, a pilot is just one of
3 the first steps in the journey to building a
4 nationwide newborn screening system. We are deep
5 in the trenches of the next step -- compiling the
6 rough nomination package for future consideration
7 by this Committee. We are reviewing the evidence
8 from our community of spectative work in newborn
9 screening and infrastructure development,
10 reviewing the New York State pilot, other newborn
11 screening pilots for Duchenne that are ongoing.

12 And we look forward to engaging with you
13 throughout this over the next coming months.

14 While data collection, analysis, and
15 publication of the data from the pilot are
16 ongoing, really this effort is about changing the
17 journey for the babies and families who are served
18 through our newborn screening system. The
19 families who have been identified with a child
20 with Duchenne or Becker are now being supported by
21 their primary providers with materials that were
22 specifically created to help those providers care

1 for newborns with Duchenne.

2 The families were referred to expert
3 follow-up care in the health systems associated
4 with multi-disciplinary neuromuscular clinics.
5 Families are being offered the option of
6 participating in clinical trials when relevant, as
7 well as connection and support from early
8 intervention referral services, and advocates to
9 organizations and families and our community.

10 And of course, we are going to track the
11 outcomes of these babies and families to see how
12 they differ from those whose diagnoses occur as a
13 result of the typical and expensive odysseys later
14 in childhood.

15 We are incredibly grateful for all of our
16 partners, and especially the leadership within New
17 York State. Within the state laboratories, the
18 birthing centers, the specialty clinics, and
19 primary provider specs, we are grateful to all of
20 those working with us to ensure that these babies
21 identified through this program are receiving the
22 most immediately, expert, and comprehensive

1 follow-up care possible.

2 So today we would like to extend our
3 gratitude to the families, experts, and partners
4 who have helped us get this far. With now five
5 approved therapies and a research pipeline filled
6 with potential therapeutic interventions, newborn
7 screening will provide optimal opportunities for
8 care and treatment in Duchenne. Thank you.

9 CYNTHIA POWELL: Thank you.

10 And finally, we'll hear from Dylan Simon.

11 DYLAN SIMON: Thanks first, Dr. Powell.

12 On behalf of Everlife Foundation and the rare
13 disease community, I'd like to thank the Committee
14 for providing me the opportunity to offer comments
15 here today. My name is Dylan Simon, and I serve
16 as the Newborn Screening and Diagnostic Policy
17 Manager for the EveryLife Foundation for Rare
18 Diseases.

19 The foundation's newborn screening
20 initiative is focused on ensuring that babies
21 receive lifesaving treatment opportunities through
22 early diagnosis by newborn screening.

1 One of the ways in which we work to
2 achieve our mission is through empowering rare
3 disease advocates to successfully navigate the
4 newborn screening ecosystem through the
5 facilitation of our annual Newborn Screening Boot
6 Camp program.

7 The boot camp can be in partnership with
8 Expecting Health, which has been a great partner
9 for all of the three years we've run this boot
10 camp. And this year it was a three-week event
11 designed to educate and engage newborn screening
12 stakeholders. We were delighted with the success
13 of our virtual event with more than 230
14 individuals attending at least one week at boot
15 camp.

16 And I would like to thank the multiple
17 members of the Advisory Committee and MTP working
18 group who participated in this year's event. We
19 would especially like to recognize the members of
20 the HRSA team and Dr. Cynthia Powell for preparing
21 and delivering a thorough review of the upcoming
22 changes to the rationalization and evidence-review

1 process.

2 Other topics covered in boot camp include
3 overviews of the overall newborn screening system,
4 opportunities for adjusting racial inequities
5 within newborn screening, and the process of
6 adding conditions to the Recommended Uniform
7 Screening Panel. This was a rare one-off
8 opportunity for many in our community to actively
9 engage with newborn screening symptoms to bring
10 more insight with the impending updates. Thank
11 you for the time you took to engage with us.

12 In closing, over the past year I and
13 other representatives of the EveryLife Foundation
14 have shared their perspective of our community
15 encounters and working group partners on such
16 topics and creating essential databases for
17 longitudinal studies, challenges in conducting
18 pilot studies, not sacrificing the patient review
19 when it comes to updating pacer, you, or updates
20 to the evidence review and nomination process.

21 Today our minds will focus on the areas
22 of education and opportunities for a continued

1 community engagement. As you know, revisions to
2 the interview process will impact stakeholders
3 across the newborn screening system, changes to
4 the data requirements will impact the design of
5 studies conducted for a RUSP nomination package.

6 Any review of the current RUSP conditions
7 will require additional oversight and data
8 reporting for state and western programs. For
9 these reasons, we suggest the Advisory Committee
10 prepares a suite of educational materials for
11 newborn screening stakeholders, identify changes
12 that have to be processed and how those changes
13 impact specific components of the newborn
14 screening system.

15 It was great to hear earlier Dr. Powell
16 talk about these materials already in development,
17 and we look forward to that.

18 To accomplish these goals, we do
19 encourage the establishment of a Multi-Stakeholder
20 Working Group including our -- patient community
21 to inform the development and implementation of
22 these materials. We were happy to provide

1 resources for the development of an FAQ document
2 for nominators, and we look forward to supporting
3 the creation of additional materials.

4 We are grateful for the opportunity as a
5 community to continue to provide input, and
6 encourage those community teams to solicit input
7 from multiple stakeholders by having proposed
8 updates that will impact the various stakeholders'
9 needs.

10 Thank you again to the Advisory Committee
11 for your tireless efforts on behalf of our
12 nation's newborns. We are encouraged by all the
13 great work that is occurring in the newborn
14 screening space and look forward to continuing to
15 help advocate and effectively navigate engagement
16 with the Committee. I thank you so much.

17 CYNTHIA POWELL: Thank you.

18 Thank you to all of you for your written
19 and oral comments.

20 We're now going to go on to our last
21 presentation of the day. This will be from Dr.
22 Melissa Raspa, who is a senior scientist and

1 Director of Genomics, Ethics, and Translational
2 Research Program at RTI International.

3 She will discuss the HRSA newborn
4 screening portfolio evaluation, exploring current
5 and future needs of the newborn screening system,
6 in part through conversations with state newborn
7 screening programs.

8 Much of Dr. Raspa's career has focused on
9 understanding the needs of individuals with
10 intellectual disability and their families,
11 especially those with fragile X syndrome, and more
12 recently Rett syndrome.

13 She serves as a co-investigator on Early
14 Check and leads the RTI team on a new award from
15 the Eunice Kennedy Shriver National Institute for
16 Child Health and Human Development to conduct a
17 pilot study on mucopolysaccharidosis type II.

18 She is the project director for a study
19 funded by the Centers for Disease Control and
20 Prevention which provides support to the North
21 Carolina Newborn Screening Program and follow-up
22 team to expand the state's screening to include

1 SMA, X-linked adrenoleukodystrophy,
2 mucopolysaccharidosis type I, and pompe disease.

3 Dr. Raspa.

4 **HRSA NEWBORN SCREENING PORTFOLIO EVALUATION:**
5 **CURRENT AND FUTURE NEEDS OF THE NEWBORN SCREENING**
6 **SYSTEM**

7 MELISSA RASPA: Thanks, Dr. Powell. I'm
8 hoping my slides are going to come up here in a
9 minute. Let's wait for those.

10 And while we're waiting, just a special
11 thanks to HRSA for the invitation to present today
12 to the Committee about some of our findings from
13 the newborn screening portfolio evaluation. So
14 there's lots to present today, and I'm hoping to
15 hit some of the highlights over the next 25
16 minutes or so, and then leave some time for
17 questions.

18 (Pause)

19 MELISSA RASPA: I'm not seeing the
20 slides. I don't know if you all are.

21 MIA MORRISON: No, I'm not seeing them
22 either, Dr. Raspa. Are you able to locate those
23 slides?

1 MELISSA RASPA: Yeah. It says it's
2 sharing from the screen, but I'm not seeing it
3 being shared. So I'm going to try resharing a
4 couple of times.

5 MIA MORRISON: Okay. Thank you.

6 (Pause)

7 MIA MORRISON: I still don't see them.

8 MELISSA RASPA: So I tried sharing my
9 screen.

10 (Pause)

11 MIA MORRISON: Yeah, we still can't --
12 oh, they're on. They're on.

13 MELISSA RASPA: Okay.

14 (Laughter)

15 MELISSA RASPA: There we go. The slides
16 show perfectly. Okay, great. Well, you can click
17 to the next slide.

18 (Slide)

19 MELISSA RASPA: Okay. So just to get us
20 started, last September HRSA ordered RTI a
21 contract to evaluate, among other things, their
22 newborn screening portfolio of programs.

1 Here we see the purpose of the
2 evaluation, which was to understand the needs of
3 the newborn screening system and its stakeholders;
4 the unique role that HRSA programs play in
5 addressing those needs; and also the unmet needs
6 of the newborn screening to help inform future
7 programs.

8 You can click to the next slide.

9 (Slide)

10 MELISSA RASPA: There's a lot, and I'll
11 walk you through it. So we shift the evaluation
12 around the goals of a newborn screening system, as
13 was specifically described in the Newborn
14 Screening Saves Lives Reauthorization Act of 2014.

15 So there are six goals in all stated in
16 the federal legislation. So that first goal, goal
17 one, is kind of our broad, overarching goal, whose
18 aim is to enhance, improve, or expand the ability
19 of states to provide screening and counseling.
20 Goal 2 next focuses on the provision of education
21 and training and technical assistance to both lab
22 personnel and other health care professionals.

1 Goal 3 really centers on follow-up and
2 treatment by seeking to establish, maintain, and
3 operate a system that aims to assess and
4 coordinate services. Goal 4 is the timeliness
5 goal of newborn screening, including starting with
6 specimen collection all the way through diagnosis.

7 Goal 5 is specific to families and other
8 consumers, and seeks to develop and provide
9 education to these stakeholders. And then that
10 bottom goal, Goal 6, is where the ultimate goal of
11 newborn screening is and aims to improve health
12 equity and health outcomes, reduce morbidity and
13 mortality for all individuals and families through
14 the provision and improvement to the quality of
15 services.

16 So we'll use these six goals throughout
17 the presentation to kind of really explain the
18 project and the results.

19 Next slide.

20 (Slide)

21 MELISSA RASPA: So the evaluation focused
22 on just six of HRSA's current or former newborn

1 screening programs. And the six programs are
2 listed here.

3 So the first one is Newborn Screening
4 Data Repository and Technical Assistance Program,
5 also known as NewSTEPS; the Quality Improvement in
6 Newborn Screening Program, also referred to as
7 NewSTEPS QI; Newborn Screening Family Education
8 Program; the Newborn Screening State Evaluation
9 Program, which was money directly provided to
10 states; The Newborn Screening Implementation
11 Program Regarding Conditions Added to the RUSP;
12 and then finally, the Improving Timeliness of
13 Newborn Screening Diagnosis, which is known as
14 NewSTEPS 360.

15 Next slide.

16 (Slide)

17 MELISSA RASPA: Okay. I'm going to walk
18 you through our evaluation methods, and on the
19 next slide you'll see also our evaluation
20 questions.

21 (Slide)

22 MELISSA RASPA: So these are four

1 evaluation questions that I'm really going to
2 focus on today. We had others, but these are the
3 most appropriate to focus on for the Committee.

4 The first question there you can see is,
5 To what extent has the portfolio of programs as a
6 whole contributed to achieving HRSA's overall
7 newborn screening program goals?

8 The second is, What are the current needs
9 of the newborn screening system?

10 The third, What are the unmet needs or
11 gaps that currently exist in HRSA's portfolio?

12 And finally, What are the expected needs
13 of the newborn screening system in the future?

14 Next slide.

15 (Slide)

16 MELISSA RASPA: Okay. So we use both
17 primary and secondary data as part of the
18 evaluation.

19 So for the primary data collection, we
20 engage with 52 stakeholders from a variety of
21 different groups including program grantees,
22 newborn screening program staff, both lab and

1 follow-up folks, parents, representatives from
2 patient advocacy groups, clinicians and subject-
3 matter experts.

4 So for 32 of these stakeholders, we
5 conducted individual interviews that lasted about
6 an hour each. And then the remaining stakeholders
7 participated in one of six different focus groups.
8 Those are a little longer, about 90 minutes each.

9 For the secondary data, we reviewed
10 grantee-reported materials and obtained some data
11 from outside sources, including timeliness data
12 from NewSTEPS.

13 We also conducted a scoping review of the
14 newborn screening literature based on literature
15 that was published in the last 10 years. Over 700
16 articles were found and assessed to determine
17 whether or not to include. In the end we included
18 just over 100 full-text articles in our review.

19 We also did an environmental scan of
20 newborn screening websites and different partner
21 organizations such as Baby's First Test and even
22 information that was posted on the Committee's

1 website.

2 Next slide.

3 (Slide)

4 MELISSA RASPA: Not surprisingly, we had
5 a wealth of information. These two pieces are
6 these two sources of data. So I'll just walk you
7 through our analysis approach.

8 So first, the interviews and focus groups
9 were recorded and then later transcribed for
10 analysis. Given the quick turnaround that we had
11 and timelines on the project we really wanted to
12 use what's called a "rapid turnaround analysis
13 technique" to help really distill that
14 information.

15 So we created an Excel template that
16 helped to organize all of the information from the
17 interviews and the focus groups.

18 We then took the notes and the
19 transcribed transcripts and used what is called a
20 tagging procedure. And it's similar to what you
21 were doing in vivo to code the data. We looked
22 for overall themes, but then aligned it with the

1 newborn screening goals that I mentioned, the six
2 goals, and then the evaluation questions.

3 Next the team summarized the findings
4 both within the stakeholder groups and then across
5 the stakeholder groups. And finally what we did
6 was kind of merge the information from the primary
7 data collection with the secondary data
8 collection. And we had similar templates and
9 forms that we used to kind of extract data from
10 the review and the environmental scan.

11 Next slide.

12 (Slide)

13 MELISSA RASPA: As I mentioned, and I'll
14 walk you through, there's a lot of the evaluation
15 findings. Like I said, it's organized by the six
16 goals of the newborn screening system.

17 So for each we're going to start with
18 kind of this overarching slide that provides like
19 a high-level summary. And I've also included a
20 quote from one of the stakeholders to really
21 describe how well the program, again, the newborn
22 screening portfolio, is achieving its goals.

1 And then on subsequent slides, I'll talk
2 about the kind of future needs that we're waiting
3 to provide to the stakeholders, as well as some
4 potential solutions to address those gaps.

5 (Slide)

6 MELISSA RASPA: Here you see Goal 1.
7 Again, this is that overarching goal to enhance,
8 improve, or expand the ability of states to
9 provide screening, counseling, and health care
10 services to newborns and children. And overall,
11 HRSA's programs have really made tremendous
12 progress in creating a more efficient and
13 proficient newborn screening system over the
14 years.

15 In particular, HRSA's funding has helped
16 to really support states to expand their newborn
17 screening panels. They include conditions that
18 have been recently added to the RUSP.

19 You can see there on the right-hand side
20 is a quote from one of the stakeholders: "I don't
21 think we could do what we do [without HRSA
22 programs]. They're the ones that help us move it

1 forward. They connect us with other states. They
2 provide us with screening algorithms . . . I would
3 say these programs are our go-to on screening."

4 Next slide.

5 (Slide)

6 MELISSA RASPA: Despite this, there are
7 still some current and future needs that were
8 raised during those discussions with our various
9 stakeholders. I listed several here. So first,
10 the first theme here is that really there's a need
11 for additional federal guidance to help improve
12 what one stakeholder called a "patchwork" system
13 on newborn screening.

14 In particular, stakeholders mentioned
15 discrepancies across states, as well as
16 duplication across states that would really need
17 some additional federal guidance to help better
18 understand and connect to the system as a whole.

19 The second needs was the ability of state
20 programs and the newborn screening system as a
21 whole to really keep pace with new treatments and
22 screening technologies.

1 In particular, stakeholders were really
2 concerned about the feasibility and readiness of
3 states to implement these conditions, especially
4 things like sequencing techniques and states, as
5 they become more readily available. And
6 subsequently, also access to new treatments and
7 therapeutics by identified babies and their
8 families.

9 Finally, stakeholders highlighted the
10 need for enhanced data interoperability. Many
11 stakeholders really spoke to the need for a better
12 public health informatics infrastructure in order
13 to increase efficacy and testing accuracy and
14 really improve outcomes all throughout the newborn
15 screening system.

16 Next slide.

17 (Slide)

18 MELISSA RASPA: So some potential
19 solutions that were mentioned by stakeholders to
20 address these gaps and needs included better
21 collaboration among all federal agencies and
22 different funded programs that have a stake or

1 focus on newborn screening. So not just HRSA, but
2 all programs and all federal agencies.

3 A second potential solution was an
4 increase, as I said, in federal guidance to really
5 help reduce state-to-state variability, including
6 -- specifically mentioned was guidance on how
7 quickly states should begin screening for new
8 conditions once they're added to the RUSP.

9 A lot of the state-to-state variability
10 was around how quickly conditions get added to the
11 RUSP across different states.

12 Another potential solution focuses on
13 investments in expanding the newborn screening
14 workforce. Not a surprise to a lot of people on
15 this call, lots of different options, both direct
16 support to states, training programs for students,
17 certification programs for providers, and also
18 possibly incentives for staff who are currently
19 working in newborn screening.

20 For example, someone mentioned something
21 similar to the NIH loan repayment program for
22 folks.

1 Another possible solution that would
2 really kind of complement this evaluation that we
3 did at the federal level was to really focus on
4 state-level evaluations of individual programs,
5 newborn screening programs at the state level.
6 That could include conducting an evaluation or a
7 needs assessment in order to really assess
8 effectiveness, define needs, and determine
9 improvement plans.

10 If I didn't mention that in today's call
11 already, there's some talk and discussion around
12 reviewing possibly revising the RUSP review
13 process.

14 And then finally, the final solution was
15 related to Goal 1, was to provide direct support
16 to states to really help with some of these
17 differences across states. And it would really
18 help to limit fee increases, buying new equipment,
19 and in general support staff.

20 All right. Next slide, to Goal 2.

21 (Slide)

22 MELISSA RASPA: Okay. So this one again

1 is to remind you of the provision that education,
2 training, and TA to lab personnel and other
3 genetics and health care professionals. So
4 overall, HRSA programs have provided strong
5 support on training and TA for lab and follow-up
6 staff. Again, especially related to timeliness,
7 adding new conditions to state panels, and the
8 NewSTEPS data repository.

9 However, one area that has had a little
10 less focus is education of health care providers.
11 You can see the quote there on the right: "HRSA's
12 newborn screening programs are making huge efforts
13 to provide education and training and TA; it's
14 continuous and every month. It's unprecedented the
15 amount of time and energy spent, even during this
16 past challenging year, to provide opportunities
17 for people to enhance their skills and move
18 forward with different projects, whether in the
19 laboratory or with education and training."

20 Next slide.

21 (Slide)

22 MELISSA RASPA: So despite all those

1 successes, there were some current and future
2 needs mentioned by stakeholders in relation to
3 Goal 2. So these are the two big-bucket themes.
4 Additional training and TA for state staff was
5 mentioned, both lab and follow-up.

6 And some particular topics that were
7 mentioned by stakeholders included data analytics,
8 guidance on how to add new conditions to the state
9 panel, long-term follow-up, and data collection
10 methods, among others.

11 In particular, several stakeholders
12 mentioned that there are some smaller or maybe
13 under-resourced states that may need more TA than
14 the other states who are larger or more well-
15 equipped.

16 A second training and TA need was again
17 targeted specifically around health care
18 providers. Some more information is needed to
19 help educate providers around newborn screening,
20 how to communicate with families after a positive
21 screening. Providers also need education and
22 training about new RUSP conditions, including what

1 resources are available and what steps the family
2 should take next.

3 Several folks mentioned we need a
4 possible way to address some of these needs. This
5 is maybe through an increased awareness of
6 currently available resources, including the
7 Communication Guide that's on the ACHD website,
8 and sheets are provided.

9 Next slide.

10 (Slide)

11 MELISSA RASPA: Okay. So here are a
12 couple of potential solutions to address the gaps
13 and needs. First, not surprisingly, provide
14 training and TA to a variety of stakeholders
15 around a variety of different topics.

16 For example, specialists could be
17 provided with more information about confirmatory
18 testing and follow-up guidance. Hospital staff
19 could receive training on how to collect dried
20 blood spot specimens. And lab staff need
21 continued support on new screening methods,
22 evaluating cutoffs, and communication with follow-

1 up staff.

2 In addition, a second potential solution
3 could be the rethinking of different models and
4 methods for providing training and TA. For
5 example, one suggestion that was offered was that
6 states who receive funding as "early adopters"
7 could serve as formal mentors to those who receive
8 kind of the next round of funding. So they can
9 have a train-the-trainer type approach.

10 Specifically targeting PCP's for the use
11 of CME's or maintenance of certification credits
12 was also mentioned as a potential strategy.

13 Next slide.

14 (Slide)

15 MELISSA RASPA: Okay. Moving on to Goal
16 3. Again this is really the long-term follow-up
17 and treatment goal by focusing on establishing and
18 maintaining and upgrading a system to assess and
19 coordinate follow-up and treatment. So overall,
20 HRSA's newborn screening programs have really
21 played a key role in the success of short-term
22 follow-up, but more work can be used around long-

1 term follow-up.

2 And you can see the quote there from one
3 of the stakeholders that said, "Funding alone
4 can't support the weight of Goal 3."

5 Next slide.

6 (Slide)

7 MELISSA RASPA: So we heard a lot around
8 this goal in our conversations with our different
9 stakeholder groups, both current and future needs.
10 And again, we kind of distilled them down to these
11 two big themes. So first, there's a need for a
12 national long-term follow-up system. However,
13 many stakeholders whom we talked with really
14 talked about the challenges associated with
15 establishing such a system.

16 An example would be a lack of clear
17 definition of long-term follow-up, limited
18 guidance on who's responsible for collecting those
19 data, how best to integrate long-term follow-up
20 quality indicators with other metrics that are
21 requested for the newborn screening, and many,
22 many others.

1 The second area of need was really again
2 just kind of a larger theme that we heard
3 throughout the goals was that kind of
4 inconsistency across states and that state
5 variability, in this case with regard to short-
6 term and long-term follow-up.

7 So as I was mentioning earlier, primary
8 care providers often lack that knowledge about
9 newborn screening and new conditions. And there
10 are extensive differences both within and across
11 states and how families are contacted and
12 subsequently followed within newborn screening.

13 In particular, their access to
14 specialists, the provision that's condition-
15 specific information, and really helping families
16 get connected with psychosocial supports.

17 Next slide.

18 (Slide)

19 MELISSA RASPA: The two potential
20 solutions that were offered up during our
21 discussions on ways to address these gaps and
22 needs, first stakeholders recommended the creation

1 of a long-term follow-up system to really help
2 track outcomes.

3 It's important to mention that this could
4 be done in collaboration with other federal
5 agencies, or even patient advocacy groups who have
6 similar efforts underway, including condition-
7 specific registries that we heard a little bit
8 about this morning with MPS II.

9 A Center of Excellence could be created
10 to help support this long-term follow-up system,
11 and investments could be made in the collection,
12 maintenance, and use of this system to really
13 maximize its utility.

14 The second solution really focuses on
15 coordination of treatment and support for
16 identified infants and their families.

17 So examples of potential solutions here
18 could include providing conditions-specific
19 guidance on long-term follow-up, linking families
20 to patient advocacy groups to meet their emotional
21 and information support needs; and creating a
22 clearinghouse of resources for clinicians and

1 families about topics such as insurance coverage
2 and educational needs, such as early intervention.

3 Next slide.

4 (Slide)

5 MELISSA RASPA: Okay. Goal 4 focuses on
6 the timeliness of newborn screening systems or
7 newborn screening in particular. And this was
8 really seen as a really huge success story by many
9 stakeholders. HRSA programs were really
10 attributed to making significant improvements in
11 timeliness over the last several years.

12 And as you can see in the quote there on
13 the right, one stakeholder said, "I believe
14 without NewSTEPS360, I don't think we would be
15 where we are now."

16 Next slide.

17 (Slide)

18 MELISSA RASPA: So again, despite these
19 successes, there were still some gaps. So current
20 and future needs around timeliness included first
21 kind of the need to continue to focus on
22 timeliness and not give it up, even though some of

1 these achievements have been made, including a
2 focus on quality improvement.

3 So even though states, as I said, are
4 meeting these quality indicators, many states are,
5 there's a need to maintain timeliness standards
6 through ongoing education, quality improvement,
7 and really funding.

8 Next, if data are needed beyond just the
9 confirmatory diagnosis, to know how quickly babies
10 are getting into treatment and whether or not
11 that's happening on a timely manner.

12 However, many stakeholders mentioned that
13 a lot of these things are dependent on the
14 conditions. And one nuanced look at timeliness
15 goals by their specific conditions, especially
16 those that are more time-sensitive, like SMA,
17 might be needed.

18 And then finally, as has been echoed
19 elsewhere in the top providers often lack that
20 education around timeliness. And some states
21 really need additional support.

22 Next slide.

1 (Slide)

2 MELISSA RASPA: Okay. So possible
3 solutions to address the ongoing needs related to
4 timeliness include additional support to improve
5 the timeliness of diagnosis and treatment, but
6 providing specific metrics for different
7 conditions.

8 Providing funding, training, and TA on
9 data entry and for the NewSTEPS data repository in
10 order to reduce burden on states and minimize data
11 entry errors. Provide training and education to
12 help the providers on timeliness through the use
13 of practices such as grand rounds, personal
14 stories or other types of methods.

15 And then finally, continue to support the
16 states to address those timeliness issues related
17 to specimen collection, transportation of dried
18 bloodspots to state screening labs, or other
19 screening quality indicators.

20 Next slide.

21 (Slide)

22 MELISSA RASPA: Thank you.

1 Okay. Moving on to Goal 5, again this is
2 looking at education for families and other
3 consumers. We found that both current and
4 previously funded HRSA programs have really
5 increased the amount and quality and availability
6 of educational resources. And interestingly,
7 these resources are really perceived by many to be
8 really high-quality.

9 However, one of the things that we heard
10 from stakeholders was that some materials may lack
11 visibility or might not be getting into the hands
12 of people at the right time.

13 You can see the quote there again on the
14 right: "We probably lean on them [HRSA] for a lot
15 of our parent education. They've been able to give
16 us some documents we can actually put our own
17 state logo on, so we don't have to be the subject-
18 matter experts, which is great. The ability to use
19 that information and provide it to families has
20 been very helpful."

21 Let's go to the next slide and talk a
22 little bit about current and future needs related

1 to Goal 5, again focusing on education of
2 consumers.

3 Next slide.

4 (Slide)

5 MELISSA RASPA: We heard a couple of
6 these different things here. First, additional
7 work is needed on how best to disseminate
8 materials, both that information is sent to
9 families from the prenatal period all the way to
10 postnatal.

11 Stakeholders also mentioned the format,
12 tailoring, consistency of the materials. Not
13 surprisingly, we all know many parents typically
14 seek out information after testing positive,
15 online, and there was really a lot of attention
16 paid to the need to make sure that material that
17 families are finding is of high quality and has a
18 good consistency of cost base and are accurate.

19 Additionally, stakeholders mentioned that
20 there is a need to tailor some materials to
21 specific types of consumers and groups.

22 Next slide.

1 (Slide)

2 MELISSA RASPA: Okay. There are
3 solutions that were offered up by the stakeholders
4 around Goal 5 or really around developing
5 materials that can target and reach diverse groups
6 of audiences, including creating materials that
7 are relevant, like I said, at different points in
8 time.

9 A big focus was on thinking through
10 effective dissemination strategies to really help
11 with that increased visibility of material.
12 Stakeholders mentioned some of these kinds of
13 hard-to-reach stakeholder groups such as OB/GYN's,
14 primary care providers, and other specialists who
15 have really been historically hard to reach, but
16 there need to be some creative strategies in order
17 to get that information out into the most
18 appropriate hands.

19 Parents and consumers are also needing
20 more education on conditions that have been
21 recently added to the RUSP. But partnering with
22 different advocacy groups is a potential avenue

1 and should really help with that development
2 dissemination of educational material.

3 Last goal, moving on. Next slide, to
4 Goal 6.

5 (Slide)

6 MELISSA RASPA: As I said, this is kind
7 of that ultimate goal of newborn screening, to
8 help improve health equity and outcomes for all
9 individuals with genetic conditions.

10 So, HRSA continues to make improvements
11 in health equity and health outcomes through a
12 variety of different avenues, in particular
13 providing support and training and TA to different
14 stakeholders and really educating different,
15 diverse groups of families, especially those from
16 medicine-underserved populations.

17 Next slide.

18 (Slide)

19 MELISSA RASPA: However, there is still
20 work to be done. So a couple of the current and
21 future needs that were mentioned by stakeholders
22 include challenges related to the cost of and

1 access to care. Differences in insurance coverage
2 for treatment of certain conditions, and equitable
3 access to specialists were two things mentioned in
4 particular.

5 Difficulties in connecting families to
6 social support, especially those from underserved
7 populations, also was a theme that we heard as
8 current and future needs, as well as systematic
9 racism and implicit bias that might be creeping
10 into the system kind of later on for certain
11 families.

12 There is also the need for additional
13 training and support to make sure that
14 stakeholders were getting the information that
15 they need to really help and address those
16 differences between states.

17 Next slide.

18 (Slide)

19 MELISSA RASPA: So the potential
20 solutions that we heard from stakeholders around
21 Goal 6 to fully address health equity and outcomes
22 first centered on education and training. In that

1 first bullet, you can see specifically around
2 implicit bias and structural racism. It would
3 really be beneficial for a variety of different
4 newborn screening professionals.

5 Several folks under bullet 2 there
6 mentioned that the creation of a long-term follow-
7 up system would really help to track health
8 outcomes and really know whether or not there were
9 any health inequities kind of further on down the
10 line in relation to kind of error in treatment for
11 specific groups of people.

12 In addition, there was a need for
13 additional support and coordination for families
14 in accessing genetic services in treatment,
15 especially, like I said, there are some
16 underserved populations. And in particular, the
17 next-to-last bullet, for non-English-speaking
18 families.

19 Then finally, as mentioned earlier,
20 creating some quality metrics would really help to
21 understand how newborn screening programs are
22 being effective on down the road with long-term

1 follow-up and treatment to understand whether or
2 not the health outcomes are being achieved.

3 Okay. I've just got a couple more
4 slides. The next slide can advance here. I just
5 want to share with you all.

6 (Slide)

7 MELISSA RASPA: Some really are high-
8 level recommendations that we synthesized all of
9 the information that was provided from these
10 stakeholders and couched them into these kind of
11 three broad heads of recommendations.

12 (Slide)

13 MELISSA RASPA: The first one that we see
14 here is the policy recommendations that we had.
15 And so first and foremost, it seems clear from all
16 of the data and all of the information that we
17 really need to make sure everyone's on the same
18 page around kind of a strategic plan for newborn
19 screening.

20 In particular, one that identifies
21 specific goals and addresses the gaps and needs
22 that were discussed today and mentioned by the

1 stakeholders. That plan should really be created
2 not in a silo, but with a variety of different
3 newborn screening experts and different federal
4 agencies so that it's applicable across the board.

5 The second policy recommendation was
6 around the state-specific evaluations. We really
7 felt like that would be valuable information to
8 individual states, not just kind of a broad walk
9 across the federal programs. That some states
10 might find it beneficial to view a needs
11 assessment or more of a process evaluation to
12 really identify areas for improvement and help
13 them to think through and prioritize next steps.

14 Finally, one of the big themes that we
15 heard was the variability. Despite the RUSP and
16 the federal guidance on newborn screening, there's
17 still just a lot of state-to-state variability and
18 implementation of newborn screening.

19 And one of our policy recommendations is
20 to help to continue to support states directly, to
21 implement those new conditions, and provide
22 funding through avenues such as the Title V block

1 grants or even planning grants to help make it
2 like a two-stage approach for those states who
3 aren't quite ready to implement quite yet.

4 Next slide.

5 (Slide)

6 MELISSA RASPA: Our infrastructure
7 recommendations were these two. So first and
8 foremost, again kind of reflecting back on some of
9 the findings from Goal 3 was to create a long-term
10 follow-up registry or system in order to track
11 outcomes.

12 But again, importantly, we don't want to
13 duplicate existing efforts or front conditions
14 specifically, but rather kind of think at a broad
15 scale about how that should look.

16 Continued focus on interoperability. I
17 mentioned that kind of early on under Goal 1. But
18 that was definitely something that needs to be a
19 continued need for states. It really, like I
20 said, helps support their infrastructure, not only
21 to develop plans, but then also implement the
22 plans.

1 Next slide.

2 (Slide)

3 MELISSA RASPA: Finally, our practice
4 recommendations included again a big continued
5 focus on training and TA. Timeliness again was
6 also kind of important to states despite the
7 successes. Things like tiered levels of support,
8 depending on states' needs, and really helping
9 kind of think through creative ways to provide
10 that training and TA for states or underserved
11 states that are kind of harder to reach.

12 Then finally, our final practice
13 recommendation was really what we termed
14 wraparound support for RUSP conditions. And that
15 can start with adding a new condition to a state
16 panel, but then taking it on through the newborn
17 screening. Educating health care providers, both
18 primary care providers and specialists, about new
19 conditions. And then really helping to connect
20 families to services and supports to really meet
21 their information and emotional support needs.

22 With that, the last slide.

1 (Slide)

2 MELISSA RASPA: I just want to say
3 thanks, first and foremost to HRSA for our
4 funding. It's been a pleasure working with them
5 over the past year on this project. I also had a
6 great team of staff at RTI, a very large and
7 dedicated team who went above and beyond to meet
8 all of our timelines.

9 I also wanted to give a special thanks to
10 not only the Committee Members, many of whom are
11 our stakeholders, but then all of our
12 stakeholders, who really were so eager to provide
13 such rich information that really made this a
14 great project to be working on.

15 So with that, I can stop and open it up
16 to some questions.

17 CYNTHIA POWELL: Thanks very much, Dr.
18 Raspa. We appreciate your summarizing this
19 project to do portfolio evaluation.

20 We'll now open it up for questions. As
21 usual, first from our Committee Members, followed
22 by organizational representatives. Please use the

1 raise-hand feature and remember to unmute yourself
2 and state your first and last names each time you
3 ask a question or provide a comment so that we can
4 record things properly.

5 First we'll go to Joan Scott.

6 JOAN SCOTT: Hi, everyone.

7 Thank you, Melissa, for that great
8 presentation.

9 I just wanted to add a qualifying comment
10 about the slide around resources and availability
11 of Title V just to remind everyone that Title V
12 funds are at the discretion of the states. They
13 are done by what the state needs, and it has to
14 support the broad maternal/child health priorities
15 in their states.

16 So the amount of that funding may or may
17 not be available for newborn screening, at any
18 rate significantly, in any state.

19 CYNTHIA POWELL: Thank you.

20 We can go to Robert Ostrander.

21 ROBERT OSTRANDER: Yeah. Hi. Thanks.

22 Robert Ostrander, organizational rep for the AAFP.

1 I want to talk a minute about, and give
2 you some insight into a family physician, and
3 probably applies to pediatricians. So those
4 definitely want to comment differently.

5 What our education situation is may be
6 informed, some approaches you might take to
7 address the sort of gap there, which I'm very
8 aware of. In much of what I've been doing as the
9 organizational rep for this group -- and I also am
10 the organizational rep for the inter-specialty
11 collaborating committee of practitioner education
12 and genomics in general -- is to try to break into
13 the various educational avenues we have through
14 the academy.

15 And we're competing with a lot of other
16 things for educational opportunities. And our
17 day-to-day members, these things they encounter
18 relatively infrequently are often not at the top
19 of their list for something they need CME for.

20 So I don't think we're going to be very
21 effective in focusing on just, you know, CME
22 activities that carry some CMA credits with it,

1 because again everybody is pushing that on our
2 members, and they all feel a bunch of gaps.

3 On top of that, at least in New York and
4 I think some other states, there's a whole slew of
5 hours of mandatory CME we have to do all the time
6 because the legislative response to every sort of
7 socio-medical issue is to require mandatory
8 education. And we have them on opioids, we have
9 them on bloodwork passages. We have them on human
10 trafficking, we have them on child abuse.

11 And so a lot of the CME hours of the sort
12 of sit-down sort get sucked up that way.

13 I think it's important to understand that
14 for most practicing family physicians, for the
15 more unusual conditions, a lot of their education
16 is done POC, point of care, at the time it comes
17 up. So we need to embed this education in the
18 places that family doctors look.

19 I also sit on the ACT Sheet Advisory
20 Group, or the ACMG. And I'm a big fan of what
21 we've done with those and what we continue to do
22 with those, and getting people to point it to them

1 when they get their patient's newborn screening
2 test's abnormal results.

3 Because I think it provides pretty quick,
4 succinct information. But I don't think it pops
5 right up when doctors go where they go. So, you
6 know, UpToDate is a huge resource that lots and
7 lots of primary care doctors use. If you go to
8 the newborn screening page, you'll find the ACT
9 sheets listed on there near the bottom. Alex
10 Kemper wrote that article, so maybe you can move
11 the ACT sheets closer to the top when he does his
12 revision.

13 But more importantly what's going to
14 happen is doctors are going to go on the
15 condition-specific thing. And I don't know how we
16 embed the sort of quick down-and-dirty approach to
17 an abnormal screening test, where these conditions
18 aren't on the RUSP. But that's what people need
19 at the point of care.

20 If we pointed to an ACT sheet or other
21 specific thing in the article, whether it's an
22 UpToDate or on medscape or one of the other

1 services that doctors use regularly. But I think
2 that's going to be our challenge is to figure out
3 how to make this stuff pop up with whatever search
4 engines the primary care doctors use when they're
5 confronted with this.

6 Because I have a hard enough time
7 convincing the academy and all of these various
8 evidences, whether it's the journal or the
9 meetings, to do something on genetics in general.
10 I mean, I finally got a pharmaco-genetics one in
11 this year. But expect to get a big piece on
12 newborn screening in more than very infrequently,
13 and then have people look at it, it's going to be
14 an uphill battle.

15 So that's kind of my insight just for
16 this education workgroup or whoever is going to
17 work on this, thinks about ways to enhance primary
18 care physician education. Thank you.

19 CYNTHIA POWELL: Thank you.

20 Melissa, did you want to comment?

21 MELISSA RASPA: Yeah. I agree with many
22 of the points Dr. Ostrander made. You know,

1 certainly we hurt not only for families, but for
2 providers. It's quite a challenge, right, in
3 trying to get them to put their attention to this
4 one thing. And certainly at that, point of care
5 is a great strategy.

6 I think it's like he said, trying to
7 figure out how to do that. That's kind of a
8 little bit of a puzzler. You know, I always say
9 I'm not a trained clinician; I'm a researcher.
10 But I think about, what is the clinic going to do
11 when they have a patient come into their practice
12 with cancer? How do they know where to turn to
13 for information or how to put them in touch with
14 the specialty teams that really treat cancer?

15 So some of those kind of thinking along
16 the lines of what happens normally, I don't know
17 if that will provide any insights into newborn
18 screening. But it certainly might be something to
19 consider.

20 ROBERT OSTRANDER: That's more or less
21 what I said. They go to UpToDate, and they, you
22 know, plus or minus contact. They're regional

1 specialists. And I think both here would probably
2 in general would maybe reach out to their neonatal
3 specialist depending on how remote they are.

4 But, I mean, that's the normal workflow.
5 And I think you need to embed your education
6 projects into normal workflow.

7 CYNTHIA POWELL: Natasha Bonhomme.

8 NATASHA BONHOMME: Natasha Bonhomme, org
9 rep for Genetic Alliance.

10 Melissa, kudos to you for doing so much
11 in such a short amount of time, in what, a little
12 less than a year or so? There's so much
13 information here I feel like we probably could
14 have spoken for a lot longer. So thank you for
15 this. This is really helpful.

16 I have a couple of comments and
17 questions. And this goes a little bit to what Bob
18 was just saying. In the conversations you were
19 having, talking about reaching providers and
20 things like that, was there any indicator that the
21 stakeholders you spoke to were particularly an
22 issue when it comes to newborn screening or part

1 of that broader health care, reaching providers
2 who have the list that Bob just said?

3 I just wonder if there was any
4 distinction that came up in the conversations you
5 were having?

6 MELISSA RASPA: Yeah. It's a great
7 point, and, you know, I will couch it in we were
8 specifically asking about newborn screening, you
9 know, in many of our questions. With that said,
10 stakeholders did mention, kind of asked us about
11 genetic services more broadly at times.

12 We kind of pressed on that a little bit
13 kind of in other reports, parts of the report.
14 But I didn't really mention it much today. And
15 like you said, there are some more issues across
16 the newborn screening to genetic services.

17 NATASHA BONHOMME: Great. Thank you.

18 My next comment/question, we'll see how
19 it comes out. There is discussion that you
20 presented in terms of people saying that it would
21 be really great and helpful for families to have
22 this information as early as possible, you know,

1 really before they are even entering the newborn
2 screening system.

3 And at the same time, a lot of comments
4 and potential solutions about really relying more
5 on patient advocacy organizations and using their
6 networks.

7 So to me that sounds a little apples-and-
8 oranges in terms of different types of parents
9 with different experiences who are engaging in the
10 system a bit differently. Did those differences
11 come up in your conversation or were parents and
12 families talked about as one big umbrella as
13 opposed to, you know, the parents of the 3.8
14 million who all go through newborn screening
15 compared to the ones who really have a newborn
16 screening story, as we tend to think of it?

17 MELISSA RASPA: Yeah, yeah. Great point.
18 I think parents, pregnant moms in particular who
19 haven't gone through newborn screening were talked
20 about a little less. Given that again we were
21 kind of focusing a bit more on newborn screening
22 in particular.

1 But to me, I think some of the kind of
2 things that we talked about in the slides would
3 apply for those moms as well. It's kind of the
4 timing, but also in particular the carrying of the
5 messaging. Now, how to reach them is the
6 challenging part that I'm sure you are familiar
7 with, we're familiar with.

8 So our early check work, how you get the
9 attention of a pregnant mom to talk about newborn
10 screening is really challenging. Whereas on the
11 other end of the continuum, you know, a screen
12 positive, is really I think where those patient
13 advocacy groups and condition-specific type things
14 came into play, connecting families with those
15 sets of resources, helping think through what
16 information those families need once they have
17 either a screen positive or their child has been
18 identified with a specific condition.

19 So we heard more on that end of the
20 continuum than we did on the front end, for sure.

21 NATASHA BONHOMME: Okay, great. And two
22 more points, I promise.

1 One is, in the different sections, for
2 most of them you talked about funding and the
3 theme of increasing funding or really continuing
4 the current models, the funding states, and having
5 that support there. But I didn't necessarily see
6 any language around funding when it came to
7 education.

8 Is that just that it didn't hit the
9 slides? Or people didn't really talk about that
10 in the same way?

11 MELISSA RASPA: That's again another good
12 question. I'd probably have to go back and look
13 and see. I think it's probably just the way I
14 spoke about it. I think across the board people
15 talked about continued support and usually in the
16 form of funding for all of the goals.

17 Even like I said, the timeliness goal,
18 people were very loud and clear. Like, "Don't
19 forget about it. Just because we've achieved this
20 goal doesn't mean that we should just kind of move
21 on and not focus on timeliness anymore."

22 So I think it was probably just not as --

1 I didn't highlight it probably as much in the
2 slides.

3 NATASHA BONHOMME: That's okay. You had
4 a lot to go through. I was just wondering on that
5 interesting -- especially since a lot of the
6 education components weren't about content
7 creation, but dissemination and really getting out
8 there. And we know how that can really be tied to
9 finances and resources.

10 And then my one last point, and I really
11 appreciate the fact that there's a whole section
12 around health equity and all those really great
13 suggestions of what can happen. I think that's
14 critical, and there were some really good
15 suggestions.

16 And just one piece too, I think a lot of
17 times -- and this isn't just a newborn screening
18 thing, but I think sometimes we think of implicit
19 bias and things like that creeping in.

20 And I just kind of call to this group to
21 think about it's not only something that's
22 creeping in, but that really has been baked into

1 our health care system, especially for those who
2 tend to be excluded from a lot of services, and
3 just the importance of thinking about that and
4 that frame of reference.

5 But thank you so much for a really great
6 presentation.

7 MELISSA RASPA: Thank you.

8 CYNTHIA POWELL: Thanks.

9 We'll take Susan and then Debra next.

10 And then I think we'll need to cut off our
11 discussion for today.

12 Susan Tanksley.

13 SUSAN TANKSLEY: Hello. Susan Tanksley,
14 organizational representative with the Association
15 of Public Health Laboratories.

16 I just wanted to key in on a few things,
17 and I'll try to be brief.

18 In regard to timeliness, I really
19 appreciate the mention of looking at post-analytic
20 measures. When we had the Timeliness Workgroup
21 years ago and we came up with the pre-analytical
22 and the analytical metrics, we mentioend at that

1 time that we really did need to focus on time to
2 treatment, time to intervention, you know, what
3 happens after the results are given to a health
4 care provider.

5 And I think that that's still critical
6 and that's really where we can make improvements
7 still in the system.

8 In addition to that, it's already been
9 mentioned a little, but education earlier to
10 families. That's really been something that we've
11 talked about for years and years, the need to get
12 information to parents in the prenatal period,
13 make sure they understand newborn screening and
14 are able to understand what it is and what it's
15 not so they can make better choices versus
16 learning about it or not even learning about it
17 when their baby's actually screened.

18 And I think that that continues to be
19 really important and would be helpful to the
20 entire newborn screening system.

21 And then, I appreciate the continued
22 emphasis on training and technical assistance

1 throughout the system as well. In newborn
2 training programs, it's wonderful to have the
3 funding that focuses on those things, because
4 there's often not that opportunity within our
5 programs. So when there's grant funding or other
6 programs through CDC or APHL that come down, it
7 really is helpful for our programs.

8 Thank you.

9 MELISSA RASPA: Yeah, and I will just
10 note that after we broke the report, we hosted
11 some stakeholder engagement sessions last summer.
12 I didn't really mention those, but it was really
13 to share some of this information and kind of
14 probe on a couple of other constructs with state
15 newborn screening staff, again with Lab and
16 Follow-Up.

17 And funding the states was one of the
18 things that was really mentioned and some of the
19 pros and cons, right, of doing that. But
20 certainly there was no lack of need for or mention
21 of, let's continue training and TA. So, how to do
22 it I think is the question.

1 CYNTHIA POWELL: Debra Freedenberg.

2 DEBRA FREEDENBERG: Thanks.

3 I'm going to take this from two
4 perspectives. One is representing the American
5 Academy of Pediatrics, and the second part of this
6 is also on the ground at the state level. And Bob
7 is right; most physicians will do points of care
8 when they need the information. These are rare
9 conditions. They are not something that a lot of
10 pediatricians and primary care providers run into
11 day after day.

12 That being said, the American Academy of
13 Pediatrics does support newborn screening,
14 although of course we have to kind of jockey for
15 position for education or for national education,
16 have the genetics and newborn screening part of
17 that. But it is very supportive of newborn
18 screening and is constantly published in newborn
19 screening articles and journals. And they have a
20 very strong support system for that.

21 The second part of this I'm going to take
22 from the state level. And our state has invested

1 tremendous amounts of energy in education. We've
2 tried the prenatal route and haven't had much
3 success with that. We as a state, our follow-up
4 is internal to us. So for every out-of-frame
5 screening, that goes out. They get a call or a
6 fax. They get the ACT sheets, and we have some
7 Texas-specific ACT sheets as well.

8 So we have a touchpoint with every screen
9 going out with the provider, in addition to
10 providing them with regional resources for the
11 specialists there as well.

12 And we see a great diversity of
13 responses. As you can imagine, some are invested
14 and some want more information and how to share it
15 with the family because our model is to notify the
16 primary care at the same time we're notifying
17 specialists, and we ask the primary care to talk
18 to the family as our preferred method of
19 communication.

20 So we have some who are very invested,
21 some who don't want anything to do with it. Can't
22 wait to have that child evaluated by someone else.

1 But we've also invested a lot of energy
2 in outreach and education. We've done tons of
3 scannings. We do our own internal scanning. We
4 have outreach educators who record our current
5 situation and go out and help with that.

6 And one of the things that we are trying
7 to do is just to integrate lab and follow-up for
8 our educational efforts. And that's bearing some
9 fruition as well, because previously there's been
10 such divergence. But we've utilized all of the
11 resources we can.

12 And to Joan's point, we do use some Title
13 V funding for some of the newborn screening
14 educational efforts and to support some aspects of
15 the follow-up on newborn screening as well. But
16 again, that's a state decision.

17 So I think that there's no quick answer.
18 The AAP supports newborn screening. The education
19 on the ground is going to have to be very diverse.
20 Some folks have tried to integrate it into the
21 EMR's, but there's a popup, and some systems have
22 done that related to newborn screening. With more

1 information, it either takes them to UpToDate or
2 wherever it's going to take them to. And so I
3 think we need to come up with some diverse methods
4 of education.

5 And the other thing also, the plank for
6 the education, is that traditionally in newborn
7 screenings, printed brochures did educational
8 things both for families as well as their
9 providers. You know, the printed brochures are
10 not where most people are at now. You know, we've
11 changed. Methods of communication have changed.

12 So, you know, I think we need to start
13 thinking about how we approach folks where they
14 are with the education part of this because we're
15 clearly not getting through to a lot of folks.

16 So I'm going to stop there because I
17 could go on forever. Thank you very much.

18 MELISSA RASPA: Yeah. I just want one
19 final comment if I have time, Dr. Powell.

20 CYNTHIA POWELL: Go ahead.

21 MELISSA RASPA: I think if the evaluation
22 tells us anything, it's that there's no silver

1 bullet for any of these goals, and there's no one-
2 size-fits-all model that's going to work for all
3 states or all stakeholders. I think their point,
4 Debra, around stating different options, different
5 methods is really what's needed, but all with the
6 same purpose in mind.

7 Because without that, I feel like it's
8 kind of dead in the water. You just can't assume
9 you're going to create a brochure and it's going
10 to work, right? So there really had to be some
11 creative thinking and really different layers of
12 strategies to help make sure that all of that
13 information is getting to the people, the right
14 people at the right time.

15 CYNTHIA POWELL: And on the prenatal side
16 of things, I hope it's not a reflection of how
17 they feel about this. But despite having a place
18 at the table among the organizational
19 representatives, we've had no one from the OB/GYN
20 Association attend any of our meetings for at
21 least the last year. So hopefully we can find
22 someone out there from that community who can

1 truly engage in our Committee meetings, at the
2 least.

3 So, time to rest, but I want to thank you
4 for this very informative presentation. I was
5 glad to see that several of the things highlighted
6 in the information you received in this project
7 the Committee has also been hearing about over the
8 last few years. So hopefully we can continue to
9 work together on these issues.

10 I'm now going to turn things over to Mia
11 Morrison, who will talk about our workgroup
12 meetings that will begin at 3:00 p.m. Eastern
13 time.

14 Mia.

15 MIA MORRISON: Thanks, Dr. Powell. I
16 just wanted to hopefully review instructions for
17 accessing the workgroup meetings. So you can go
18 to achdncmeetings.org/registration/. And members
19 of the public, you're welcome to attend, but we
20 ask that you remain in listen-only mode unless
21 called upon by the chair or co-chair.

22 If you have any technical difficulties

1 accessing the webinar meetings, please contact me
2 at achdnc@hrsa.gov. Thank you.

3 **ADJOURNMENT**

4 CYNTHIA POWELL: So this concludes day
5 one of the August ACHDNC meeting. Thank you to
6 the Committee Members, organizational
7 representatives, and members of the public for
8 attending. And we'll reconvene tomorrow at 10:00
9 a.m. Eastern time.

10 Thank you.

11 (Whereupon, the meeting concluded.)