

1                   The Advisory Committee on  
2       Heritable Disorders in Newborns and Children

3  
4  
5  
6

7                   Virtual Meeting

8  
9

10

11                   10:00 a.m.

12                   Wednesday, November 10, 2021

13

14                   Attended Via Webinar

15

16

17

18

19

20

21       Page 1 - 176

22       Reported by Garrett Lorman

23

1

**Committee Members**

2

3 **Mei Baker, MD**

4 Professor of Pediatrics

5 University of Wisconsin School of Medicine and

6 Public Health

7 Co-Director, Newborn Screening Laboratory

8 Wisconsin State Laboratory of Hygiene

9

10 **Jeffrey P. Brosco, MD, PhD**

11 Professor of Clinical Pediatrics, University of

12 Miami

13 Title V CYSHCN Director, Florida Department of

14 Health

15 Associate Director, Mailman Center for Child

16 Development

17 Director, Population Health Ethics, UM Institute

18 For Bioethics and Health Policy

19

20 **Kyle Brothers, MD, PhD**

21 Endowed Chair of Pediatric Clinical and

22 Translational Research

1   **Committee Members - continued**

2

3   Associate Professor of Pediatrics University  
4   of Louisville School of Medicine

5

6   **Jane M. DeLuca, PhD, RN**

7   Associate Professor

8   Clemson University School of Nursing

9

10   **Shawn E. McCandless, MD**

11   Professor, Department of Pediatrics

12   Head, Section of Genetics and Metabolism

13   University of Colorado Anschutz Medical Campus

14   Children's Hospital Colorado

15

16   **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

17   Professor of Pediatrics and Genetics

18   Director, Medical Genetics Residency

19   Program Pediatric Genetics and Metabolism

20   The University of North Carolina at Chapel Hill

21

22

1   **Committee Members - continued**

2

3   **Annamarie Saarinen**

4   Co-founder

5   CEO Newborn Foundation

6

7   **Scott M. Shone, PhD, HCLD (ABB)**

8   Director

9   North Carolina State Laboratory of Public Health

10

11                   **Ex-Officio Members**

12

13   **Agency for Healthcare Research & Quality**

14   Kamila B. Mistry, PhD, MPH

15   Senior Advisor

16   Child Health and Quality Improvement

17

18   **Centers for Disease Control & Prevention**

19   Carla Cuthbert, PhD

20   Chief

21   Newborn Screening and Molecular Biology Branch

22   Division of Laboratory Sciences

1   **Ex-Officio Members - continued**

2

3   National Center for Environmental Health

4

5   **Food & Drug Administration**

6   Kellie B. Kelm, PhD

7   Director

8   Division of Chemistry and Toxicology Devices

9

10   **Health Resources & Services Administration**

11   Michael Warren, MD, MPH, FAAP

12   Associate Administrator

13   Maternal and Child Health Bureau

14

15   **National Institutes of Health**

16   Melissa Parisi, MD, PhD

17   Chief

18   Intellectual and Developmental Disabilities Branch

19   Eunice Kennedy Shriver National

20   Institute of Child Health and Human Development

21

22

1   **Ex-Officio Members - continued**

2

3   **Designated Federal Official**

4   Mia Morrison, MPH

5   Genetic Services Branch

6   Maternal and Child Health Bureau

7   Health Resources and Services Administration

8

9                   **Organizational Representatives**

10

11   **American Academy of Family Physicians**

12   Robert Ostrander, MD

13   Valley View Family Practice

14

15   **American Academy of Pediatrics**

16   Debra Freedenberg, MD, PhD, FFACMG, FAAP

17   Medical Director

18   Newborn Screening and Genetics

19   Texas Department of State Health Services

20

21   **American College of Medical Genetics & Genomics**

22   Maximilian Muenke, MD, FACMG

1   **Organizational Representatives - continued**

2

3   **American College of Obstetricians & Gynecologists**

4   Steven J. Ralston, MD, MPH

5   Chair, OB/GYN

6   Pennsylvania Hospital

7

8   **Association of Maternal & Child Health Programs**

9   Jed Miller, MD

10   Director, Office for Genetics and People with

11   Special Care Needs

12   Maryland Department of Health Maternal and Child

13   Health Bureau

14

15   **Association of Public Health Laboratories**

16   Susan M. Tanksley, PhD

17   Manager, Laboratory Operations Unit

18   Texas Department of State Health Services

19

20   **Association of State & Territorial Health**

21   **Officials**

22   Christopher Kus, MD, MPH

**1   Organizational Representatives – continued**

2

3   Associate Medical Director

4   Division of Family Health

5   New York State Department of Health

6

**7   Association of Women's Health Obstetric and**

**8   Neonatal Nurses**

9   Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,

10   IBCLC

11   Vice President, Research Officer University of

12   North Carolina Health

13   Board Director, Association of Women's Health,

14   Obstetric & Neonatal Nurses

15

**16   Child Neurology Society**

17   Jennifer M. Kwon, MD, MPH, FAAN

18   Director, Pediatric Neuromuscular Program

19   American Family Children's Hospital

20   Professor of Child Neurology, University of

21   Wisconsin School of Medicine & Public Health

22

1   **Organizational Representatives - continued**

2

3   **Department of Defense**

4   Jacob Hogue, MD

5   Lieutenant Colonel, Medical Corps, US Army

6   Chief, Genetics, Madigan Army Medical Center

7

8   **Genetic Alliance**

9   Natasha F. Bonhomme

10   Vice President of Strategic Development

11

12   **March of Dimes**

13   Siobhan Dolan, MD, MPH

14   Professor and Vice Chair for Research

15   Department of Obstetrics & Gynecology and Women's

16   Health

17   Albert Einstein College of Medicine and Montefiore

18   Medical Center

19

20   **National Society of Genetic Counselors**

21   Cate Walsh Vockley, MS, CGC

22   Senior Genetic Counselor Division of Medical

1   **Organizational Representatives - continued**

2

3   Genetics

4   UPMC Children's Hospital of Pittsburgh

5

6   **Society for Inherited Metabolic Disorders**

7   Georgianne Arnold, MD

8   Clinical Research Director, Division of Medical

9   Genetics

10   UPMC Children's Hospital of Pittsburgh

11

1	C O N T E N T S	
2	WELCOME AND ROLL CALL .....	12
3	GUANIDINOACETATE METHYLTRANSFERASE (GAMT)	
4	DEFICIENCY EVIDENCE-BASED REVIEW - .....	23
5	PHASE 1 UPDATE .....	23
6	EDUCATION AND TRAINING WORKGROUP UPDATE .....	47
7	FOLLOW-UP AND TREATMENT WORKGROUP UPDATE .....	66
8	LABORATORY STANDARDS AND PROCEDURES .....	78
9	WORKGROUP UPDATE .....	78
10	DICUSSION .....	101
11	BREAK .....	119
12	NEWBORN SCREENING PILOT PROGRAMS .....	121
13	SCREENPLUS -- NEW YORK .....	121
14	EARLY CHECK -- NORTH CAROLINA .....	138
15	NEW BUSINESS .....	178
16	ADJOURN .....	178
17		
18		
19		
20		
21		
22		
23		
24		
25		
26		

# 1 PROCEEDINGS

2 WELCOME AND ROLL CALL

3 CYNTHIA POWELL: Okay. Good morning,  
4 everyone. Welcome to the second day of the  
5 November 2021 Advisory Committee on Heritable  
6 Disorders in Newborns and Children meeting. I'm  
7 Dr. Cynthia Powell, the Committee chair. And we  
8 will begin with the roll call.

9 Representing the Agency for Health Care  
10 Research and Quality, Kamila Mistry.

11 (No audible response)

## 12 CYNTHIA POWELL: Mei Baker.

13 MEI BAKER: Here.

14 CYNTHIA POWELL: Jeff Brosco.

15 JEFF BROSCO: Here.

16 CYNTHIA POWELL: Kyle Brothers.

17                            KYLE BROTHERS: Here.

18 CYNTHIA POWELL: Jane DeLuca.

19 JANE DELUCA: Present.

20 CYNTHIA POWELL: From

21 Cuthbert.

22

1                   CYNTHIA POWELL: From the Food and Drug  
2 Administration, Kellie Kelm.

3                   KELLIE KELM: Here.

4                   CYNTHIA POWELL: Representing HRSA today,  
5 Debi Sarkar.

6                   DEBI SARKAR: I'm here, sitting in for  
7 Dr. Warren. Thanks.

8                   CYNTHIA POWELL: Shawn McCandless.

9                   SHAWN MCCANDLESS: Here.

10                  CYNTHIA POWELL: From National Institutes  
11 of Health, Melissa Parisi.

12                  MELISSA PARISI: Here.

13                  CYNTHIA POWELL: I'm here, Cynthia  
14 Powell.

15                  Annamarie Saarinen.

16                  ANNAMARIE SAARINEN: Here.

17                  CYNTHIA POWELL: And Scott Shone.

18                  SCOTT SHONE: Here.

19                  CYNTHIA POWELL: Okay. Thank you.

20                  For our organizational representatives,  
21 from the American Academy of Family Physicians,  
22 Robert Ostrander.

1 ROBERT OSTRANDER: I'm here.

2 CYNTHIA POWELL: From the American  
3 Academy of Pediatrics, Debra Freedenberg.

4 DEBRA FREEDENBERG: Here.

5 CYNTHIA POWELL: From the American  
6 College of Medical Genetics and Genomics, Max  
7 Muenke.

8 MAXIMILIAN MUENKE: I'm here.

9 CYNTHIA POWELL: From the American  
10 College of Obstetricians and Gynecologists, Steven  
11 Ralston.

12 (No audible response)

13 CYNTHIA POWELL: From the Association of  
14 Maternal and Child Health Programs, Jed Miller.

15 JED MILLER: Here.

16 CYNTHIA POWELL: From the Association of  
17 Public Health Laboratories, Susan Tanksley.

18 SUSAN TANKSLEY: I'm here, thank you.

19 CYNTHIA POWELL: From the Association of  
20 State and Territorial Health Officials, Chris Kus.

21 (No audible response)

22

1                   CYNTHIA POWELL: From the Association of  
2 Women's Health, Obstetric, and Neonatal Nurses,  
3 Shakira Henderson.

4                   SHAKIRA HENDERSON: Good morning. Here.

5                   CYNTHIA POWELL: From the Child Neurology  
6 Society, Margie Ream.

7                   (No audible response)

8                   CYNTHIA POWELL: From the Department of  
9 Defense, Jacob Hogue.

10                  JACOB HOGUE: Here.

11                  CYNTHIA POWELL: From Genetic Alliance,  
12 Natasha Bonhomme.

13                  NATASHA BONHOMME: Here.

14                  CYNTHIA POWELL: From the March of Dimes,  
15 Siobhan Dolan.

16                  SIOBHAN DOLAN: Here.

17                  CYNTHIA POWELL: From the National  
18 Society of Genetic Counselors, Cate Walsh Vockley.

19                  CATE WALSH VOCKLEY: I'm here.

20                  CYNTHIA POWELL: And from the Society of  
21 Inherited Metabolic Disorders, Georgianne Arnold.

22                  GEORGIANNE ARNOLD: Here.

1                   CYNTHIA POWELL: Thank you.

2                   Could I have the next slide?

3                   (Slide)

4                   KAMILA MISTRY: Sorry, Dr. Powell. This

5                   is Kamila Mistry from AHRQ. I joined.

6                   CYNTHIA POWELL: Thank you, Kamila.

7                   We'll note that you're present.

8                   So as we get started, I wanted to just

9                   quickly go over some things regarding the

10                  actionable steps in the Committee review of the

11                  nomination package. And specifically, some of the

12                  changes that were suggested yesterday during our

13                  discussion about the nomination form.

14                  So, what you'll see here with the red

15                  strike-through is what was on the form, the

16                  recommended change, previously and then what we

17                  agreed to yesterday. So in terms of just adding a

18                  gene, specific genes or genes are known as being

19                  positive for the condition under consideration or

20                  under nomination, it would be helpful to include

21                  that. But if it's not applicable, that's also

22                  fine.

1                   For those that would have an enzyme  
2 level, we thought it would be helpful to include  
3 that specific enzyme. But that could be  
4 confusing, so we had changed it to "critical  
5 biomarker." And again, if that's not applicable,  
6 the nominators can indicate that.

7                   I think one of the confusing things is --  
8 and there's been a lot of debate in the genetics  
9 field in recent years about how to name  
10 conditions. For example, some metabolic disorders  
11 may have the same name, but are due to different  
12 enzymes. They may be denoted as A, B, or C.

13                  But just to make it as clear as possible,  
14 when HRSA and then those on the Nomination and  
15 Prioritization Workgroup get the forms, you know,  
16 it's really helpful to know specifically what is  
17 being nominated. So as much additional  
18 information as possible.

19                  Now, for example, some things like  
20 hyperthyroidism, while there may be a number of  
21 genetic causes, there are certainly nongenetic  
22 causes. And so, if we were going back to looking

1 at that condition, then specific genes would not  
2 be applicable. But the thyroid hormone level  
3 would be the critical biomarker. It might be a  
4 virus, for example, or something else.

5 But again, if there's any confusion on  
6 the part of those preparing a nomination package,  
7 please contact Mia Morrison at HRSA. And she is  
8 happy to help with that information, as am I.

9 Next slide, please.

10 (Slide)

11 CYNTHIA POWELL: And then for the  
12 severity of disease, instead of "if applicable"  
13 regarding the U.S. distribution or prevalence,  
14 that was switched to "if known."

15 Next slide.

16 (Slide)

17 CYNTHIA POWELL: And then regarding  
18 specifically about terminology for the FDA, this  
19 is in regard to the platform and procedures for  
20 screening tests. And it was recommended that  
21 instead of "FDA approved" that it say "FDA cleared  
22 or authorized" and to "provide FDA submission

1 number if applicable."

2 Next slide.

3 (Slide)

4 CYNTHIA POWELL: Then an additional  
5 change was added to the analytical validation  
6 regarding, "Has the CDC's Newborn Screening and  
7 Molecular Biology Branch been contacted regarding  
8 these and are other validation measures currently  
9 pending or available?"

10 Next slide.

11 (Slide)

12 CYNTHIA POWELL: And then regarding the  
13 regulatory status of confirmatory testing, again  
14 for the appropriate wording regarding FDA, "Is the  
15 test FDA cleared or authorized? If so, include  
16 the FDA submission number."

17 Next slide.

18 (Slide)

19 CYNTHIA POWELL: Okay. And one  
20 additional change was regarding the cost of  
21 analysis and how that would be denoted. It didn't  
22 make any changes in the form. But it would change

1 the final report.

2 And so, that one correction has been made  
3 and clarified, and will be included in the  
4 document for this. Since it didn't change the  
5 form, we didn't see a need to go over that today.

6 All right. So that is what the Committee  
7 voted on and approved yesterday.

8 All right. Going on for the rest of the  
9 agenda for today, we're going to hear about the  
10 phase 1 update on the evidence-based review for  
11 guanidinoacetate methyltransferase, or GAMT,  
12 deficiency.

13 Afterwards, we'll receive updates from  
14 each of the Committee workgroups. We'll then have  
15 a short break. And our last session of the  
16 meeting will cover the ScreenPlus and Early Check  
17 newborn screening pilot programs.

18 I'll now turn it over to Mia Morrison,  
19 our designated federal official, to provide  
20 guidance for participating on the webinar.

21 Mia.

22 MIA MORRISON: Thanks, Dr. Powell.

1                   Next slide, please.

2                   (Slide)

3                   MIA MORRISON: Thank you.

4                   Members of the public, audio will come  
5                   through your computer speakers, so please make  
6                   sure to have your speakers turned on. If you  
7                   can't access the audio through your computer, you  
8                   may dial into the meeting using the telephone  
9                   number in the email with your Zoom link.

10                  Committee Members and org reps, audio  
11                  will also come from your computer speakers, and  
12                  you will be able to speak using your computer  
13                  microphone. If you can't access the audio or  
14                  microphone through your computer, you may also  
15                  dial into the meeting using the telephone number  
16                  in the email with your user-specific Zoom link.

17                  Please speak clearly and remember to  
18                  state your first and last names to ensure proper  
19                  recording for the Committee transcript and  
20                  minutes.

21                  The chair will call on Committee Members  
22                  and then organizational representatives.

1               In order to better facilitate the  
2 discussion, Committee Members and organizational  
3 representatives should use the raise-hand feature  
4 when you'd like to make comments or ask questions.  
5 Simply click on the "Participant" icon and choose  
6 "Raise hand." Please note that, depending on your  
7 device or operating system, the raise-hand feature  
8 may be in a different location.

9               To troubleshoot, please consult the  
10 webinar instruction page in your briefing book.

11               Next slide.

12               (Slide)

13               MIA MORRISON: To enable closed  
14 captioning, please select the closed captioning  
15 icon on your Zoom taskbar. From that menu, select  
16 "Show Subtitles." Thank you.

17               Dr. Powell.

18               CYNTHIA POWELL: Thank you, Mia.

19               In April of 2021, the Committee received  
20 a nomination for guanidinoacetate  
21 methyltransferase, or GAMT, deficiency for  
22 inclusion on the RUSP. This was the second time

1 that GAMT had been nominated.

2 At the August meeting, the Nomination and  
3 Prioritization Workgroup presented an overview  
4 about this nomination to the Committee, and the  
5 Committee voted to move GAMT deficiency forward to  
6 full evidence review.

7 Today Dr. Kemper, Evidence-Based Review  
8 Group leader, will provide the Committee with the  
9 phase 1 update.

10 Alex, I'll turn it over to you.

11 **GUANIDINOACETATE METHYLTRANSFERASE (GAMT)**

12 **DEFICIENCY EVIDENCE-BASED REVIEW -**

13 **PHASE 1 UPDATE**

14 ALEX KEMPER: Thank you very much, Dr.  
15 Powell. Good morning to everyone else.

16 My goal over the next little bit is just  
17 to provide an overview of newborn screening for  
18 GAMT deficiency and talk a little bit about where  
19 we are. Again, this is the first of the series of  
20 three presentations that you'll hear from us, the  
21 ones today, and in a few months the interim one.  
22 And then leading up to the final presentation,

1 which will be timed with the Advisory Committee  
2 vote.

3 I'm not going to update the detailed  
4 presentation that Dr. Cuthbert gave earlier in  
5 terms of providing a really wonderful overview of  
6 the biochemical aspects of the condition, but  
7 really just talk about what we know about the  
8 condition in general and where we are with our  
9 evidence to be processed.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: And of course, I just want  
13 to thank members of the Evidence Review Group and  
14 note also that Drs. DeLuca and McCandless are  
15 serving as the liaisons to this work, in addition  
16 to the MPS II review. So I just want to publicly  
17 thank you two for your help on this.

18 Next slide, please.

19 (Slide)

20 ALEX KEMPER: So again, my objective for  
21 this group presentation is to provide an overview  
22 of GAMT deficiency, talk about our current

1 progress, and then outline the next steps, which  
2 are really in line with all of the previous  
3 reviews that we've done.

4 Next slide, please.

5 (Slide)

6 ALEX KEMPER: So, GAMT deficiency is a  
7 cerebral creatine deficiency caused by a mutation  
8 in the GAMT gene. It's an autosomal recessive  
9 condition that's associated with elevated plasma  
10 and urine guanidinoacetate. GAA is what I'm  
11 giving you. This is my creation on these slides,  
12 although sometimes you also see it as blot GUAC.  
13 But for the purposes of this presentation, I have  
14 GAA for guanidinoacetate. And it's also  
15 associated with low serum creatine disorder.

16 Untreated, it can lead to global  
17 developmental delay, seizures, muscle weakness,  
18 and significant movement disorders.

19 Next slide, please.

20 (Slide)

21 ALEX KEMPER: So in terms of our  
22 progress, we had our first technical expert panel

1 call in early October. I'll show you the list of  
2 those individuals. And then we had a really very  
3 interesting and helpful call with the Utah Newborn  
4 Screening Program on October 28th.

5 And then we are proceeding with our  
6 evidence reviews, you can see at the top level,  
7 after searching our usual places, PubMed, Embase,  
8 CINAHL, and the Chochrane Library. We identified  
9 338 articles, which we are in the process of doing  
10 a deep dive on it.

11 Next slide, please.

12 (Slide)

13 ALEX KEMPER: This is a list of the  
14 technical expert panel members. Again, I just  
15 want to highlight how wonderful the call was that  
16 I had with the technical expert panel. It really  
17 helped us to understand the nuances of the  
18 condition and the state of the art related to  
19 diagnosis and treatment.

20 Next slide, please.

21 (Slide)

22 ALEX KEMPER: So the diagnosis that was

1 discussed before was based on biochemical  
2 confirmation in a plasma of low creatine and  
3 elevated GAA at least a week after birth. There  
4 are other conditions that we need to separate out  
5 when you make the diagnosis of GAMT deficiency.  
6 For example, arginine deficiency can cause an  
7 elevation of GAA.

8 One of the things that we learned on our  
9 technical expert panel call is that molecular  
10 analysis can support the diagnosis, but it's  
11 really based on the findings of the chemical  
12 changes that I've outlined above.

13 Next slide, please.

14 (Slide)

15 ALEX KEMPER: In terms of treatment, and  
16 Dr. Cuthbert really did a masterful job of  
17 explaining why this is a treatment, so I'm not  
18 going to repeat all of that. But it involves  
19 creatine and ornithine supplements, sodium  
20 benzoate, and dietary restriction of arginine.

21 Based on the technical expert panel call  
22 and other discussions that we've had, the ideal

1 timing of treatment is uncertain, but experts  
2 generally recommend to begin around two to four  
3 weeks of age. And then individuals need serum  
4 level monitoring to make sure that the dietary  
5 approach is effective.

6 And so, begins very frequently initially,  
7 but after the first few years when things begin to  
8 stable, the serum level monitoring can be spaced  
9 out to every six months.

10 Next slide, please.

11 (Slide)

12 ALEX KEMPER: Screening is based on dried  
13 blood spots, using tandem mass spec for GAA and  
14 creatine. In terms of places that are screening,  
15 in the United States, in New York, screening  
16 began in 2018. And of the approximately 537,000  
17 screened, there were 23 who were referred for  
18 further testing, leading to one diagnosis.

19 We have our call with New York. I think  
20 it's actually scheduled later in this week, but  
21 it's coming up sometime soon.

22 Utah began screening earlier in 2015.

1 They had a derivatized tandem mass spec approach  
2 at first. But in 2019 they were able to switch  
3 to a non-derivatized method, which has  
4 facilitated the ease of screening. Of the  
5 274,000 or so infants that they've screened,  
6 there were three referred for diagnostic testing  
7 and 1 that was confirmed to have GAMT deficiency.

8 Next slide, please.

9 (Slide)

10 ALEX KEMPER: So in terms of findings  
11 from colleagues in Utah, first of all Utah is a  
12 two-screen state. So at the first-tier they use  
13 UPLC tandem mass spec. GAA, or GUAC, if you want  
14 to call it that, is the primary analyte. And if  
15 that's elevated, they will look at creatine as a  
16 secondary analyte.

17 And the plan was that if there is a  
18 modest elevation of GAA on the first screen, then  
19 they try to expedite the timing of the second  
20 screen and then compare. Apparently, they're very  
21 good at being able to link their dried blood spots  
22 and see how things change.

1                   But what happened was in the one  
2 diagnosed case, the GAA level was really markedly  
3 abnormal. So that expedited the diagnostic  
4 process. So they have contracts for confirmatory  
5 testing. They don't do that in-house. But again,  
6 there are relatively small numbers of individuals  
7 who move on to confirmatory testing. And for  
8 that, they use urine and serum GAA and creatine.

9                   I put "creatinine." I apologize. I find  
10 myself falling into that trap a lot. But I meant  
11 to say "creatine" on the slide.

12                  So they have contracts for that  
13 confirmatory testing and follow-up.

14                  In terms of the cost of screening as you  
15 know, we give a range. And the screening is less  
16 than one dollar per infant. And that takes into  
17 account as well that Utah is a two-screen state  
18 per infant that's screened.

19                  Next slide, please.

20                  (Slide)

21                  ALEX KEMPER: So we're moving ahead with  
22 our usual evidence process. I showed you where

1 we were in terms of the systematic evidence  
2 review.

3 In terms of the gray literature, one  
4 place that we're going to look very carefully at  
5 is, there is a registry. Again, we don't expect  
6 that there are going to be a lot of children or  
7 individuals in a registry, just given the rarity  
8 of the disorder. But there is a registry that's  
9 maintained by the Association for Creatine  
10 Deficiencies.

11 There are novel therapies that are in  
12 early development. But I can't tell you on the  
13 call today how far things have gotten. But  
14 there's interesting developing gene therapy. And  
15 also inhibitors to reduce the production of GAA.  
16 And of course, we have the call set up, as I  
17 talked about, with New York to better understand  
18 the New York experience.

19 Next slide, please.

20 (Slide)

21 ALEX KEMPER: So moving ahead with plans  
22 for the public health system impact assessment,

1 we're going to do that in early January, given  
2 that we've just surveyed state newborn screening  
3 programs around MPS II. And also, we don't want  
4 to run into the problem of doing it right before  
5 the holidays when groups may not have a chance to  
6 really do a deep dive that they need to be able  
7 to complete this kind of survey.

8 Then again we're working with Dr. Prosser  
9 and her colleagues at the University of Michigan  
10 to do population health modeling.

11 What I'll say is it's sort of analogous  
12 to the conversations we had around MPS II.  
13 There's going to be limited quantitative data  
14 that's going to be able to predict long-term  
15 outcomes from -- GAMT Deficiency just given.  
16 Given the rarity of the conditions in what I  
17 think is going to be the available data.

18 But like MPS II, we'll be able to talk  
19 about the numbers of individuals that would be  
20 identified by newborn screening with its usual  
21 clinical care, and make recommendations in terms  
22 of things to think about for the future as well.

1                   Again I'll know more about that once  
2 we're able to learn more about the registry and  
3 complete the systematic evidentiary review.

4                   Next slide, please.

5                   (Slide)

6                   ALEX KEMPER: So with that I'll stop and  
7 open things up to questions.

8                   CYNTHIA POWELL: Thank you, Dr. Kemper.

9                   We'll now take questions, first from  
10 Committee Members, followed by organizational  
11 representatives. As usual, please use the raise-  
12 hand feature in Zoom when you'd like to make  
13 comments or ask questions. Please remember to  
14 unmute yourself and state your first and last  
15 names each time you ask a question or provide  
16 comments to ensure proper recording.

17                   (Pause)

18                   CYNTHIA POWELL: Scott Shone.

19                   SCOTT SHONE: Thank you. Thanks, Dr.  
20 Powell. Scott Shone.

21                   So, Alex, I think two of the things that  
22 jumped out on your slides. One is the referral

1      rates, and the difference between the two states  
2      seem pretty significant. So I'm hoping that your  
3      interviews will tease out a little bit of that.  
4      Because that's a substantial difference, I think.  
5      My colleagues on the N&P Workgroup will agree that  
6      that was something that we looked at when it went  
7      to the ERG.

8                So I look forward to hearing more about  
9      on the technical side what that is. So that's an  
10     ask, I suppose, on the next steps, realizing how  
11     very early you are in this process and also on  
12     top of your MPS II review.

13               I appreciate the acknowledgment on the  
14      quantitative data based on my comments from  
15      yesterday, and I just think that we need to be  
16      thinking about, as a Committee, the challenges of  
17      making some of these decisions with the different  
18      types of data that are available. I don't know  
19      that I have a good answer to that, but I know  
20      you've been talking about it, and I appreciate  
21      the discussions around those.

22               I'd just like to say I think it's really

1 dangerous -- the number that I think stuck out  
2 that's a little dangerous to say is it's less than  
3 a dollar per screening. We hear that all the  
4 time. "It's less than a dollar." "It's less than  
5 three dollars."

6 I think we need to be clear that what I  
7 think you mean, and correct me if I'm wrong, is  
8 the simple cost of the reagents to do the test,  
9 which is substantially different from the cost of  
10 screening. I think Scott Grosse will agree.  
11 Particularly if you are referring 23 babies for  
12 follow-up testing and only one is actually  
13 diagnosed, the cost of screening I think needs to  
14 be described differently.

15 So I would ask we were careful with -- if  
16 I'm right that that's just the cost of the Utah  
17 mass spec laboratory of adding those reagents,  
18 then I think we have to be that clear with our  
19 articulation. Because that becomes the line, just  
20 like the "30 meters."

21 You know, I took your 30 meters  
22 yesterday, and it was a common theme all day. And

1 I know in the end you didn't actually mean it that  
2 way. So, sorry?

3 But I think that's what then happens with  
4 "a dollar." We will hear "a dollar" forever, but  
5 that is not the cost of screening. That's the cost  
6 of a specific additional enzyme -- or analyte.

7 ALEX KEMPER: Yes. So first of all,  
8 you're exactly right that we're going to be  
9 talking to New York to better understand the  
10 differences in methods and experiences and those  
11 kinds of things. So I regret that I'm not able to  
12 share that with us. It's just the challenge of  
13 scheduling things.

14 But in terms of the dollars, so we  
15 actually did talk with Scott Grosse on that  
16 component of things. And it turns out this may  
17 actually be one of those ones where it's just not  
18 very expensive to do. And part of it is, you  
19 know, there are just not that many babies who end  
20 up getting referred for diagnostic testing. What  
21 we were told was the additional workload just  
22 wasn't that great.

1                   So we'll be able to provide more details  
2                   to you as we go forward. But I think this one may  
3                   actually be one that really isn't that much in  
4                   addition to having the newborn screening are all  
5                   going. Now, that was just based on one state, and  
6                   we actually inflated the numbers to get things  
7                   that are per baby, as well, since Utah's a two-  
8                   state screening program.

9                   So this just may be one of those ones  
10                  that is actually less expensive. But we'll have  
11                  more information for you in the comparative  
12                  summary when we talk to New York.

13                  CYNTHIA POWELL: Susan Tanksley.

14                  SUSAN TANKSLEY: Hi, Susan Tanksley,  
15                  APHL.

16                  So I just wanted to expand on what Alex  
17                  was saying about the cost, because we probed Utah  
18                  about the cost when we had the discussion  
19                  specifically with Utah. And because when they  
20                  brought on GAMT, they had transitioned from the  
21                  tandem mass spec, the testing being done at ARUP  
22                  to it being done in-house.

1                   So they brought it all on at the same  
2 time. So for them, it essentially was the cost of  
3 the reagents. And then we even asked about the  
4 confirmatory testing and that cost, and they gave  
5 us the specific dollar amounts.

6                   And then if you average that all out, it  
7 was inflated. That is specifically for Utah. And  
8 as you pointed out, you know, there is a  
9 different referral rate from New York. So we'll  
10 dive into that as well.

11                  CYNTHIA POWELL: Robert Ostrander.

12                  ROBERT OSTRANDER: Yeah, Robert  
13 Ostrander, American Academy of Family Physicians.

14                  I just want to make a comment as a member  
15 of the Follow-up and Treatment Workgroup that it  
16 is our hope that as evidence reviews go forward  
17 -- I know haven't formally included this. But  
18 it's our hope that as evidence reviews go  
19 forward, that at some point in the review there  
20 will be some blueprint, if you will, of at least  
21 the expected follow-up and treatment that will  
22 occur for these various conditions.

1                   And in this case maybe it's implied. You  
2 know, it's standard metabolic clinic. But I think  
3 we shouldn't imply it. I think we should be  
4 explicit about what we imagine the follow-up  
5 treatment to be like, as well as a comment about,  
6 you know, and based on Dr. Powell's charge to all  
7 of us, a comment based on the capacity of the  
8 system to absorb follow-up and treatment.

9                   My final comment is just probably to  
10 repeat what people have heard a million times from  
11 us about the treatment. There's two components to  
12 that - follow-up and treatment. Some of that is  
13 the clinical follow-up in doctors' offices, and  
14 some of it is follow-up in terms of measuring  
15 impact and outcomes.

16                  But I think a lot of us in follow-up and  
17 treatment would like to see a little blueprint of  
18 that in the evidence reviews so we know that we're  
19 doing a screening test for which there is a plan  
20 in place for the clinical care that follows.

21                  Thanks.

22                  CYNTHIA POWELL: Thank you.

1                   ALEX KEMPER: We'll do that. Thank you.

2                   CYNTHIA POWELL: Annamarie Saarinen.

3                   ANNAMARIE SAARINEN: Hi. Thanks.

4                   Annamarie Saarinen, Committee Member.

5                   Thanks for the update here, Alex. And  
6                   I'm sorry if I missed this part somehow, because I  
7                   think I was focused on trying to understand the  
8                   real differences between the doing it with or  
9                   without the second-tier test. And I'm not sure  
10                  I've got my head around that.

11                  ALEX KEMPER: Yeah.

12                  So I'll look through it a little more  
13                  and maybe --

14                  (Crosstalk)

15                  ALEX KEMPER: The primary markers that  
16                  they -- and again I'm going to talk about Utah  
17                  because that's who we've had the deep dive with.

18                  But the primary markers looking at the  
19                  guanidinoacetate level, and if that's elevated,  
20                  then they can look at the creatine level as a  
21                  secondary analyte. And if that looks abnormal,  
22                  basically if the GAA is sky high, then they can

1 refer directly to diagnostic testing, which just  
2 involved confirmation of GAA increasing levels in  
3 the serum and in the urine.

4 But if it's just modestly elevated or not  
5 elevated at all, then Utah has a second screen  
6 that occurs around a couple of weeks after birth.  
7 And again they look at GAA. And then there's a  
8 secondary analyte, the creatine level.

9 Does that make sense?

10 ANNAMARIE SAARINEN: Yeah. So without a  
11 population health base -- that is, if you were to  
12 -- I'm just wondering about the yield, the  
13 difference in yield between if you followed the  
14 like -- your standard practice is just going to be  
15 as a first-tier test. And you didn't  
16 automatically do that.

17 I'm just wondering about, it seems like  
18 there would be a lost of cost-savings to do that.  
19 I don't know what the potential miss rate is if  
20 you did it one way versus the other.

21 ALEX KEMPER: Okay. I will present.  
22 We'll also have more numerical stuff again after

1 we talk to New York can sort of dig through things.

2 ANNAMARIE SAARINEN: Yeah. And thanks

3 for addressing Scott's question earlier. That was

4 helpful.

5 ALEX KEMPER: Thank you, Annamarie.

6 CYNTHIA POWELL: Shawn McCandless.

7 SHAWN McCANDLESS: Shawn McCandless,

8 Committee Member.

9 Alex, I thought there were other  
10 screening programs around the world, and you  
11 didn't show those data. Could you just mention  
12 that or not? And if not, why?

13 ALEX KEMPER: Well, you're exactly  
14 correct that there are international data like the  
15 screening experience in Australia. We have the  
16 published reports from those places that we're  
17 digging through. We have not arranged any  
18 specific follow-up conversation with them in part  
19 because we just wanted to get through Utah and New  
20 York first and see if we had sufficient  
21 information.

22 But if you recall, there was that long

1 screening experience where they weren't  
2 identifying cases. But what I can tell you is  
3 that I just don't know what's going on there now  
4 and whether or not they've identified any  
5 additional cases.

6 But generally, our pattern is to look in  
7 the U.S. first and then go there. And if the  
8 Advisory Committee wants to send me to Australia,  
9 I would be honored to do that as well.

10 SHAWN McCANDLESS: I'm happy to authorize  
11 that.

12 ALEX KEMPER: Well, thank you very much.  
13 I appreciate that.

14 CYNTHIA POWELL: Any other comments or  
15 questions from either Committee Members or  
16 organizational representatives?

17 (No audible response)

18 CYNTHIA POWELL: Annamarie, you still  
19 have your hand up. Is that --

20 ANNAMARIE SAARINEN: Sorry. It's  
21 lowered.

22 CYNTHIA POWELL: No problem. No problem.

1 Just wanted to make sure you didn't have something  
2 else to add.

3 So okay. Well, very good. Thank you,  
4 Dr. Kemper. We'll look forward to the next update  
5 when we meet in February. And thank you for your  
6 work and the others who are working on this.

7 ALEX KEMPER: Thank you for your kind  
8 words and the opportunity.

9 CYNTHIA POWELL: All right. So we're now  
10 going to go on to updates from the workgroups in  
11 their meetings yesterday.

12 At the May and August 2021 meetings, the  
13 Committee invited two panels to present on  
14 challenges facing the newborn screening workforce  
15 and strategies to address workforce-related gaps.

16 Yesterday afternoon I asked Education and  
17 Training, the Follow-up and Treatment, and  
18 Laboratory Standards and Procedures Workgroups to  
19 convene in order to assess potential ways the  
20 Committee could support meeting current and future  
21 needs of the newborn screening workforce.

22 All workgroups were asked to discuss

1      whether the Committee should consider the  
2      availability of follow-up experts, whether that be  
3      clinical follow-up public health staff, laboratory  
4      staff, or others, when reviewing a new condition  
5      nominated to the Recommended Uniform Screening  
6      Panel.

7                  How could that information be collected?  
8                  And what role could the Committee play in calling  
9                  attention to identified shortages of follow-up  
10                 experts?

11                 Next slide.

12                 (Slide)

13                 CYNTHIA POWELL: For the Education and  
14                 Training Workgroup, we also asked, Where are the  
15                 major gaps in newborn screening workforce  
16                 education? Do Education and Training Workgroup  
17                 members have additional recommendations on  
18                 resources or training opportunities that support  
19                 addressing shortages in the newborn screening  
20                 workforce? How could those resources be expanded  
21                 to further strengthen the newborn screening  
22                 system?

1                   Next slide.

2                   (Slide)

3                   CYNTHIA POWELL: For the Follow-up and  
4 Treatment Workgroup, we also asked, What are the  
5 key workforce-related challenges impacting access  
6 to short- and long-term follow-up, including  
7 treatment for individuals and families identified  
8 with conditions on the RUSP? Are there examples  
9 of workforce innovations that have supported  
10 access to short- and long-term follow-up care?

11                  Next slide.

12                  (Slide)

13                  CYNTHIA POWELL: And finally, for the  
14 Laboratory Standards and Procedures Workgroup, we  
15 stated that at the August 2021 ACHDNC meeting, the  
16 Association of Public Health Laboratories outlined  
17 challenges facing the newborn screening laboratory  
18 and follow-up workforce and resources that have  
19 been used to address those challenges.

20                  We asked: are there other resources that  
21 have been used at the state or national level to  
22 address laboratory workforce challenges? And how

1 could those resources be expanded to further  
2 strengthen the newborn screening laboratory  
3 workforce?

4 So first we'll hear a presentation from  
5 the Education and Training Workgroup chaired by  
6 Dr. Jane DeLuca.

7 And I'll turn things over to Jane.

8 **EDUCATION AND TRAINING WORKGROUP UPDATE**

9 JANE DELUCA: Good morning, everyone.

10 Next slide.

11 (Slide)

12 JANE DELUCA: Okay. I'd like to  
13 acknowledge the Education and Training Workgroup  
14 members. This is a wonderful group, who are very  
15 passionate about newborn screening. And we had a  
16 very, I guess, eye-opening and spirited  
17 conversation yesterday as we addressed the  
18 questions put to us by the Committee.

19 Next slide, please.

20 (Slide)

21 JANE DELUCA: So our first question, we  
22 addressed the questions specific to Education and

1 Training Workgroup: What are the major gaps in  
2 newborn screening workforce education? Do  
3 Education and Training Workgroup members have  
4 additional recommendations on resources or  
5 training opportunities that support addressing  
6 shortages in the newborn screening workforce? How  
7 could those resources be expanded to further  
8 strengthen the NBS system?

9 Next slide.

10 (Slide)

11 JANE DELUCA: So first we set about  
12 clarifying the question and wanted to know whether  
13 this included people who worked within newborn  
14 screening, or were we talking about families or  
15 systems in general?

16 And yes, it includes everybody who is  
17 part of the newborn screening system. This is a  
18 laboratory, public health practitioners,  
19 clinicians, in short- and long-term follow-up  
20 programs.

21 Next slide.

22 (Slide)

1                   JANE DELUCA: So again we sort of  
2 situated ourselves into, How do we start to think  
3 about this question? And there are of course  
4 phases of newborn screening -- pre-analytical,  
5 provider, clinical, applications, and the long-  
6 and short-term care.

7                   Dr. Tarini had written an excellent  
8 article about the different steps of the newborn  
9 screening process. So we felt that special  
10 education is needed for each phase of the  
11 screening process.

12                  Now, this Committee worked on a newborn  
13 screen educational planning guide some years ago  
14 and identified a large group of stakeholders and  
15 what they needed to know about newborn screening,  
16 but didn't apply formal education, ideas, or  
17 sources for stakeholder groups to improve their  
18 knowledge. But that guide can be accessed on the  
19 Advisory Committee website.

20                  So expertise in newborn screening often  
21 begins in the workplace, within laboratories, on-  
22 the-job training, internet sources such as

1      New Steps, and other ad-hoc mechanisms.

2                  Next slide.

3                  (Slide)

4                  JANE DELUCA: So, we talked about some  
5      formal programs for educating newborn screening  
6      workforce, mostly for advanced practice providers  
7      such as the LSD fellowship, which is a commercial  
8      entity that basically funds LSD fellows, who are  
9      typically newborn screening nurse practitioners  
10     or PAs.

11                There is also NAMA, which can educate  
12     advanced-practice providers, tends to be a bit of  
13     a higher level, people who have been working in  
14     the area for a bit longer. They probably would  
15     get the most out of that. But can more  
16     professional organizations be charged with  
17     providing training?

18                So what are we educating for? There are  
19     different levels of education that are needed. We  
20     have different philosophies within newborn  
21     screening and such a wide array of practitioners  
22     and staff. We have public health people, there

1      are precision medicine people, laboratorians, and  
2      they are all interacting, all at the same time and  
3      constantly.

4                 So it was pointed out that there is very  
5      limited time for educating workforce. Clinicians  
6      and staff are focused on complicated tasks and the  
7      issues within newborn screening, so this is a real  
8      concern in terms of carving out time for people to  
9      be able to do that in-depth learning rather than a  
10     sort of superficial skimming across some  
11     information, you know, where you can develop real  
12     knowledge about the field or a particular aspect  
13     of the field.

14                Personnel shortages are up and down in  
15      the newborn screening workforce. So how do we  
16      appeal to people to keep them within the programs?  
17      There are very few metabolic slots for trainees,  
18      for fellows. Can we enlist more MPH students,  
19      counselors to be trained up in newborn screening?  
20      And what kind of incentives can be offered?

21                Personnel often train up and leave. They  
22      go to greener pastures because there may be better

1 opportunities in terms of pay and lifestyle.  
2 Also, there are other groups in need of point of  
3 care education. So just couriers, obstetricians,  
4 dieticians, social workers, and technicians.

5 Next slide.

6 (Slide)

7 JANE DELUCA: So what are we looking for  
8 in personnel? Do we want a match? Do we want a  
9 staff committed to newborn screening on all  
10 levels? So by matching, it could actually be even  
11 personality. You know, that you have a team that  
12 works very well together. So APHL has a  
13 workforce development project that is ongoing.  
14 And that is something we can look into.

15 Public health personnel are on hold or  
16 holding on for now during the pandemic. And  
17 they're committed to seeing this through. But  
18 they may leave if they can because the stress  
19 levels are immense within this staffing. So this  
20 is really, really important then, as we know  
21 there's been so much pressure on people working  
22 in public health. So that needs to be addressed.

1           There are shortages of lab workers and  
2   also data managers, which is something we, or I  
3   hadn't really thought about in terms of newborn  
4   screening. So Genetics in Medicine had published  
5   two articles, one on staff shortages and one on  
6   the current conditions in the genetics practice,  
7   which are, I'm sure, sobering. So you could take  
8   a look at those.

9           And also, the concern with adding more  
10   disorders to the RUSP, if states and staff already  
11   have difficulty keeping up, is of paramount  
12   concern.

13           Next slide, please.

14           (Slide)

15           JANE DELUCA: So what is at the center of  
16   screening? So we kind of brought it back to the  
17   family and to the infants who are referred to our  
18   care. So what's the purpose of educating  
19   providers and families? We had ideas, considering  
20   to improve the ACT sheets and providing more  
21   information to families to create links on the ACT  
22   sheets for communication guides, to be able to

1 speak to parents during that initial discussion.

2 Some states link communication guides to  
3 the ACT sheets or directly to Baby's First Fest.

4 So what are these interactions, the  
5 return of results, the moment when a person  
6 conveys the information and family reactions?

7 What metrics can we gauge to understand the  
8 impact and the effectiveness of this?

9 Now, there's a lot of factual information  
10 available, but families could go to the internet  
11 and they could find bad or old information. So  
12 how do you keep the information factual and up to  
13 date for them?

14

15 Next slide, please.

16 (Slide)

17 JANE DELUCA: So communicating  
18 information effectively. It can be a matter of  
19 trusting the provider. Now we know these are  
20 difficult times for establishing trust with new  
21 patients within medical providers and systems, and  
22 there has been a mistrust of governmental

1 agencies.

2                   So can providers answer the questions  
3 that families pose? There can be direct harm to  
4 families due to poor communication and knowledge  
5 deficits. So we do create a communication guide  
6 for clinicians and providers. It's a simple one  
7 sheet, and it was put out a few years ago in terms  
8 of framing the conversation for notifying and  
9 speaking to families.

10                  But this is a very, very difficult  
11 process. And more needs to be applied here.  
12 When we have more complex disorders that are  
13 coming on-board and more complex educational  
14 messages, this can very difficult for even an  
15 experienced clinician to be able to talk to this  
16 about families that are brought into the system  
17 because of an abnormal screen.

18                  Next slide, please.

19                  (Slide)

20                  JANE DELUCA: So, how can we pull people  
21 in and engage people into the newborn screening  
22 workforce so we can target them young. Okay. And

1 then we spoke about that there are programs to  
2 talk to younger people in high schools about  
3 genetics, but not newborn-screening specific.

4 Do we need to be thinking about newborn  
5 screening as a specialty that people can be  
6 trained in? We tend not to speak about newborn  
7 screening in those terms. It's sort of like, who  
8 owns newborn screening? Can we make this a  
9 specialty that could be attractive to people?

10 Patient navigators could be needed. They  
11 could be nurses or genetic counselors, health  
12 educators, midwives. And one of the goals that  
13 they could do is to be able to build trust to  
14 deliver better education to parents.

15 So we can get creative in terms of how to  
16 pull in professionals from other areas. You know,  
17 we target people that we work with who are not in  
18 newborn screening, but maybe they are ready for a  
19 change or interested in a new challenge and we can  
20 move them into a newborn screening role.

21 Next slide, please.

22 (Slide)

1                   JANE DELUCA: So educating parents. This  
2 is a busy slide; I apologize. But we were trying  
3 to be current and bring this up to date. So  
4 providing education in doses and formats that are  
5 appropriate for today's parents. So there are  
6 great packages of information out there, but  
7 they're no good if people don't look beyond the  
8 first page.

9                   So we could target OBs and midwives and  
10 doulas, and mothers may be more open to discussing  
11 newborn screening and learning about newborn  
12 screening from them.

13                  One of our Committee Members identified  
14 and discussed the successful pilot that was  
15 performed where researchers went into an OB office  
16 and set up an education program. Although the  
17 OB's were too busy, they were champions of it, and  
18 results are pending on this. It sounds quite  
19 successful.

20                  Birthing centers and classes are  
21 outdated. Videos in waiting rooms to discuss  
22 newborn screening may help with information

1 delivery. Attempts to pull in prenatal genetic  
2 counselors have occurred, but they're very busy  
3 with discussions that they have with patients and  
4 particularly NIPT. And just-in-time education may  
5 be more effective for that group.

6 OB clinics are different from each other.  
7 So what is needed? How can that be tailored  
8 individually for them? These clinics are very  
9 busy with their own health teaching, SIDS, and  
10 other important issues.

11 So what changes do we want to see, how do  
12 we want to do this? There's a prenatal checklist  
13 that was developed for parents through a grant.  
14 The packet goes to OBs, and there are videos on  
15 YouTube, and data are forthcoming from this  
16 project. We'll look at maternal behaviors before  
17 and after the education is delivered.

18 And one novel idea was to offer prizes  
19 for completing newborn screening education for  
20 parents and families. And I think our group got  
21 very excited about that, the thought about  
22 offering some sort of reward for people educating

1 themselves.

2 Next slide, please.

3 (Slide)

4 JANE DELUCA: So what change do we want  
5 to see? Small newborn screening programs cannot  
6 compete with larger research-oriented  
7 organizations in personnel and resources. And we  
8 were curious as to where money goes to the states.

9 Where does funding actually go? Treatment centers  
10 used to receive funding, and that was sort of  
11 direct. And now this has been diffused and we're  
12 not exactly sure where funds are allocated.

13 So we need to think outside the box to  
14 address gaps. This is very serious. We are  
15 probably at a crossroads where this is. And we  
16 need to think bigger, possibly involving regional  
17 models or contracted services that can help.

18 So we're looking for other models to try  
19 to deal with this on a state-to-state basis or  
20 what else might be needed. Again we're looking  
21 towards larger groups being able to provide their  
22 input for newborn screening programs and thinking

1       about new regional newborn screening consultants.

2                  Next slide.

3                          (Slide)

4                  JANE DELUCA: So our last question was  
5       about, should the Committee consider the  
6       availability of follow-up experts when reviewing a  
7       new condition nominated to the RUSP?

8                  Next slide.

9                          (Slide)

10                 JANE DELUCA: This was actually a really  
11       good idea, but there was a problem with the  
12       question. No newborn screening program is sitting  
13       around with extra capacity, waiting for new  
14       conditions. Availability of an expert needs to be  
15       taken into account if reviewing a new condition.  
16       But this really seemed like it would be something  
17       that could be very valuable in terms of conditions  
18       being thought about for the RUSP.

19                 Newborn screening programs are very  
20       optimistic and wanting to care for everyone all  
21       the time, but they need to be realistic in terms  
22       of the limitations of their resources.

1           If a state is not thinking about  
2 disorders, then you don't have that information.  
3 You don't know what's going out there. It may be  
4 in a research phase, and some states don't do  
5 research.

6           We'd like to see professional groups  
7 looped in. Is it possible? And see what the  
8 systems and capacity are on a national level.  
9 What stage would make newborn screening a  
10 priority? If newborn screening is not a priority,  
11 it could be. So we could raise a bit more money,  
12 find funding, and have this be a major public  
13 health priority within the states.

14           So what are issues within individual  
15 states? We know there are pressures with greater  
16 expansion on all levels from departments of health  
17 all the way down. So there are historical  
18 inequities that persist across states.

19           This is an extremely important issue, and  
20 why is it left to the states to deal with is very  
21 concerning because it does cross all the states in  
22 the nation. There are not enough specialists. We

1 have limited resources for support. And we need  
2 to really think about how to level the inequity of  
3 newborn screening programs across the nation.

4 Next slide, please.

5 (Slide)

6 JANE DELUCA: So outcomes can be  
7 different in different systems and states.  
8 Historically, PKU rolled out, but how do we make  
9 this more equitable across the states? How can we  
10 again think about maybe regional and regional  
11 approaches for this? The New England region,  
12 there is a system there. How is this done? We  
13 could look at that.

14 Public health assessments are limited per  
15 the government, but this has expanded. It used  
16 to be a program where you could only look at nine  
17 states at a time in terms of their public health  
18 activities, but now it's broadened.

19 When reviewing our condition for the  
20 RUSP, how can information be collected? We had  
21 the idea of possibly taking states that are  
22 already screening for a disorder and kind of

1 making that into a model where we could look  
2 across all the newborn screening activities, from  
3 the point of having heuristic or pre-education  
4 for parents, all the way through to create a model  
5 so that other states can look at that and look at,  
6 by degrees, every single step of the way.

7 We thought that maybe we could do that  
8 with a state that's not screening and look at  
9 their capacity, but maybe that compel them to  
10 screen. So we were worried about that. But this  
11 idea of creating a model of a state that may be  
12 already screening a disorder could be very  
13 valuable for others who are thinking down the road  
14 for including that disorder in their screening  
15 panel, or they could include a case study or two.

16 So this needs to be a careful approach  
17 because again that added pressure to a state to  
18 add a disorder when they're not ready, you know,  
19 could be detrimental.

20 Next slide, please.

21 (Slide)

22 JANE DELUCA: So, how could information

1   be collected? This was very broad and needs to be  
2   considered in depth. So much is being asked at  
3   once. So we want to think about this, I think,  
4   over time.

5                 Next slide, please.

6                 (Slide)

7                 JANE DELUCA: And in summary, there are  
8   many important issues that are put forth that need  
9   careful consideration. We need to think  
10   differently. And we need to acknowledge that  
11   state-by-state programs might not work moving  
12   forward, and we need new approaches.

13               Again we return to this idea of a  
14   regional approach, and we thought that we could  
15   consider developing a white paper article to talk  
16   about workforce educational needs and these  
17   newborn screening staffing shortages.

18               Thank you.

19               CYNTHIA POWELL: Thank you, Dr. DeLuca.  
20   It was certainly a very rich and thoughtful  
21   discussion yesterday, and you did a great job  
22   synthesizing all of the different comments that

1    were brought up.

2                 Just as a reminder, we'll hold questions  
3    and comments until all three workgroups have  
4    presented.

5                 Next up we have the Follow-up and  
6    Treatment Workgroup chaired by Dr. Jeffrey Brosco  
7    and co-chaired by Dr. Christopher Kus. Today Dr.  
8    Brosco will present the workgroup update.

9                 Before I turn it over to Dr. Brosco, I'd  
10   like to take the opportunity to acknowledge and  
11   thank him for his service as chair of the Follow-  
12   up and Treatment Workgroup, as he rotates off, and  
13   this, as we mentioned yesterday, is his last  
14   meeting.

15                 Over the years, he has led this dynamic  
16   and active workgroup to bring many important ideas  
17   forward for the Committee's consideration. On  
18   behalf of the Committee and the Follow-up and  
19   Treatment Workgroup, thank you for your service.

20                 I am pleased to announce that after, Kyle  
21   Brothers will assume the role of Follow-up and  
22   Treatment Workgroup chair. Dr. Brothers has been

1 a member of the workgroup since joining the  
2 Committee in 2019 and will bring his expertise in  
3 primary care, ethics in human genetics, and the  
4 translation of health technologies into clinical  
5 care to this workgroup.

6 Dr. Brosco, I'll now turn it over to you  
7 to provide the workgroup update.

8 **FOLLOW-UP AND TREATMENT WORKGROUP UPDATE**

9 DR. BROSCO: Thank you, Dr. Powell, for  
10 your kind words.

11 Next slide, please.

12 (Slide)

13 DR. BROSCO: So this is our workgroup.  
14 As you can see, Kyle will be taking over as chair.  
15 He is in blue there. And Annamarie and I are  
16 stepping off. We are going to really miss this.  
17 This was a wonderful workgroup over the years,  
18 lots of energy and excitement. And one other new  
19 member is Gerard Berry, joining as well, for the  
20 Society of Inherited Metabolic Disorders.

21 Next slide, please.

22 (Slide)

1 DR. BROSCO: We tried to sum up as best  
2 we could the key things we talked about. So a lot  
3 of stuff is not detailed in this. I just want to  
4 remind everyone that these are the key questions,  
5 the workforce-related big challenges impacting  
6 access to short-term and long-term follow-up,  
7 including treatment, and workforce innovations.

8 Next slide, please.

9 (Slide)

10 DR. BROSCO: So in terms of workforce  
11 challenges, this really dovetails nicely with what  
12 we just heard regarding education and training.  
13 And clearly, there are not enough specialists;  
14 this is well documented. And whether we're  
15 talking about dieticians, genetic counselors,  
16 social workers, others with newborn screening  
17 expertise.

18 And physician specialties, there's been a  
19 lot of documentation about the limited number of  
20 geneticists and endocrinologists and neurologists  
21 that are trained to deal with newborn screening  
22 conditions.

1                   And one of the questions I pushed the  
2 group on is, Well, does newborn screening make  
3 this any worse? I mean, we already have this  
4 shortage. And there was a general agreement that  
5 there are a couple of things about newborn  
6 screening that, when there's a new condition  
7 added, it does do a few things.

8                   One is that basically taking care of kids  
9 starts earlier in their lives, so there are more  
10 visits than if they were clinically identified.  
11 And second, there are a fair number of children  
12 who don't have a clear diagnosis -- those  
13 presumptive positives and other varieties of that.  
14 So there is more work because of a condition added  
15 to newborn screening.

16                  And a few folks brought up that burnout  
17 is not uncommon. This is really hard work. One  
18 our metabolic folks said, "I'm basically doing  
19 critical care medicine, but doing it as an  
20 outpatient on call 24 hours a day, seven days a  
21 week the entire year."

22                  The treatment protocols, especially for

1 new conditions, are not usually easily available  
2 or sometimes they're unfamiliar when it first  
3 starts. And one of the neurologists mentioned  
4 that particularly for presymptomatic children, she  
5 says, "All I have to offer the family is worry."  
6 And that's draining for the clinician as well.  
7 It's hard watching families have to go through  
8 that.

9 But I will say in all these workforce  
10 challenges, they're really built on assumptions  
11 that we have about current models of care. That  
12 is, you can say we don't have enough pediatric  
13 endocrinologists if the assumption is every child  
14 with hyperthyroidism has to be taken of by an  
15 endocrinologist.

16 And one of the things we talked about is  
17 how in most of the rest of the world,  
18 pediatricians are in fact specialists. Most  
19 primary care happens with general physicians,  
20 family medicine doctors, nurse practitioners. And  
21 pediatricians routinely handle much more  
22 complicated things than at least they do in urban

1 centers in the United States.

2 So the model of care that we have, this  
3 is just one example, is not necessarily the one  
4 that has to be going forward. So we don't want to  
5 spend too much time in challenges. So next slide.  
6 We'll talk about some of things about how to  
7 address this.

8 (Slide)

9 DR. BROSCO: So we see telehealth is the  
10 big change in the last year-and-a-half for how  
11 medicine works. And then some things that are  
12 working really well -- there's no doubt that  
13 direct patient care is much better for families  
14 who live far away from urban centers. And even  
15 inside urban centers, right, it takes probably an  
16 hour-and-a-half to get from one side of Miami to  
17 the other. So it doesn't even have to be a great  
18 distance. It's clear that we have better access  
19 to care because of telehealth. And that's the  
20 direct patient care side.

21 The other one that's really worth  
22 spending some time thinking about is more of a

1 consultation model in which a primary care  
2 clinician still has responsibility for taking care  
3 of the child with a newborn screening condition,  
4 but because they are linked into a metabolic  
5 center or a clinical specialist who has the  
6 knowledge of how clinical guidelines work, they  
7 can fine-tune their treatment of that condition,  
8 but also have that sort of personal connection to  
9 the family.

10 So these sorts of models have been tried  
11 in a number of places. For example, right now  
12 MCHB is supporting states to do this with child  
13 psychiatry consultations for primary care  
14 providers.

15 The challenge is for telehealth more  
16 generally -- I'm not going to list all of them.  
17 But obviously, payment may change fairly  
18 dramatically as the pandemic recedes. Right now  
19 it is a good economic deal to do telehealth, but  
20 that's changing already.

21 And secondly, when you think about those  
22 consultation models for a specialist who helps out

1 a primary care person or a doc at a distance or a  
2 nurse practitioner, where does the medical/legal  
3 responsibility begin and end? That sort of makes  
4 it complicated.

5 And the other part, and this sort of came  
6 up as implied in Jane's talk, well, if you had a  
7 regional model and your geneticist is in one  
8 state, how does that person help in another state  
9 where they're not licensed to practice?

10 So one thing we talked about is, could  
11 there be a federal designation of declared  
12 shortages, right, that says, "In this part of the  
13 world, there are not enough child neurologists.  
14 And so therefore, we can go across state borders  
15 to provide care"? That was sort of one example of  
16 how we might be able to move forward.

17 Next slide, please.

18 (Slide)

19 DR. BROSCO: Payment systems matter,  
20 right? So one of the reasons why there are  
21 shortages of all of us in newborn screening is  
22 that we get paid a lot less than in other parts of

1 medicine.

2 But also a few people noted that we in  
3 the United States devote enormous resources to  
4 health care already, probably double that of just  
5 about any industrialized democracy. So it's not  
6 that there's not enough money in the system,  
7 particularly if you think about other ways to  
8 spend funds.

9 And then just a couple of words about  
10 value-based payment mechanisms. And we don't need  
11 to get too deep in the weeds. But here's an  
12 opportunity for dramatically changing the system  
13 of care. And whether we're talking about bundled  
14 payments for individual conditions or more global  
15 accountable care organizations, in both cases it's  
16 a chance for the health care team to use their  
17 resources in a way that maximizes quality.

18 And what do I mean by that? Just imagine  
19 for a second that when a child is born with PKU,  
20 there is a health care PKU team that's expert in  
21 that state that gets paid, who-knows, \$10,000 per  
22 year for the lifetime of that child.

1                   And they get to decide how to use those  
2 funds. So how much would be for the dietician?  
3 How much would be for a family peer? How much  
4 would be a for community health worker? You don't  
5 need to have all the funds go -- all the payment  
6 for a child with PKU funnelled through the  
7 clinical geneticist, who then feels like he or she  
8 is doing all the work.

9                   So this kind of model really could change  
10 the way we do things and allow the training that  
11 Jane was talking about for all levels of newborn  
12 screening to be properly supported in the health  
13 care system.

14                   So there's really a potential for a  
15 turnaround investment and something worth  
16 investigating, probably in the form of a white  
17 paper or something like that.

18                   Now, of course the challenge to this is  
19 that the epidemiology of child health in the  
20 United States makes value-based payments really  
21 difficult. Because most kids are healthy, newborn  
22 screening conditions are rare, so it makes it

1   economically and logistically very challenging to  
2   do this kind of population health management.

3                 We will point out that the overall system  
4   of care, we do know what to do. The AMCHP has  
5   mapped out how to improve the system, so we have a  
6   roadmap of what to do. We already know where to  
7   go. It's just a matter of the will to get there.

8                 Next slide, please.

9                 (Slide)

10                 DR. BROSCO: So the other questions that  
11   were asked were about whether the Committee should  
12   consider the availability of follow-up experts,  
13   especially clinical experts, how that information  
14   would be collected, and what should the  
15   Committee's role be?

16                 So, next slide, please.

17                 (Slide)

18                 DR. BROSCO: So starting with, Should the  
19   Committee consider the availability of follow-up  
20   clinical experts when reviewing a condition? If  
21   we're talking about availability of treatment  
22   presented as a yes/no, then there were really

1 mixed opinions. And some said "No, we should not  
2 screen for a condition you can't treat." So if  
3 there really aren't clinicians available to do any  
4 of this work, then it is wrong to screen for a  
5 condition -- sort of basic Screening 101.

6 But others said just as strongly, "Yes.

7 That is, if a treatment exists out there, we  
8 should have the wherewithal to be able to get it  
9 to that child and that family."

10 Is it okay to add --

11 (Dropped audio from 01:03:50 to 01:04:04)

12 CYNTHIA POWELL: Jeff, it looks like  
13 we're having some connectivity problems with you.  
14 I don't know if you didn't hear me. You may need  
15 to log out.

16 MIA MORRISON: Maybe go off camera.

17 ANNAMARIE SAARINEN: I was going to say  
18 maybe Kyle can step in if we are unable to message  
19 him to see if he can get back on.

20 (Pause)

21 SCOTT SHONE: Looks like he's trying to  
22 reconnect.

1                   KYLE BROTHERS: Annamarie, you actually  
2 cut out when you mentioned me.

3                   (Laughter)

4                   KYLE BROTHERS: So I couldn't hear what  
5 you were saying.

6                   ANNAMARIE SAARINEN: Oh, I was just  
7 saying you could step in on this slide if you  
8 wanted to cover for him in case he kept having  
9 connection issues. Sorry, Kyle.

10                  (Laughter)

11                  CYNTHIA POWELL: Let's give Jeff a minute  
12 to log back in. That might help.

13                  (Pause)

14                  MIA MORRISON: And, Dr. Powell, if Dr.  
15 Brosco is unable to reconnect, looks like he had  
16 just one slide left in his presentation. So maybe  
17 we could cover that when we open it up for  
18 discussion.

19                  CYNTHIA POWELL: Yeah. Okay. Let's give  
20 him just a few more seconds, and then we could go  
21 to Dr. Kelm next.

22                  (Pause)

1                   CYNTHIA POWELL: Okay. Yeah, we'll  
2 definitely give Dr. Brosco some time to finish up,  
3 hopefully when he's able to log back in and we can  
4 hear him.

5                   If we could now go to Dr. Kelm's  
6 presentation from the Laboratory Standards and  
7 Procedures Workgroup Update.

8                   Dr. Kellie Kelm and Dr. Susan Tanksley  
9 are the chair and co-chair of the Lab Standards  
10 and Procedures Workgroup. And Dr. Kelm will give  
11 the update for that workgroup.

12                  (Pause)

**13                 LABORATORY STANDARDS AND PROCEDURES**

**14                 WORKGROUP UPDATE**

15                  KELLIE KELM: While they're loading the  
16 slides, it was a very interesting discussion. And  
17 as always, I learned a lot. And the great news,  
18 we had just about everybody from our group was  
19 able to attend except for one person, who  
20 unfortunately was unable to join yesterday. So it  
21 was well attended, and it was a very fruitful  
22 discussion.

1                   Let me see. There we go.

2                   (Pause)

3                   KELLIE KELM: And Jeff is back.

4                   (Laughter)

5                   DR. BROSCO: Sorry about that. Internet  
6 issues. My wife got lost, too, in the wilderness  
7 here.

8                   CYNTHIA POWELL: So I think we'll go  
9 ahead with Dr. Kelm's presentation. And then  
10 before we open it up to discussion, we'll let Dr.  
11 Brosco finish up his presentation.

12                  KELLIE KELM: Yes. So we were, as was  
13 discussed yesterday, unfortunately we are going to  
14 be losing Dr. Baker by the end of the year and all  
15 of her contributions to our workgroup discussions.  
16 So, anyway.

17                  Next slide.

18                  (Slide)

19                  KELLIE KELM: So this is the specific  
20 question that was asked of our workgroup about the  
21 laboratory follow-up workforce challenges. So the  
22 questions were, Are there other resources that

1 have been used at the state or national level to  
2 address laboratory workforce challenges? How  
3 could those resources be expanded to further  
4 strengthen the newborn screening laboratory  
5 workforce?

6 Next slide.

7 (Slide)

8 KELLIE KELM: So we actually started with  
9 Susan, the co-chair of our workgroup, who let us  
10 know that there actually is an APHL Workforce  
11 Workgroup that actually started before COVID-19  
12 trying to address the workforce issue. So this  
13 was already something that APHL was looking at  
14 before COVID-19 obviously made things even more  
15 difficult.

16 So that workgroup is still meeting. I  
17 think Susan said, you know, she's got two of the  
18 more difficult workgroups, and this is one that is  
19 still working. And some of the goals include  
20 examining each program and then identifying  
21 critical components common to all newborn  
22 screening laboratory programs. And that in order

1 to develop an APHL position statement that will  
2 lay out critical components of newborn screening  
3 programs that everyone needs to staff at a  
4 minimum.

5 Obviously they don't want people to use  
6 that and only staff that, but this is at least  
7 identifying that so that states can also identify  
8 gaps and places where they need to hire.

9 So then getting into programs that are  
10 already being used by labs and then discussing  
11 whether or not those could be, for example,  
12 expanded. The one program that I think was the  
13 most favorable and was mentioned by everybody was,  
14 you know, the fellowships that have been out there  
15 that have already been expanded and, of course,  
16 were highlighted as a place where we could expand  
17 more.

18 You know, they point to APHL fellowships,  
19 that that program gets very high marks. And then  
20 Max from ACMG let us know that they have  
21 fellowships in genetics and genomics that have  
22 been in the clinical space, but they actually

1 added a fellowship for laboratory genetics and  
2 genomics.

3 And so ACMG has expanded, and so this is  
4 a space that, as I said, a lot of the programs and  
5 the people who have been in the fellowship  
6 programs really highlight as a place that we could  
7 identify future leaders, people who can really  
8 come in and help a lot of the programs.

9 A lot of the labs do apply for the grants  
10 that are available, whether that's for, for  
11 example, bringing on new conditions, and using  
12 that to also help with staff. But of course,  
13 those grants are often limited in terms of time  
14 and come with the administrative burden that  
15 programs need to figure out how to take on. And  
16 they are obviously often trying to minimize new  
17 administrative burden. So grants can be extended  
18 with that caveat.

19 Next slide.

20 (Slide)

21 KELLIE KELM: One thing that we heard as  
22 a constant thread through the whole entire

1     afternoon's discussion was of pay. That all of  
2     the labs are struggling with maintaining, bringing  
3     on and keeping staff for any length of time, and  
4     the need to increase pay across the board to  
5     compete with other industries and laboratories.

6                 There was a lot of discussion of some  
7     programs even changing their outlooks on the new  
8     folks they're bringing on to really thinking that  
9     they're going to be there for two to five years.  
10    And then they'll probably lose them because either  
11    most of the new people that they are bringing on  
12    tend to jump to other labs, industries.

13                And of course, you know, there was a lot  
14    of discussion about some of the labs that are in  
15    cities with other opportunities, you'll see that  
16    happen a lot more often than some other places,  
17    depending on the location. But this is a constant  
18    problem that everybody is seeing. But obviously,  
19    no easy way to figure out how to increase public  
20    health lab salaries across the board.

21                But then we went on to spending some time  
22    talking about some of the incentive programs that

1 some of our own workgroup members have used  
2 themselves. And these things in some cases have  
3 been positive. Some of these are local or  
4 program-dependent. It really depends often on the  
5 resources about where you are.

6 So some people touched on paid training  
7 when you owe a certain number of years of work  
8 after you finish your graduation degree. And in  
9 some cases, that has led to people staying on in  
10 the newborn screening area where maybe they  
11 wouldn't have, and maybe staying on even beyond  
12 the time that they owe.

13 Loan repayment programs. So the federal  
14 program for public servant repayments, after 10  
15 years, is mentioned. Of course that program has  
16 been fraught with issues, but obviously it is one  
17 that some people can use.

18 Some places will actually pay for their  
19 staff to take classes or earn degrees through the  
20 public university system. And that's an incentive  
21 to also build leaders in your program and hoping  
22 that by doing that, they will actually want to

1 stay and move up in your organization.

2 And then we heard about labs. Even  
3 though it's not typical for them to do telework,  
4 trying to figure out ways to offer that just as  
5 benefits or incentive to keeping some of the  
6 staff on, figuring out ways to make that work.

7 It turns out that some states actually  
8 require clinical laboratory certification or  
9 licensure in order to work at the public health  
10 labs -- sorry, I mean in the state labs as staff.  
11 But some of the public health labs actually have  
12 exemptions. But often that is for just a short  
13 period of time.

14 So they stay and they earn their  
15 certification or licensure because they have that  
16 limited time that they're exempted from needing  
17 it. But often, once they earn their  
18 certification, then they are more attractive and  
19 can actually go to some of the labs that might  
20 pay more than the public health labs.

21 So could we extend exemptions so they may  
22 actually stay in the public health labs and not go

1 to the other labs?

2 And we did hear, you know, some interest  
3 in helping some sort of mentoring across programs,  
4 whether this is regional or federal. For some of  
5 the labs that are smaller have less ability in  
6 terms of people or time to do that and help with  
7 development. So helping, once again, to develop  
8 leaders and future lab directors in the public  
9 health space.

10 Next slide.

11 (Slide)

12 KELLIE KELM: So the last idea and the  
13 one that I think did take a good chunk of time was  
14 that, you know, somebody mentioned that there are  
15 cooperative agreements between the federal  
16 government and public health labs, and they  
17 pointed to these two existing programs, the Public  
18 Health Emergency Preparedness, and Epidemiology  
19 and Laboratory Capacity programs.

20 And this is additional funding that the  
21 programs get in that, you know, the benefits  
22 obviously are, number one, an ability that those

1 programs and that funding help with staff, you  
2 know, funding employees as well as infrastructure.

3 And lastly, although sometimes I'm sure  
4 people think of these things as a con that there's  
5 a governance structure that they would have in  
6 order to be able to make sure that their program  
7 is addressing the types of goals or  
8 recommendations that they have to meet as part of  
9 the cooperative agreement.

10 But, you know, this kind of program could  
11 be built for newborn screening, and in some ways  
12 people thought that some of the negative pieces  
13 could actually really help newborn screening, a  
14 lot of things that we've been talking about for  
15 the last 10 years.

16 So, yes. So number one, obviously a  
17 program like this could help fund adding full-time  
18 employees. And that would be in exchange for  
19 meeting goals or recommendations around newborn  
20 screening. And obviously, as I said, we've talked  
21 about a lot of these things -- timeliness, quality  
22 assurance, adding and beefing up follow-up and

1 other things, and other recommendations and goals  
2 that our own Committee has thought about and could  
3 ask programs to meet.

4 The governance structure and the ones  
5 that ELC and PHEP already have require staff from  
6 across the program to come together, and they want  
7 to discuss ways to tackle the program issues and  
8 activities and goals that these cooperative  
9 agreements, you know, ask these programs to meet.

10 So in this way, the governance structure  
11 in the state could -- you know, they now have to  
12 come together and actually tackle these program  
13 issues. Perhaps some of these longstanding issues  
14 of the newborn screening system that we've been  
15 talking about for many years, and even that with  
16 Melissa from RTI talked about yesterday, that we  
17 talk about often -- you know, how can we tackle  
18 these things with what we have?

19 So the pros about this would be helping  
20 to build infrastructure as well. You know, a  
21 program like this could help tackle some of the  
22 disparities between states that, once again, we

1 talk about often and that both Jeff and Jane have  
2 talked about.

3 And that is part of one -- states, I  
4 think, write up these agreements. They fold the  
5 administrative piece into the agreement. So it's  
6 also funded.

7 The one thing that obviously is an issue  
8 is finding funding for this. And that we heard  
9 from both our CDC and HRSA colleagues that that  
10 would obviously have to be a discussion that would  
11 need to be had. It's not that we have money  
12 already here to do it. So we would have to fund  
13 that. We would have to find funding to do that.

14 Next slide.

15 (Slide)

16 KELLIE KELM: So the other question was  
17 about whether or not we should consider the  
18 availability of follow-up experts when reviewing a  
19 new condition nominated to the RUSP and how we  
20 would collect that. So, you know, this was also  
21 very interesting.

22 Next slide.

1 (Slide)

2 KELLIE KELM: And I say that I think I  
3 heard a lot from the other groups as well in terms  
4 of discussing this. And I will say that in our  
5 own discussion, you know, a lot of this and how we  
6 would collect it and what we would collect, a lot  
7 of this we've thought would depend on whether or  
8 not we're talking about a condition in the new  
9 area.

10 So are we talking about, you know, new  
11 tests, new follow-ups, new clinicians that haven't  
12 been involved in newborn screening before? Or  
13 whether or not we're expanding a condition in an  
14 area where we're already doing newborn screening?

15 So, for example, adding SMA, we're  
16 talking about bringing in neurologists as an  
17 example of clinicians who may not have been  
18 involved in newborn screening before. Whereas  
19 rare metabolic conditions, we already have  
20 metabolic specialists involved. If it's rare,  
21 we're talking about a small number. The context  
22 there does make a difference.

1                   The other thing that was really  
2 mentioned, and this is hard to know sometimes  
3 ahead of time in terms of how you might want to  
4 ask these questions though, is, Do we have a good  
5 test?

6                   And what I mean by that is, you know, if  
7 we have robust pilot studies along with a test  
8 that has very good positive predictive value, then  
9 we know that the babies whom we're identifying and  
10 who will be referred will need care. And we're  
11 having fewer false positives, so who is moving on  
12 from newborn screening? That's a small number in  
13 terms of the burden on the system and whether or  
14 not we're worried about potential for harm.

15                  I mean, all of that is obviously  
16 different in this case of this type of the  
17 condition that checks these boxes. And obviously  
18 it's going to be a lot harder if we're talking  
19 about smaller numbers, smaller confidence in what  
20 we're seeing. You know, the test is not as good  
21 and we're a little bit worried, a little bit more  
22 concerned about what number of babies have to go

1 on to confirmatory testing, et cetera.

2 And this already came up today, this  
3 issue with disparities in specialists. And we  
4 thought about, obviously from the perspective of  
5 our lab folks, you know, we know some states may  
6 have no specialists. Or they're all located in  
7 only part of the state.

8 I think Michelle mentioned that some of  
9 their conditions, all of their specialists are in  
10 New York City. So obviously, that is an issue  
11 that we should collect.

12 So one of the actual, I think, granular  
13 things that we actually did think about for if we  
14 continue to use a survey-type of tool is whether  
15 or not we can actually ask if the state has the  
16 capacity for a certain number of hours per child  
17 for specialists or genetic counsellors. Because  
18 that gets a little bit more into the state's  
19 capacity. And seeing whether or not the state  
20 could answer that.

21 Now, of course, all of this is -- when  
22 you do surveys of conditions, we realize that the

1 issue number one is that if the state hasn't even  
2 started thinking about screening for this, then  
3 they won't have this information.

4 If the state is, of course, asking, has  
5 already started considering screening for this,  
6 then they may have already started doing their  
7 homework. They may already have lots of  
8 information in terms of the first four bullets on  
9 this page. But that also really makes it hard  
10 when you're asking states about this and how much  
11 homework they have to do to answer this question.

12 And I think that that touches a little  
13 bit on what we've done before and that Jane talked  
14 about, you know, asking states that are already  
15 doing it or already thinking about doing it. They  
16 already have this information versus a state that  
17 has no idea and will not be able to get you this  
18 kind of information ahead of time.

19 You know, I think this is, although with  
20 the lens of the fact that we already know that we  
21 are already lacking geneticists and that we are  
22 already -- you know, we already need two times the

1        number of geneticists that we currently have for  
2        our current workload. And so we can ask about  
3        that. But we're already behind.

4                And we also know that many states are  
5        already two or three conditions, still working on  
6        bringing on two or three conditions, or behind and  
7        not even working on two or three of the ones that  
8        we recommended. So sometimes our survey or the  
9        way that we're asking about bringing on new  
10      conditions is not even within the thinking about  
11      the scope of the fact that many states are behind.

12                But the interesting thing, at least for  
13        the members of the workgroup, is I guess the  
14        conclusion that so far states have just made it  
15        work. That when we move forward with recommending  
16        and adding a condition to the panel, yes, they are  
17        behind. But they just figure out a way to make it  
18        work.

19                Is there a condition that a state --  
20        there was a no for this. Is there a condition  
21        that a state had difficulty screening for due to  
22        the availability of specialists? And what we

1 heard was, some states, you know, might not have  
2 metabolic specialists. But then they have set up,  
3 and they go out of state to find specaialists.  
4 And that has happened as well.

5 So they've just made it work. They've  
6 just figured out a way to make it work. And so,  
7 you know, I think there's a little bit of I guess  
8 -- obviously, lots of surveys have happened of the  
9 people on our workgroup, and the answers obviously  
10 are often that it's going to take us many years,  
11 that we still move forward with adding the  
12 conditions.

13 And they figure out how to do it; it just  
14 sometimes takes longer than they want. And they  
15 figure out how to get the resources to do it even  
16 if, you know, we might not have everything that we  
17 want.

18 So I think that was it for us. I don't  
19 think we have any other slides.

20 CYNTHIA POWELL: Thanks, Dr. Kelm, and  
21 also to Dr. Tanksley and all of the members of  
22 your workgroup. Really very important information

1       that you discussed, and some new ideas that I  
2       think are going to be critical going forward.

3                   Before we go on to comments and  
4       questions, if we could bring up Dr. Brosco's  
5       slides from the Follow-up and Treatment Workgroup  
6       and find the last slide. I think it was towards  
7       the end.

8                   DR. BROSCO: Yeah. I only had two slides  
9       left. And I apologize. If my internet goes out  
10      again, I can just call in by phone and finish the  
11      last slide or two.

12                  But before I jump into this, I just want  
13      to say one other thing, as a historian, that there  
14      have been surveys of the physician workforce every  
15      decade for the last 100 years. And there's always  
16      a shortage. Back to the time when there were no  
17      subspecialists, there's always a shortage if we  
18      use the same old model that we gave a specialist  
19      to do something. Anyway, it's just a fun fact.

20                  So coming back to, Should the Committee  
21      consider the availability? We were talking about  
22      how this is better conceived as a continuum and

1       that there would be variation in the access to  
2       treatment based on geography, insurance status,  
3       race, ethnicity, and other factors.

4                   And then sometimes we're all responsible  
5       in the newborn screening world and beyond for  
6       trying to improve health equity. We certainly  
7       over the last years have moved in a better  
8       direction with that.

9                   So what in particular could we do as a  
10      Committee, though? One is we did come down on the  
11      idea of saying, yes, we should have a clinical  
12      impact component. It would sort of be analogous  
13      to the public health impact component in saying,  
14      What are the clinicians' availability? How does  
15      this play into what's going to happen with a new  
16      condition?

17                  We also recognize that whenever a  
18      condition is nominated and gets to the RUSP, it's  
19      most likely the treatment is not going to be  
20      available everywhere as soon as that condition is  
21      nominated. It's just not ever going to be true.  
22      However, the big question is, Is there a

1 reasonable path to sufficient capacity to treat  
2 all children?

3 That is, if we could imagine a way of  
4 training child neurologists to be able to treat  
5 SMA, and that there are enough and there's some  
6 way to do it, if there's a path to capacity, that  
7 probably means something that's important. If  
8 there is none, then that probably means something  
9 important, too.

10 So that might be really the critical  
11 question. Not what do we have right now?, but  
12 what is the potential going forward?

13 (Slide)

14 DR. BROSCO: Then in the last slide, How  
15 exactly would we collect this information, and  
16 what would be the role of the Committee? So these  
17 are just very brief early ideas. So this  
18 obviously needs to be discussed more.

19 One is, we could in the nomination  
20 package start asking right from the beginning, so,  
21 Who are the clinicals you think need to be  
22 involved? Who are the ones who are going to do

1 the diagnostic and treatment? Is it a  
2 subspecialist? Who might actually do this work?

3 And if there is any evidence of their  
4 availability? Lots of times there are reports out  
5 about saying there were not enough of whatever  
6 specialty you need.

7 And then related to that is, What's the  
8 proposed plan for reaching all children?, as I was  
9 just mentioning before. Could it be that, you  
10 know, this is a relatively simple condition to  
11 manage? Primary care, clinicians can fairly  
12 easily do this with some support, with some kind  
13 of point of care consultation. Or does it really  
14 require a child neurologist expertise being able  
15 to do intrathecal injections?

16 How could this affect the evidence  
17 review? We hate to add more to Alex's team, but  
18 you could imagine surveying professional societies  
19 or others the way we do public health labs now.  
20 And what would happen after something actually  
21 makes it to the RUSP?

22 Well, we're already working on rapidly

1 available treatment guidelines and training  
2 clinicians. I think the RTI discussion yesterday  
3 kind of had this idea of almost, you know, when  
4 something comes on the RUSP if there is pathway to  
5 implementation. It would include a variety of  
6 things, including how we make sure clinicians are  
7 ready to receive those children where there is a  
8 presumptive positive.

9                 Then lastly, one of the things that we  
10 could do not related to a specific condition, but  
11 more generally, and one you heard already from  
12 Kellie and from others, is if state newborn  
13 screening programs minimize referrals for presumed  
14 positives, that would certainly reduce some of the  
15 workload on the clinicians, but it would increase  
16 the workload on the lab folks.

17                 And then, lastly, you know, maybe as I  
18 said before, this is a time to really look at,  
19 What are the needs of children with rare  
20 conditions -- that is, newborn screening  
21 conditions -- in the move to value-based care?

22                 This is happening in the adult world.

1 It's changing the way medicine is practiced. It's  
2 presumably going to come to pediatrics. That's  
3 what all the indications are. And should we be  
4 thinking now about how this affects how kids with  
5 rare conditions are treated? I think that is  
6 within the basic realm of our Committee.

7 I think that's it.

8 CYNTHIA POWELL: Thanks very much, Dr.  
9 Brosco.

10 We've heard some really interesting ideas  
11 from the various workgroups. And I'll now open  
12 the floor for discussion. Committee Members will  
13 discuss first, followed by organizational  
14 representatives.

15 As a reminder, please use the raise-hand  
16 feature in Zoom when wanting to make comments or  
17 ask questions. And please unmute yourself and  
18 state your first and last names each time you ask  
19 a question or provide comments, to ensure proper  
20 recording.

21 **DISCUSSION**

22 CYNTHIA POWELL: Lots of information

1 presented there.

2 (Pause)

3 CYNTHIA POWELL: I found it really  
4 helpful to get more input from additional folks  
5 who are involved with newborn screening. I was  
6 struck yesterday by some comments that really  
7 brought up the problem of burnout among those in  
8 the public health system.

9 And there was one comment that if we  
10 continue to add new condition after new condition,  
11 we're at risk for breaking the whole system. And  
12 that sort of sent chills through me.

13 Also, you know, given what those in  
14 public health not specifically involved in newborn  
15 screening, but other aspects of public health have  
16 unfortunately had to go through during this  
17 pandemic, being threatened, you know, because of  
18 all of the horrible misinformation that's out  
19 there that is causing so much anger, you know,  
20 people getting threats and things.

21 And that they're sticking it out through  
22 the pandemic, but that there may be a mass exodus

1 after the pandemic, hopefully soon, is over with.  
2 And we're going to lose extremely good people.  
3 And I think we need to provide a way to make sure  
4 that people are retained.

5 So I see Jeff Brosco.

6 JEFF BROSCO: Jeff Brosco, Committee  
7 Member. I have a question that probably Debi  
8 Sarkar can answer best. But before I do, I want  
9 to second what you just said, Cindy, about how  
10 important it is to support our friends who work in  
11 public health. Our state public health  
12 departments have really been under a lot of  
13 stress. And I thank you for your words.

14 So one of the solutions, Debi, we've  
15 heard a lot about is regionalization of newborn  
16 screening. And I know that over the years MCHB  
17 and HRSA more generally have really tried to  
18 support creating collaboratives and so on. I just  
19 wonder, what's been holding us back from moving  
20 toward a regional approach which seems to make so  
21 much sense? And what we could do to sort of push  
22 that forward? Or anyone.

1                   CYNTHIA POWELL: Yeah, I think I've found  
2 that to be a common theme also, both perhaps more  
3 on the federal level and/or regional levels to  
4 support newborn screening.

5                   I like to say that when we think about  
6 the workforce, it's not just in well-funded states  
7 like New York, California, Massachusetts. But  
8 it's also states like states like Mississippi.  
9 And how do we make sure that there is equity?

10                  Debi, did you want to comment? I don't  
11 want to put you on the spot.

12                  DEBI SARKAR: Thank you for that.

13                  You know, I think I would be also  
14 interested to hear more from states and from other  
15 Committee Members on how this regional model would  
16 look. Yes, we've done collaboratives. We're  
17 doing the regional genetics networks. And, you  
18 know, just more ideas, that would be helpful for  
19 us to then think about how we could approach that.

20                  CYNTHIA POWELL: Scott Shone.

21                  SCOTT SHONE: Thank you, Dr. Powell.  
22 Scott Shone, Committee Member.

1                   And I also just want to echo appreciation  
2       as someone who has been living in public health.  
3       So many of my colleagues do this. And as a state  
4       lab director, it has been a unique challenge.

5                   So I want to call back to something Dr.  
6       Raspa said yesterday at the very beginning of her  
7       talk that sort of leads into what you were talking  
8       about, Dr. Powell, which is that she mentioned  
9       something to the effect of that there's a growing  
10      divide between states and programs, and we're sort  
11      of rebuilding a potential inequity in terms of  
12      what programs offer to disorders or how they're  
13      operating.

14                  And it made me think about a recent  
15      discussion I had with Rod Howell about what  
16      started the ACHDNC to begin with. And I had a  
17      similar shiver when Dr. Raspa said that around,  
18      are we getting back to where we started?

19                  And it made me think that there has to be  
20      some sort of substantial pivot point and really  
21      new idea to reframe this. The Committee is a  
22      great resource for guidance and recommendation.

1    But how to help facilitate that?

2               I know every advocacy group is trying to  
3    sort that out. And a lot of it around adding  
4    disorders. But I think we need to be more mindful  
5    about all of the other things that support the  
6    system that we've talked about, that also are  
7    catalogued quite well in Dr. Raspa's presentation  
8    -- sort of a decade of work -- and where we've  
9    made progress and where we've struggled.

10              I think Dr. Kelm mentioned our discussion  
11   yesterday around similar structures for other  
12   parts of the public health system, with  
13   preparedness and epidemiology and laboratory  
14   capacity, and how we as a public health system  
15   have made strides there, thanks to the dedicated  
16   support of the federal government to fund those.

17              You know, there's been a recognition of  
18   the essentiality of preparedness. We relied on  
19   PHEP at the beginning of the pandemic when we  
20   hoped it would be short-lived. But then it became  
21   longer-term and there were more funds.

22              And then ELC stepped in and has

1 distributed trillions of dollars around the  
2 country to fund not only the response, but also  
3 the long-term restabilization of the public health  
4 system, whether it's sequencing for variants or  
5 whether it's expanding infectious disease work to  
6 make us stronger.

7 And it was somewhat, I think, lost in Dr.  
8 Kelm's presentation that those are the types of  
9 really big ideas, I think, that HRSA and HHS needs  
10 to think about, moving forward.

11 That would again, the long-term  
12 stabilization potentially of infrastructure with  
13 dedicated funding sources and projects that are  
14 built out of the recommendations of this  
15 Committee, with resources that are driven by  
16 success and plans with milestones, owners of those  
17 milestones. And we see that across the public  
18 health lab system.

19 And I would love to have those dialogues  
20 not only as we do with our state epidemiologists,  
21 ELC, and our step coordinators wherever they lie  
22 within the states, but our follow-up teams and

1 then that junction with that.

2 I mean, we have ELC projects with  
3 universities and academia that drive the  
4 expansion, the technology transfers, and all of  
5 that work that we need desperately here. And so I  
6 think that that's not the solution. I want to be  
7 clear. Like I don't think like we solved this in  
8 the Laboratory Standards and Procedures Workgroup  
9 yesterday.

10 But those are the types of things we need  
11 to be really pontificating and pushing forward to  
12 make the changes stick that were catalogued  
13 yesterday afternoon. Thank you.

14 CYNTHIA POWELL: Thank you.

15 Mei Baker.

16 MEI BAKER: Hello. Mei Baker, Committee  
17 Member.

18 So I want to adding on one thought  
19 regarding regionalization. In my head, I like the  
20 federation system. I think we can do this  
21 combination. The states should have retained  
22 autonomy, and also for patient care.

1                   Because unlike other public health  
2 programs, newborn screening is -- when you have a  
3 test and result, you are not done. You have to  
4 have a follow-up. Physicians have to be involved  
5 and have a HIPAA, have an insurance, have so many  
6 different things.

7                   What I can envision is that federation  
8 system. Because we can -- I mean, a few things.  
9 First, going forward, the technology work will  
10 get more and more sophisticated. And also,  
11 certain tests really are -- I mean, because  
12 effectively, every state has one.

13                  I want to give a typical example for SMA  
14 screening. I still strongly believe the copy of  
15 SMA II is important, is that every single state  
16 have to have a digital piece how to do that.  
17 Maybe not. And the interesting thing is, states  
18 among themselves have to do such a thing.

19                  Like states say, "I want SMA II call the  
20 numbers, but I don't have to do that. Can I ask  
21 for somebody else, other program, to do so?" And  
22 those things, I think, get to be when the

1 workgroups, the laboratories, the workgroups are  
2 talking about bioinformatics. And this kind of  
3 thing, that is a very, very high skill and very,  
4 very expensive, the workforce.

5 If we really can regionalize and the  
6 people utilize the way to have access to that, I  
7 think it is a cost-effective way. And also,  
8 people talk about the challenges to maintain the  
9 grant. Your federal funding comes through this  
10 channel. And the benefits that states can have,  
11 I don't have a buy-in implementation. But I know  
12 the regional and also, the high-end program, the  
13 ways of interpretation, and it's a lot of very  
14 sophisticated, important, and a very expensive  
15 program. And the license can be very expensive.  
16 Why every state has to spend money to that? If  
17 federal funding can do that, people can have  
18 access in the regional, or even federal, fashion.  
19 I think it really is -- I can see this.

20 I don't know term. It really is a  
21 nationwide, the newborn screening ecosystem. But  
22 the states still have the autonomy. And also,

1 think about the timeliness. Timeliness is  
2 important to the state because you don't want  
3 sample centers everywhere.

4 One thing I was thinking -- perhaps it is  
5 a fantasy; I don't know -- is every state you  
6 have, like let's say if we show to the genome and  
7 every state can have the machines where the data  
8 can be generated and this through the closest or  
9 whatever, every single state have access to the  
10 biomedical piece, to the interpretation.

11 I think CDC has done very good job of  
12 starting this, have paved the way. And you can do  
13 everything regulatory compliance. So I'm going to  
14 stop here. I hope I can get it from "federation"  
15 this concept to get into people's head.

16 CYNTHIA POWELL: Thank you.

17 Shawn McCandless.

18 SHAWN McCANDLESS: Shawn McCandless,  
19 Committee Member.

20 I'll try to be brief. I think something  
21 Dr. Brosco said resonated with me, and I want to  
22 thank him for his wisdom.

1                   And that is that physicians always feel  
2 like they're overworked and that there aren't  
3 enough of us. And the reality is that this  
4 Committee has no way to impact, nor do any of the  
5 individual members or government agencies  
6 represented here have any way to impact the number  
7 of providers available in our society.

8                   We don't have an organized health care  
9 system. We don't have a single health care system  
10 that we can make rational decisions about need and  
11 then implement them.

12                  And so, the number of providers available  
13 is driven by a variety of market and pseudo-market  
14 factors that we have no control over. Therefore,  
15 I would encourage this Committee not to spend too  
16 much time worrying about that. What determines  
17 the number of providers available is the demand.

18                  And I think that this Committee should  
19 focus on things that we actually have the ability  
20 to impact, and that is creating expectations on a  
21 national level for what an excellent newborn  
22 screening program should look like in every state,

1 trying to support regional centers. Whereas Dr.  
2 Baker said "federation," when that is appropriate  
3 and efficient.

4 And we should be really focusing on  
5 supporting the needs of the public health system  
6 that we can have some impact on by providing funds  
7 -- or not this Committee personally providing  
8 funds, but just supporting the newborn screening  
9 labs and the follow-up programs within the  
10 laboratories.

11 And really creating clear expectations  
12 and guidelines about what newborn screening could  
13 and should look like in the United States.

14 Thank you.

15 CYNTHIA POWELL: Thank you.

16 Natasha Bonhomme.

17 NATASHA BONHOMME. Great. Thank you.

18 Natasha Bonhomme, organizational rep for Genetic  
19 Alliance.

20 This really builds off of a lot of the  
21 conversation that's taking place. And while I  
22 know particularly from the lab group there's been

1 kind of a listing of what's needed and all of  
2 that, I think if kind of the goal is to see how we  
3 can support public health, we really need to be  
4 sharing more broadly and not just kind of speaking  
5 to the choir about what the issues are.

6 As has already been said, the tone of the  
7 newborn screening system is going to break. It's  
8 going to break, has been happening. That line has  
9 been said for a very long time. I remember when  
10 CCHD was going to be what completely destroyed the  
11 newborn screening system. And it didn't.

12 And so that's not to say that these  
13 concerns aren't valid. Of course they are. But I  
14 think really putting some really clear, accessible  
15 language to that -- What does that look like?  
16 What does the crumbling of the system really start  
17 to look like so that people have something that  
18 they can really attach to? And it does just sound  
19 like the same thing we've been hearing over and  
20 over again.

21 And I think that this is a really good  
22 opportunity to work with and partner with and have

1   that explanation to really be able to communicate  
2   that to advocate partners of, what are the  
3   concerns? And not just at the 30,000 foot, but  
4   you know, bringing that conversation down.

5                 And I think we had a lot of that  
6   discussion yesterday in the Education and Training  
7   Workgroup of being very specific of, you know, not  
8   just broad workforce issues, but this is why we  
9   know people are going to be leaving.

10                And if we can get to some of those data  
11   and some of those specifics, and again really  
12   speaking to those partners who aren't always  
13   included in all of these conversations, I think  
14   that can really move the newborn screening system  
15   forward.

16                But I think that effort needs to come  
17   from all angles, from all stakeholders who are  
18   invested in newborn screening.

19                CYNTHIA POWELL: Thank you.

20                Susan Tanksley.

21                SUSAN TANKSLEY: Hi, Susan Tanksley,  
22   organizational representative for the Association

1 of Public Health Labs.

2 I wanted to go back to the concept of  
3 regionalization. And I'm sure you already realize  
4 that regionalization has occurred, at least in a  
5 couple of areas. I mean, we have the Northwest  
6 Regional Newborn Screening Program out of Oregon  
7 that tests with other states, as well as the New  
8 England Newborn Screening Program, which covers a  
9 substantial part of the eastern part of the U.S.

10 And, you know, those are great. But that  
11 regionalization itself is -- newborn screening is  
12 a state-based issue. So that has to be a decision  
13 of the state, much as what Mei was stating, for  
14 that piece.

15 But I do think that the idea, and I'll  
16 use a term that Scott Shone coined, using "centers  
17 of excellence" for specific things. And Mei  
18 mentioned some of those as well, like for  
19 bioinformatics or some of the second-tier testing,  
20 those sorts of things.

21 We heard discussions in the workgroup  
22 report-outs. At least a couple of them mentioned

1 the need for really good tests for high-positive  
2 predictive value. And the way you get that is by  
3 doing second-tier testing. And not all states do  
4 that. And so that would be one way to improve the  
5 positive predictive value.

6 I love the concept of some sort of core  
7 funding for newborn screening, much like ELC and  
8 PHEP. That does support an infrastructure, and  
9 that is a mechanism that could support those  
10 centers of excellence, something where states  
11 compete to be a center of excellence for  
12 particular projects.

13 I think that's all I had to add. But  
14 thanks so much for this discussion today.

15 CYNTHIA POWELL: Thank you.

16 Jane DeLuca, I'll give you the last  
17 question or comment for this session this morning,  
18 as we will take a short break after that.

19 JANE DeLUCA: Okay. You know, I just  
20 wanted to come back to the comment about  
21 telehealth. Because I think we were plunged into  
22 telehealth in an emergency way, and it was

1      awkward. But I'd like to know more about what  
2      people were doing, from initial calls to  
3      downstream to three-months-out, nine-months-out.

4                I don't know if there's a body of  
5      literature out there about that. But I just  
6      wanted to throw that out. I think we should find  
7      a way to gather that before it dissipates or  
8      before we even lose telehealth; I don't know.

9                But anyway, that was my comment.

10               CYNTHIA POWELL: Yeah, I agree. I think  
11      as Jeff had mentioned, you know, it's worked very  
12      well. But unfortunately, in our own state I know  
13      we're losing some of the insurance providers who  
14      are now not willing to cover telehealth visits  
15      anymore. So it's really impacting already our  
16      program.

17               It was very successful for our metabolic  
18      patients. They really liked it. But  
19      unfortunately, I'm not sure. So I agree with you,  
20      it's an important thing as we move forward.

21               So once again I'd like to thank everybody  
22      for these great discussions in your workgroups.

1 And one thing that I'm hopeful is that we will be  
2 able to develop at least a white paper, if not a  
3 peer-reviewed publication about these issues. I'd  
4 really like to have at least one representative  
5 from each workgroup.

6 I have gotten one so far from Education  
7 and Training yesterday. So feel free to contact  
8 me if you're interested in helping with this. I  
9 think we'd also include those who presented to the  
10 Committee regarding their specific specialty areas  
11 and workgroup challenges.

12 So we will break for about 10 minutes and  
13 reconvene at 12:05 Eastern time for our afternoon  
14 presentations. Thank you.

15 **BREAK**

16 CYNTHIA POWELL: All right. Welcome  
17 back, everyone, from a short break. We'll now  
18 move on to our last session of the November  
19 meeting. The Committee will hear two  
20 presentations on newborn screening pilot programs,  
21 ScreenPlus in New York and Early Check in North  
22 Carolina.

1               This is an opportunity for the Committee  
2    to hear about conditions with population-based  
3    pilot programs that in the future could be  
4    nominated to the Recommended Uniform Screening  
5    Panel.

6               Our first presenter for this session will  
7    be Dr. Melissa Wasserstein. Dr. Melissa  
8    Wasserstein is the Chief of the Division of  
9    Pediatric Genetic Medicine at the Children's  
10   Hospital at Montefiore, and Professor of  
11   Pediatrics and Genetics at the Albert Einstein  
12   College of Medicine.

13              She is a board-certified biochemical  
14   geneticist and pediatrician, diagnosing and  
15   managing patients with rare in-born errors of  
16   metabolism.

17              Her research activities focus on  
18   expanding and enhancing newborn screening to  
19   optimize the outcome of infants with rare  
20   disorders, implementing genomic diagnostics in  
21   diverse populations, and studying the natural  
22   history and treatment of acid sphingomyelinase

1 deficiency.

2 She is the principal investigator of  
3 ScreenPlus, which she'll talk with us about today.

4 And I'd like to turn things over to Dr.  
5 Wasserstein.

6 **NEWBORN SCREENING PILOT PROGRAMS**

7 **SCREENPLUS -- NEW YORK**

8 MELISSA WASSERSTEIN: Thank you, Dr.  
9 Powell. And good afternoon. I'm delighted to be  
10 here today to introduce you all to ScreenPlus.

11 Next slide, please.

12 (Slide)

13 MELISSA WASSERSTEIN: Here are my  
14 disclosures. The majority of these are all  
15 related to research work for ScreenPlus.

16 Next slide, please.

17 (Slide)

18 MELISSA WASSERSTEIN: So, ScreenPlus is a  
19 comprehensive, flexible multi-disorder pilot  
20 newborn screening program.

21 It's a large multi-facted program, so I  
22 think it's easiest to introduce you to it by

1 breaking it down into four components, starting  
2 with an overview of the program, including  
3 logistics that are running the pilot screen,  
4 followed by an introduction to the programmatic  
5 infrastructure, an overview of our ELSI studies,  
6 and ending with a status update.

7 Next slide, please.

8 (Slide)

9 MELISSA WASSERSTEIN: Next slide.

10 (Slide)

11 MELISSA WASSERSTEIN: We originally had  
12 eight pilot hospitals, but just this week we  
13 actually added on a ninth. And I'm showing the  
14 hospitals here. They are largely based in New  
15 York City and Long Island.

16 And in order to be a pilot hospital for  
17 ScreenPlus, we have certain criteria including  
18 that these are all extremely massive hospitals  
19 with very high birth rates. The anticipated birth  
20 rate at each hospital is shown over a five-year  
21 period underneath the name.

22 All of the hospitals are in ethnically

1 diverse communities, and most of the hospitals  
2 are already New York state newborn screening  
3 referral sites. So they have trained biochemical  
4 geneticists ready to see our patients.

5 Our recruitment goal is 175,000 babies  
6 over a five-year period. And if you add up the  
7 birth rates from all of these hospitals, it's  
8 almost 300,000 births. But we're assuminmg that  
9 we will get a consent rate of approximately 73  
10 percent, and that's based on the consent rate that  
11 we had for a pilot newborn screening for lysosomal  
12 storage disorders that we ran from about 2011 to  
13 2017.

14 Next slide, please.

15 (Slide)

16 MELISSA WASSERSTEIN: This is an  
17 identified prospective pilot screen where we  
18 obtained informed consent using direct in-person  
19 one-on-one conversations between the recruiter --  
20 we have a full-time recruiter at each pilot  
21 hospital who goes onto the maternity ward after  
22 the parents have given birth and have those

1 discussions.

2 Our coordinators are bilingual, Spanish  
3 and English. And our brochures are translated  
4 into the eight languages that are most commonly  
5 spoken at our pilot hospitals. And a few of them  
6 are shown here. Once parents agree to  
7 participate, we automatically create a RedCap form  
8 for that baby, and we have an automatic link that  
9 will email the parents a copy of the consent and  
10 brochure.

11 Next slide, please.

12 (Slide)

13 MELISSA WASSERSTEIN: I'm showing you our  
14 ScreenPlus panel here. As you can see, we have 14  
15 disorders on our initial panel. Importantly, the  
16 panel is fluid, so we can remove disorders if  
17 they're added to the RUSP at any time during our  
18 recruitment period, or we can add them if they  
19 meet our ScreenPlus criteria.

20 And the criteria to be included on our  
21 panel includes, first of all having a dried blood  
22 spot screening assay that can be multiplexed, that

1 is high-throughput, that's reasonably priced, and  
2 has had positive baseline validation studies. We  
3 need a disorder that has significant morbidity or  
4 mortality if untreated.

5 It has to have a pediatric phenotype  
6 because many of these disorders have broad  
7 phenotypic spectra, including infantile onset  
8 forms ranging to adult onset forms. We recognise  
9 that, so we are including that it has to be a  
10 number of children who would be identified with  
11 benefits and treatment during young childhood.

12 And the last criterion is that there  
13 either has to be an FDA-approved treatment or  
14 treatments that are currently in clinical trial  
15 and that look very promising.

16 So just to quickly run through our  
17 initial panel, starting with acid sphingomyelinase  
18 deficiency, or known as ASMD; ceroid  
19 lipofuscinosis type 2; cerebrotendinous  
20 xanthomatosis, Gaucher disease; GM1  
21 gangliosidosis; Fabry disease; lysosomal acid  
22 lipase deficiency; metachromatic leukodystrophy;

1 MPS II, IIIB, or IVA, VI, VII; and Niemann Pick C.

2 Next slide, please.

3 (Slide)

4 MELISSA WASSERSTEIN: So we felt that a  
5 pilot screen like ScreenPlus is a really good  
6 opportunity to kind of trial a new approach to  
7 enhance the accuracy of screening. So as you can  
8 see in this table, all of the disorders that we're  
9 screening for have at least two tiers of screening  
10 prior to call-out.

11 So the vast majority of them have  
12 enzymatic activity as the first-tier screen. If  
13 that's abnormal, then it will reflex to a second-  
14 tier screen, which is often a biomarker. And  
15 third-tier is sequencing on the relevant gene.  
16 And at the beginning of the trial, we're actually  
17 running second- and third-tier in parallel.

18 And the goal of this is to see, first of  
19 all, if we can enhance accuracy, possibly reducing  
20 false positives. And it would be really wonderful  
21 if we could actually eventually use these data to  
22 help predict phenotypic severity, which all of us

1 who are in the newborn screening world know that  
2 that's always a challenge when we get a new baby  
3 from newborn screening.

4 Next slide, please.

5 (Slide)

6 MELISSA WASSERSTEIN: Because this is a  
7 pilot program and because the disorders on  
8 ScreenPlus are new to newborn screening and are  
9 relatively complex, it's really critical to  
10 capture longitudinal follow-up data. In part  
11 because, you know, first of all a newborn  
12 screening laboratory won't be able to accept the  
13 accuracy of their assays until we know if the  
14 patient is expressing phenotype.

15 The confirmatory testing results may be  
16 unclear based on variants of uncertain  
17 significance, et cetera, until the patient does or  
18 does not express phenotype. And we also need to  
19 know which children who have later onset disease  
20 might need follow-up and might need treatment at  
21 another point.

22 We also, of course, since this is a

1 private program and we need to know if there  
2 actually is a benefit to early detection for our  
3 disorders on our panel. So we've created some  
4 guidelines, and you can see an example. This is  
5 the metachromatic leukodystrophy recommended  
6 timing and type of testing to do for babies.

7 And this is just for MLD, but we have  
8 similar protocols that we've developed in  
9 conjunction with national and international  
10 experts who are very generous in donating the time  
11 and expertise to help us develop these. And these  
12 were shared with all of the pilot hospitals and  
13 the doctors who will be seeing these babies so  
14 that we can make things a little bit more uniform  
15 in terms of the data capture that we'll be  
16 obtaining.

17 Next slide, please.

18 (Slide)

19 MELISSA WASSERSTEIN: So now turning to  
20 infrastructure.

21 Next slide.

22 (Slide)

1                   MELISSA WASSERSTEIN: So we have created  
2     a unique cost-sharing infrastructure. Starting  
3     with NIH, this was an NIH R01, industry sponsors  
4     and patient advocacy groups. And all parties who  
5     are participating have a vested interest in  
6     newborn screening for a particular disorder either  
7     because they have an FDA-approved therapy, are  
8     sponsoring a clinical trial, or are advocating for  
9     a RUSP nomination.

10                  And this cooperative plan will enable us  
11    to streamline costs while enabling the program to  
12    function at maximal efficiency.

13                  Next slide, please.

14                  (Slide)

15                  MELISSA WASSERSTEIN: So this is kind of  
16    an overview of our organizational and financial  
17    infrastructure. We've been working extensively  
18    with the Albert Einstein College of Medicine  
19    Contracting Team, the Research Finance Team, the  
20    Legal Team. We have regular meetings with them.

21                  And this infrastructure was largely  
22    developed by my amazing Project Manager, Nicole

1 Kelly. And you can see on the top line, we have  
2 our sponsors. Again these are largely industry  
3 partners and patient advocacy groups. And those  
4 groups are supporting the actual pilot screening  
5 in terms of helping to fund the pilot hospital,  
6 the cost of testing and reagents.

7 The NIH is largely supporting the  
8 coordinating center, which is my team here at  
9 Montefiore/Enstein, as well as our ELSI studies,  
10 which I'll talk about today.

11 And the output is the planning of the  
12 pilot hospital; the testing laboratories including  
13 the New York State Department of Health; and Mayo,  
14 who will be doing some of the confirmatory  
15 testing; the reagent suppliers; as well as our  
16 ELSI team, who are at the institution.

17 Next slide, please.

18 (Slide)

19 MELISSA WASSERSTEIN: Now turning to our  
20 ELSI studies. So we have decided to take  
21 advantage of the large number of babies whom we  
22 are hoping to enroll and engage their parents in a

1 series of surveys and interviews.

2 We think we're going to have 175,000  
3 babies. Even if just a small fraction of the  
4 parents agree to participate in this survey, we'll  
5 actually still have potentially thousands of  
6 engaged parents who are willing to share their  
7 opinions about newborn screening.

8 (Slide)

9 MELISSA WASSERSTEIN: So at the time of  
10 consent, we start out with a conserver survey and  
11 the decliner survey. And we're asking parents  
12 about how we did. Did they understand what we  
13 were talking about? Why are they participating?  
14 Or why have they declined? And we're collecting  
15 socio-demographic factors to see if we can do  
16 anything better in that process and to understand  
17 why people might not want to participate in a  
18 study like this.

19 About one month after the results were  
20 reported, we have the first set of surveys for  
21 parents whose babies have negative ScreenPlus  
22 results. And this is going to be the bulk of the

1 parents.

2 We have a series of surveys that are each  
3 focused on a different topic and include things  
4 like, What is your opinion about newborn screening  
5 for later onset disorders or screening for  
6 untreatable disorders? What is your opinion about  
7 using whole-genome sequencing for newborn  
8 screening? What is your opinion about informed  
9 consent for screening, informed consent for  
10 research in newborn screening?

11 We also have a series of qualitative  
12 interviews, which are focused on parents whose  
13 babies have an uncertain or positive result in  
14 ScreenPlus. And these will be done about six  
15 months or two years after the results are  
16 obtained.

17 And the reason why we're focusing on the  
18 parents whose babies had an uncertain or positive  
19 result is that -- you know, in my experience and  
20 probably in the experience of a lot of people who  
21 are listening, our focus is always on the babies.  
22 But I think that the parents are often struggling.

1 They're going through a trauma and trying to  
2 understand what's going on.

3 And I think this is an opportune time for  
4 us to try to learn what they're going through, how  
5 this is impacting them, and what we as the newborn  
6 screening community can do better to help support  
7 them.

8 Next slide, please.

9 (Slide)

10 MELISSA WASSERSTEIN: So the overall goal  
11 of our ELSI study is to allow us to have an  
12 improved understanding of how to improve the  
13 newborn screening implementation process to meet  
14 family needs. And as well as learning from  
15 parents what they see as the optimal way to expand  
16 newborn screening and the future of newborn  
17 screening, including genome sequencing.

18 Next slide, please.

19 (Slide)

20 MELISSA WASSERSTEIN: So our current  
21 status. We had our first baby in back in May. We  
22 were obviously delayed because of COVID-19 when

1 there was no live in-person research happening in  
2 New York City. So we were delayed a bit, but we  
3 got our first baby in.

4 (Slide)

5 MELISSA WASSERSTEIN: This is the Jack  
6 Weiler Hospital here in the Bronx.

7 Next slide, please.

8 (Slide)

9 MELISSA WASSERSTEIN: And our ELSI  
10 studies were also already helping out. So as I  
11 mentioned, we are doing surveys for parents right  
12 after they consent or decline.

13 The information that we're asking them  
14 is, How did we do? How was the information? What  
15 did you use? How was the e-consent process? What  
16 was the most helpful bit of information that we  
17 provided that helped you make your decision? Why  
18 did you decide to participate? And then  
19 demographic information.

20 And for decliners, again we focused on  
21 why they chose not to participate.

22 The top table shows our overall consent

1      rate by week. We have consent rate, decline rate,  
2      and then pending rate for babies who have been  
3      discharged before we got to see them in the  
4      hospital and we were following-up by phone.

5                 And you can see that our consent rate was  
6      roughly in the range of 60 to 80 percent. We have  
7      one week, week 10, where our recruiter took the  
8      MCAT, so he was out for two days. So we lost a  
9      few babies that week. But we've been using the  
10     information they've provided to help kind of tweak  
11     our scripts to try to enhance the recruitment  
12     rate.

13                And the bottom shows the most helpful  
14     source of information that parents said that  
15     helped guide their decision to participate. And I  
16     guess not too surprisingly, the majority felt that  
17     the most important piece was the discussion, that  
18     one-on-one interaction with our study  
19     coordinators.

20                Next slide, please.

21                (Slide)

22                MELISSA WASSERSTEIN: So next steps. We

1 are very actively in the process of contracting  
2 with all of our pilot hospitals. And we hope to  
3 have them live within the next few months.  
4 January–February might be a little optimistic  
5 depending on hiring. But this should all be live  
6 hopefully within the next quarter.

7                   And based on this early feedback, we are  
8 hoping with just continuing to refine our  
9 materials and our scripts -- we've also decided  
10 that based on the fact that parents are being  
11 discharged a little bit earlier than they were  
12 before, which is hard to believe. But parents are  
13 being sent home earlier because of COVID-19.

14                   So we do need to really focus on  
15 developing a passive e-consent model for these  
16 discharged parents to use at home because we've  
17 been finding that when you call them at home, it  
18 hasn't been very fruitful. So we're working on  
19 that now.

20                   And in terms of our ELSI surveys and  
21 qualitative studies, we have a community advisory  
22 board who's been fantastic. And we're seeking

1 feedback from them to help ensure that our  
2 study materials are appropriate & comprehensive.

3 Next slide, please.

4 (Slide)

5 MELISSA WASSERSTEIN: And with that, I  
6 think it's a very large chain that I'm delighted  
7 to be part of. And I would love to thank  
8 everybody out loud. But just special thanks to  
9 Nicole Kelly and Natalie Boychuk, who are my  
10 nominal project managers; the New York State  
11 Newborn Screening Team, especially Joe Orsini,  
12 Monica Martin, and Hannah McKnight; Michael Gelb,  
13 who developed our multiplexed assay; and my ELSI  
14 team, Aaron Goldenberg and Maria Kefalas.

15 Thank you for paying attention. I look  
16 forward to questions.

17 CYNTHIA POWELL: Thanks very much, Dr.  
18 Wasserstein, for this very informative  
19 presentation. We're going to hold questions and  
20 comments until after our next speaker.

21 I'd next like to introduce Dr. Don  
22 Bailey. Don Bailey, Ph.D., is a Distinguished

1 Fellow at RTI International, where he is a member  
2 of RTI's Genomics, Bioinformatics and  
3 Translational Research Center.

4 Before joining RTI in 2006, he was on the  
5 faculty of the University of North Carolina at  
6 Chapel Hill, where he was a W. R. Kenan Jr.  
7 Distinguished Professor, and for 14 years Director  
8 of the Frank Porter Graham Child Development  
9 Institute.

10 His research addresses early  
11 identification and early intervention for children  
12 with disabilities, as well as family adaptations  
13 to disability. Much of his work has focused on  
14 children with fragile X syndrome.

15 Currently, he directs several projects on  
16 newborn screening and broader issues surrounding  
17 the ethical, legal, and social consequences of  
18 genetic discoveries, and the disclosure of genetic  
19 information.

20 And I'll now turn things over to Dr.  
21 Bailey.

22 **EARLY CHECK -- NORTH CAROLINA**

1                   DON BAILEY: Great. Thank you very much,

2 Dr. Powell. Can you hear me okay?

3                   CYNTHIA POWELL: Yes.

4                   DON BAILEY: Great. Thank you very much  
5 for the invitation to present to the Committee  
6 today. I've been watching the last couple of  
7 days. As you know, I served as a member of this  
8 Committee for six years. And so it's been great  
9 to see how you are still operating, and it was a  
10 real privilege to serve on the Committee.

11                  (Slide)

12                  DON BAILEY: I'm at RTI International.

13 This is a picture of our home campus in North  
14 Carolina. We have offices all over the United  
15 States and around the world, and we hope to be  
16 back in our office in person sometime in the next  
17 few months.

18                  Next slide, please.

19                  (Slide)

20                  DON BAILEY: Here are my disclosures. We  
21 are fortunate to have funding from a variety of  
22 different sources, the NIH, from the CDC, and from

1 HRSA and from a variety of other foundations and  
2 industry partners. These support not only -- some  
3 of them support Early Check and for other projects  
4 as well. So these are all awards to RTI  
5 International. I don't get a consulting fee for  
6 anything.

7 Next slide, please.

8 (Slide)

9 DON BAILEY: I thought I would start by  
10 just saying that Early Check and ScreenPlus  
11 actually have a lot of common. First of all,  
12 we're both investigator-initiated projects. So we  
13 didn't respond to an RFP or any initiative from  
14 the federal government or from any other source.  
15 We've developed these on our own.

16 And I would resonate with some of the  
17 discussion earlier today about the need for  
18 centers of excellence, perhaps funded at the  
19 national level.

20 We're both designed to advance newborn  
21 screening policy and practice. And we combine  
22 research with implementation studies. We see

1 through a lens both of public health, public  
2 health ethics, and respect for families. We're  
3 both designed to fill a gap in national capacity  
4 to gather policy-relevant data.

5 And the little box that I've got on the  
6 right, this is something I've talked about for  
7 years. It was clear on my Committee that rare  
8 diseases are caught in this classic "Catch-22"  
9 situation that screening cannot be mandated  
10 without evidence; but screening is needed in order  
11 to gather evidence. So that's what both of our  
12 projects are designed to do.

13 Both multi-condition studies of disorders  
14 that are not yet on the RUSP were designed  
15 hopefully to be long-term disease agnostic to a  
16 certain extent, infrastructure or resource. So  
17 we're not trying to add -- we're not trying to do  
18 a study that's just focusing on one disorder, but  
19 on multiple disorders. And we're funded by many  
20 different sources.

21 Next slide, please.

22 (Slide)

1                   DON BAILEY: So what is Early Check?  
2     First of all, it's an Innovation Award from the  
3     National Center for Advancing Translational  
4     Science. We received this a number of years ago.  
5     These awards are designed to help break a barrier  
6     in translational science, translational medicine.  
7     With additional support from NICHD, the John  
8     Merck Fund, Asuragen, Cure SMA, and the Muscular  
9     Dystrophy Association, and Sarepta.

10                  So it's a research study designed to  
11     develop and evaluate methods to offer free,  
12     voluntary screening to all birthing parents in  
13     North Carolina for conditions that are currently  
14     not part of newborn screening. So this is a  
15     statewide project.

16                  And we started with spinal muscular  
17     atrophy and fragile X syndrome as initial  
18     prototypes. SMA was not on the RUSP when we  
19     started this, and North Carolina was not screening  
20     for it. So it became a good prototype for us.  
21     And fragile X syndrome, as Cindy mentioned, is a  
22     disorder that I've studied quite a bit.

1                   And these are great examples of a little  
2                   bit of the ends of the continuum in terms of  
3                   urgency of treatment.

4                   We added Duchenne muscular dystrophy and  
5                   CKMM screening in 2019, and we were able to  
6                   transition SMA off of the Early Check panel  
7                   earlier this year once it was picked up by North  
8                   Carolina as a part of North Carolina's newborn  
9                   screening program.

10                  So we're also designed to acquire data to  
11                  inform policy. And our hope is that this is a  
12                  long-term research resource to which new  
13                  conditions can be added when ready. And an  
14                  envisioned future in which states may be good to  
15                  offer a voluntary panel of non-RUSP conditions.  
16                  That's something way out in the future, but it's  
17                  part of what we're interested in examining.

18                  Next slide, please.

19                  (Slide)

20                  DON BAILEY: So here's a quick overview  
21                  of the Early Check flow. And I'll talk about some  
22                  details about this in a few minutes.

1                   Our recruitment, we start with the  
2 recruitment just as Dr. Wassestein does. Our  
3 recruitment is all virtual. We have multi-phase  
4 public outreach to every parent in North Carolina.  
5 We have an e-consent portal for online permission.

6                   For parents who consent, we have our own  
7 lab on the RTI campus where we do the screening  
8 tests using the dried blood spot that the state  
9 has already collected. So we consent to access  
10 that dried blood spot.

11                  If it's a negative result, parents can  
12 get that information through their own personal  
13 patient portal. If it's a positive result, a  
14 genetic counselor immediately calls the family and  
15 then refers them for a confirmatory testing and  
16 diagnosis. And then our team establishes a  
17 registry and conducts follow-up assessments, and  
18 we provide surveillance and support and  
19 information about interventions that are in  
20 existence.

21                  Next slide, please.

22                  (Slide)

1                   DON BAILEY: So some of the unique  
2 features of Early Check are that we are, first of  
3 all, a multi-institutional partnership integrated  
4 with public health and newborn screening. So as  
5 you can see from this figure, RTI is -- we really  
6 coordinate everything, but is in partnership with  
7 local universities, UNC-Chapel Hill, Duke  
8 University, Wake Forest, Baptist Medical Center,  
9 and the North Carolina State Laboratory of Public  
10 Health.

11                  So, full disclosure. Dr. Powell is our  
12 primary collaborator from UNC-Chapel Hill, and Dr.  
13 Shone from the North Carolina State Laboratory of  
14 Public Health.

15                  Something we've done a lot of is  
16 systematic formative work, trying to understand  
17 what parents want and how we can develop materials  
18 and processes that really work for families. We  
19 use and evaluate virtual strategies for multiple  
20 system components. I'll describe that in just a  
21 minute.

22                  We have a two-tiered consent for carrier

1 results, fragile X syndrome and fragile X carriers  
2 status. And that two-tiered process is going to  
3 serve us very well as we move into the future, as  
4 I'll mention shortly.

5 We use methods other than tandem mass.  
6 We're not using tandem mass at all in our  
7 laboratories. So we're screening for using  
8 genetic screening in a variety of different ways  
9 to identify these disorders.

10 We feel like it's important for us to  
11 publish about our laboratory methods, as well as  
12 our other findings and work. We have pretty  
13 sophisticated systems for tracking and evaluating  
14 everything from consent to follow-up. And I won't  
15 be addressing this today, but we've designed an  
16 early intervention program and systematically  
17 studying its effectiveness for children with  
18 fragile X syndrome.

19 Next slide, please.

20 (Slide)

21 DON BAILEY: So as I mentioned, we've  
22 done lots of formative work. We've published

1 papers here, for example, initially on parental  
2 intentions to enroll children in a voluntary  
3 expanded newborn screening program. We also did a  
4 discrete-choice experiment in which we looked at  
5 parent preferences in this case, for genomic  
6 sequencing, but for non-medically actionable  
7 conditions.

8 And then these photos and some of the  
9 words associated with them display some of the  
10 formative work we did in our social media campaign  
11 with Facebook and Instagram and Pinterest. We  
12 looked at what kinds of words, what kinds of  
13 messages, and what kinds of photos most resonated  
14 with our target population?

15 Next slide, please.

16 (Slide)

17 DON BAILEY: So we use and evaluate  
18 virtual strategies for multiple system components.  
19 We have virtual recruitment. We have e-consent.  
20 We have telegenetic counseling. We have family-  
21 friendly web-based educational materials. And  
22 when necessary, we do virtual assessment and

1 organize a virtual intervention program.

2 As a result of these virtual strategies,

3 we've really been able to continue the project

4 since 2018, during the COVID-19, without any

5 disruption. And in fact, we had an uptick in

6 recruitment since COVID-19 came into the United

7 States last year.

8 Next slide, please.

9 (Slide)

10 DON BAILEY: So we have been

11 systematically examining different virtual

12 recruitment methods. You know, we know from --

13 we've seen Dr. Wasserstein's earlier paper and are

14 familiar with what she's doing now in terms of in-

15 person consent in hospitals. We also have

16 conducted our own pilot study with fragile X

17 syndrome a number of years ago.

18 And also, I think we've got a 67 or 68

19 percent recruitment rate. So we know that in-

20 person recruitment works. We also know it's very

21 expensive. And we don't have the size hospitals

22 in North Carolina that you have in New York. So

1    we've been testing our virtual recruitment  
2    strategies to see what we can get.

3                 So we started a postnatal letter and an  
4    email. This letter is actually on the state, on  
5    North Carolina State Department of Public Health  
6    letterhead, and it's signed by the state's Chief  
7    Medical Officer. It's sent out within a week of  
8    birth, inviting parents to go to our website and  
9    think about it, and enroll in Early Check.

10               We've also been engaging in a series of  
11   social media campaigns. I'll give a brief  
12   synopsis of that in a minute. We have information  
13   in health care setting and the WIC programs in  
14   North Carolina. And we've been testing out models  
15   for inviting parents, or mothers, to participate  
16   in Early Check through MyChart patient portals.  
17   We've been doing that systemically at UNC and Duke  
18   and hope to start that at Wake Forest sometime  
19   this year.

20               We have done some small in-person  
21   recruitment, testing at Duke and at the University  
22   of North Carolina, started that earlier this year.

1    But our primary focus remains on virtual  
2    recruitment methods.

3                 Next slide, please.  
4                 (Slide)

5                 DON BAILEY: So we've been pretty  
6    systematic about evaluating and publishing about  
7    each of those methods. Two papers that are out  
8    already, one is "Outreach to New Mothers Through  
9    Direct Mail and Email: Recruitment." We had an  
10   early paper on "Using Social Media to Conduct  
11   Outreach and Recruitment for Expanded Newborn  
12   Screening."

13                 We've got a MyChart recruitment paper  
14   that's under review right now. It's been returned  
15   with minor revisions requested, and we've  
16   resubmitted that. We're working on a greatly  
17   expanded social media paper. And we're beginning  
18   soon a phone and text, and hopefully text,  
19   reminder study.

20                 So this is our last bit effort to see  
21   what we can get through virtual recruitment by  
22   calling parents a couple of weeks after birth to

1 say that we've sent you a letter, and just  
2 reminding them that you have a defined period of  
3 time to sign up for the study. And that's going  
4 to begin soon.

5 Next slide, please.

6 (Slide)

7 DON BAILEY: So since we began in 2018,  
8 we've enrolled more than 18,000 individuals in the  
9 study. So this map shows the distribution across  
10 North Carolina. The dense areas, as you can  
11 imagine, relate to our larger cities, so the  
12 Charlotte area, the triangle area, Greensboro  
13 area, Asheville.

14 Consents have come from every birthing  
15 hospital in the state, and 99 percent of our 100  
16 counties -- there's one county up in the  
17 northeast, Gates County, where there are only a  
18 few people living there. And so we haven't gotten  
19 any consents from there.

20 We have a few from South Carolina, but  
21 these are mothers who have given birth in North  
22 Carolina, primarily in the Charlotte Hospital. So

1    they would still be screened by the North Carolina  
2    lab.

3                 Next slide, please.

4                 (Slide)

5                 DON BAILEY: This is our Early Check  
6    consents by month. So we have the dark-blue line  
7    is the postnatal consent, primarily through  
8    letters. And the light-blue line is the prenatal  
9    consent through MyChart invitations and social  
10   media.

11                 You can see that starting in -- you know,  
12   CDC first said COVID-19 was here in January of  
13   2020. You can see that actually our recruitment  
14   rate has either remained stable or gone up since  
15   there. We had a little dip during the election  
16   last fall. I think people were distracted by  
17   other things in the media. But otherwise, so  
18   we're around 500 to over 600 consents per month.

19                 So we've got a pretty good estimate now  
20   of what we can do virtually. Like I said, our  
21   last test will be through phone and text  
22   reminders. You know, we're hoping that that will

1       elevate the consent rate more. We did find that a  
2       postcard reminder did not, but we think it's  
3       because mail right after birth is just not  
4       something people pay very much attention to.

5                  Next slide, please.

6                  (Slide)

7                  DON BAILEY: People always ask us about  
8       the racial and ethnic distribution of our sample  
9       because it is a virtual recruitment sample. So  
10      these are data from the people who have signed up.  
11      Seven percent don't answer the question when we  
12      ask them to disclose race/ethnicity. And the rest  
13      of them are, we have a study percentage and then  
14      the percentage from the North Carolina 2020  
15      Census.

16                  So our white non-Hispanic sample is  
17      actually lower than the 63 percent in North  
18      Carolina. Hispanic only is, because we asked for  
19      race and ethnicity, is right at about the same  
20      percentage as the North Carolina Census.

21                  African American only is only 6 percent  
22      compared to our 22 percent population in North

1 Carolina. Asian-only is higher than the North  
2 Carolina Census. Then other or mixed is 12  
3 percent. It's been very interesting, the various  
4 combinations we've seen.

5 The 6 in parentheses is those who said  
6 they were African American plus something else.  
7 So if you add those two together, we get 12  
8 percent African American, or African American plus  
9 something else. But we're still working on  
10 strategies to increase our African American  
11 respondents. But as you can see, we do still have  
12 and feel good about the diversity that we have in  
13 our sample.

14 Next slide, please.

15 (Slide)

16 DON BAILEY: We have electronic consent  
17 process, so the big picture on the right-hand side  
18 shows the portion that you go to on a website.  
19 "Welcome to Early Check! Let's get started." And  
20 on the left-hand side, just trying to show you  
21 that we can access it through any device, through  
22 your cell phone, through a mobile app, through an

1 app, and so forth.

2 So it's a pretty easy and workable  
3 system. And as you can see from the picture on  
4 the right, you have short white-board videos that  
5 we've developed. This one is about What is Early  
6 Check? And these are simple, short, one minute or  
7 a little bit more, videos on various aspects of  
8 the project.

9 Next slide, please.

10 (Slide)

11 DON BAILEY: We have telegenetic  
12 counseling for return of screening results. This  
13 is an option for parents. We do of course provide  
14 in-person genetic counseling after families come  
15 in for diagnostic confirmation.

16 This technology has turned out to work  
17 really well. It's HIPAA-compliant. Multiple --  
18 both parents can join on, an interpreter if  
19 needed. There's screen sharing. We can show  
20 documents. It's very convenient, easy to use,  
21 reminder scheduling. And so far, parents seem to  
22 be really at ease with using the online meeting

1 platform at home, and especially while the baby  
2 sleeps nearby.

3 Next slide.

4 (Slide)

5 DON BAILEY: We also have quite a bit of  
6 educational web content about each of the  
7 disorders. So we have graphics showing various  
8 aspects of different disorders as you can see in  
9 the movement here. And depending on the question  
10 that you have, this is one for parents of the  
11 children with fragile X premutation. They can go  
12 to different links within our website to learn  
13 more.

14 Next slide, please.

15 (Slide)

16 DON BAILEY: So we are studying and  
17 publishing about our various laboratory methods.  
18 So we had a pilot study, a task order program  
19 funded by NICHD. And so we did publish our  
20 laboratory method with MPS I and for XLD. We have  
21 published on the fragile X screening and our SMA  
22 screening pilot.

1                   And as we finish gathering data over the  
2 next year or so with the Duchenne pilot, we'll be  
3 gathering that kind of data as well -- publishing  
4 those kind of data as well.

5                   Next slide, please.

6                   (Slide)

7                   DON BAILEY: We have very comprehensive  
8 data systems. Scott Shone helped us develop a  
9 flowchart like this early on, and we've modified  
10 it considerably throughout. I won't be going  
11 through this as to this slide except to say that  
12 the red line shows that we have a virtual firewall  
13 between the state lab and our secure network, and  
14 mechanisms for going back and forth with data,  
15 both within the state lab system and with our own  
16 systems that have maintained a high degree of  
17 security across everything that we do.

18                   Next slide, please.

19                   (Slide)

20                   DON BAILEY: We also pay attention to our  
21 social media. And this is the example of our  
22 monthly social media report. We can see how much

1    we're spending for Facebook and Instagram. This  
2    was a month that we did a test of Pinterest, how  
3    much we spent there. We can show how many  
4    eligible people looked at it, how many in all at  
5    each mechanism, how many signed up using each.

6                We can generate cost, a cost per eligible  
7    person and a cost per sign-in. We try to use --  
8    every month we do a lot of work on evaluating  
9    where we are with things.

10              Next slide, please.

11              (Slide)

12              DON BAILEY: We've also developed two  
13    very, very helpful in-house systems. One is  
14    called our Early Check Follow-up Tracker. So this  
15    is a visual interface that we just recently  
16    developed with the functionality to import and  
17    input data and track individual progress data. So  
18    it's an automatic data import from multiple  
19    sources. And we can daily track and document  
20    participant status.

21              And then in our Early Check Dashboard, we  
22    visualize currently Early Check status and

1 aggregated data on consent counts and so forth.

2 I'm almost finished. Next slide, please.

3 (Slide)

4 DON BAILEY: For future disorders, as you  
5 can tell, we've been using one disorder at a time.

6 So our goal now is to move from one disorder at a  
7 time to multiplexing a large number of disorders.

8 We recently received a planning grant to  
9 start looking at chromosome 15 disorders,  
10 angelman, Prader-Willi, and dup 15q. These can  
11 all be multiplexed using a platform that a  
12 collaborator has developed. So we're working on  
13 how to do that.

14 We've also received a planning grant to  
15 plan a very large targeted sequencing panel.

16 We're working with colleagues at the University of  
17 North Carolina. And hopefully, that will be fully  
18 funded and we can start that this coming year.

19 Again we're developing flexible systems  
20 just like ScreenPlus so that we can respond  
21 quickly to either newly nominated conditions and  
22 especially now to new transformative therapies so

1   that, as conditions are ready for testing, we can  
2   move quickly into that.

3                 I think that's my last slide.

4                 Next slide, please.

5                 (Slide)

6                 DON BAILEY: Oh, I just wanted to  
7   acknowledge the Early Check team. We've got a  
8   great group of collaborators and partners. Our  
9   team members within RTI who have helped on a  
10   variety of different aspects of the project.

11                Next slide, please.

12                (Slide)

13                DON BAILEY: We're also really grateful  
14   to our Early Check partners. Of course, Dr.  
15   Powell is at UNC, Dr. Cotton at Duke. Dr. Eddie  
16   Smith facilitates our Duchenne work at Duke. Dr.  
17   Nancy King is a bioethicist at Wake Forest. And  
18   Dr. Scott Shone is at North Carolina State Lab.

19                Next slide, please.

20                (Slide)

21                DON BAILEY: And this is my contact  
22   information. And I think we can stop now and turn

1 it over for questions.

2 **QUESTIONS AND COMMENTS**

3 CYNTHIA POWELL: Thanks very much, Dr.  
4 Bailey, for your presentation. We do have time  
5 for some questions and comments. And we'll first  
6 take those from Committee Members, followed by our  
7 organizational representatives.

8 We'll give everyone a minute or so to  
9 raise their hand.

10 (Pause)

11 Melissa Parisi.

12 MELISSA PARISI: Thank you. This is  
13 Melissa Parisi from NIH. And I just want to thank  
14 both of you for your presentations. Really  
15 phenomenal, and a really nice way to see  
16 partnerships and ways to leverage resources from a  
17 lot of different directions to try to successfully  
18 bring together some of these really challenging-  
19 to-do pilots.

20 I have a question for, I guess you both,  
21 about social media and your use of social media  
22 approaches, which I think is very creative and

1 certainly the wave of the future. In both of your  
2 cases, do you have access to the individual-level  
3 information for the families of the newborns?

4 Such that you have a cell phone number  
5 and/or you must have email and some sort of  
6 address information that you've been given from  
7 the birthing hospitals. But I'm just curious  
8 about how that actually works in terms of targeted  
9 outreach using social media.

10 DON BAILEY: Well, I can start. We don't  
11 do targeted outreach through social media. This  
12 is more of an algorithm approach, like as the  
13 different social media companies would do.

14 So they sort and we have recruitment  
15 criteria that we would be using in terms of age  
16 and, you know, various other filtering mechanisms  
17 that they use.

18 But we don't -- none of our social media  
19 outreach is to individuals with contact  
20 information that we have.

21 Now, our phone and text reminders, our  
22 letters, our patient portal information, now

1   that's all individualized, we get those data  
2   from a variety of sources, from either the  
3   hospitals or from the lab, the newborn  
4   screening card.

5                   MELISSA WASSERSTEIN: Again, we're doing  
6   inpatient recruitment. So we get a list of all of  
7   the babies who have been born. If we miss them  
8   for our passive recruitment method after they've  
9   been discharged, we do actually have email  
10   addresses and contact information. But that would  
11   be direct. It's not social media based.

12                  So we do have this HIPPA waiver where we  
13   can do that, but again our social media is really  
14   just touting the program and not to help with  
15   recruiting at this point.

16                  MELISSA PARISI: Thank you.

17                  CYNTHIA POWELL: Shawn McCandless.

18                  SHAWN McCANDLESS: Shawn McCandless, a  
19   Committee Member.

20                  Yes. Thank you both. Those were really  
21   fascinating projects and both excellent projects.  
22   I want to thank you for having this foresight to

1 think about how we can ease the ability to collect  
2 data for new potential conditions to add to the  
3 RUSP.

4 I have a question that's probably  
5 primarily for Dr. Bailey, but maybe Dr.  
6 Wasserstein can comment also. The question, Don,  
7 was, for the percent, do you have a sense of  
8 percent of births that you're actually reaching?  
9 And you must have. You have numbers that you can  
10 compare to the birth rates in North Carolina.

11 So I'm curious about sort of if you could  
12 compare the online enrollment rate, the online  
13 recruitment enrollment rate to the in-person  
14 recruitment rate.

15 And then the second question is that you  
16 had -- if I remember from one of your slides, it  
17 looked like the cost based on the cost of this  
18 advertising on social media was right around \$120  
19 to \$150 per successful recruitment. And I wonder  
20 if you have a way to compare that cost to the cost  
21 of in-person recruitment?

22 And then the third question is if the two

1 of you plan to get together to sort of compare  
2 from the parental perspective, if you have a plan  
3 to follow up the way that Dr. Wasserstein's group  
4 does, in particular with down the road, the  
5 parents' perceptions of the process and their  
6 satisfaction with the process?

7 Sorry, that's a lot. I apologize.

8 DON BAILEY: You're challenging my  
9 memory, Shawn, to remember all these questions.  
10 But I'll try.

11 So first the cost, or the percentage. So  
12 North Carolina has about 120,000 births a year.  
13 We're getting a little over 600 now per month, so  
14 in the 6 percent range. So, you know, that's not  
15 anywhere near what Dr. Wasserstein is getting from  
16 her project. But again, one of our major goals  
17 for this effort has been to test out different  
18 strategies.

19 You know, it's ultimately going to depend  
20 on what questions we want to ask. And if we want  
21 to look at natural history of a disorder that  
22 occurs in 1 in 100,000, you know, we're never

1 going to answer that in my lifetime using this.

2                 But if we want to test our laboratory  
3 methods, you know, one of the things we do is set  
4 up statewide follow-up procedures for every  
5 disorder, because we have to anticipate on day  
6 one, and we might find someone in the mountains or  
7 on the coast. So it's a lot of systems-level work  
8 and evaluation work.

9                 So cost varies considerably by method.

10 So mailing out the letter, you can add postage to  
11 that. And 120,000 letters a year, I figure it's  
12 about a dollar a letter to send. We have a mail  
13 center we have at RTI. So we can send all those  
14 out.

15                 Patient portals has turned out to be  
16 quite cheap. You know, we work with the hospital  
17 systems. It takes a while to get it set up. But  
18 once it's set up, it's a process that really gets  
19 pushed every few weeks to eligible mothers.

20                 Social media, we do get some bang for the  
21 buck, but not a lot. And so I think if we gave up  
22 one of our strategies, that would probably be one.

1    We're hoping that -- the phone reminders are going  
2    to be expensive. And so, we'd like to be able to  
3    do the text reminder. We're still trying to get  
4    that through the IRB.

5                 And your third question? Oh, about  
6    collaboration with each other. So we have had a  
7    number of conversations about that. We don't have  
8    any overlap in the disorders that we're screening  
9    for or in the methods that we're using. And so,  
10   we actually had planned an in-person meeting. And  
11   then COVID-19 hit and we had to cancel that  
12   meeting.

13                We've taken, I think, divergent paths and  
14   focused on the various funding sources and so  
15   forth. But we are conceptually and personally  
16   committed to collaboration. I think ultimately,  
17   Shawn, this is really where we have to go as a  
18   nation, where there's got to be -- none of us is  
19   going to ever collect enough data to answer the  
20   questions that we need to answer.

21                But there's just no mechanism. There's  
22   no data -- the feds need to just preach on this.

1 And you need to fund data-coordinating centers  
2 that help pull all of these data together in a  
3 systematic way. I know that there's some of  
4 that work going on already. But it's got to be  
5 research-question-driven.

6                   Melissa, do you want to add something to  
7 that?

8                   MELISSA WASSERSTEIN: Yeah. I can't  
9 agree with you more, Don. I do think that as  
10 Shawn mentioned, we have programs running in  
11 parallel. But I do think that each program  
12 presents a recruitment method that's unique. And  
13 I frankly think that, although the in-person  
14 recruitment and screening costs might get a  
15 higher hit, I think that the Early Check program  
16 is probably more sustainable in the long term.

17                   So I think that there's value that is  
18 essential to expanding our knowledge about how  
19 these pilot programs might work and how the  
20 disorders might work. But I think they're  
21 complementary, and our shared experiences will  
22 maybe find the optimal path going forward.

1                   CYNTHIA POWELL: Thank you.

2                   Georgianne Arnold.

3                   GEORGIANNE ARNOLD. Okay. I would like  
4 to say Bravo! Melissa, I am jealous. I wish I'd  
5 thought of this myself. The only thing I can say  
6 is, subject who decline and fill out the survey,  
7 I think they are still going to have to be  
8 consented as research subjects, are they not?

9                   MELISSA WASSERSTEIN: Yeah, if they're  
10 declining, that's a really good question. So we  
11 are collecting information, but it's de-identified  
12 for the decliners. So we're trying to make it --  
13 and they have no records, so we won't be able to  
14 track it back to them. It's a two-second three-  
15 question or four-question survey. So they're not  
16 being consented for decliner information again,  
17 because it's non-trackable, non-identifiable. It's  
18 a great question.

19                  GEORGIANNE ARNOLD: I spoke at the Orphan  
20 Drug Conference last summer, and there was, I  
21 would say, a significant lack of appreciation from  
22 some of the pharma representatives on, once there

1 was an enzyme replacement therapy, why we couldn't  
2 just add this to the newborn screening.

3 And, you know, this actually I think is a  
4 wonderful way to address that problem. Things can  
5 be tested out in a consented manner. And I wish  
6 we'd thought of it 16 years ago in New York.

7 (Laughter)

8 GEORGIANNE ARNOLD: But I'm jealous.

9 Thank you.

10 CYNTHIA POWELL: Thank you.

11 Natasha Bonhomme.

12 NATASHA BONHOMME: Natasha Bonhomme, org  
13 rep for Genetic Alliance.

14 Great presentations. I have two  
15 questions. The first one to Dr. Bailey.

16 Can anyone involved in Early Check  
17 participate in the telegenetics sessions? Or is  
18 that really targeted toward those who have an out-  
19 of-range or something happens?

20 DON BAILEY: Well, the screening-positive  
21 cases, of course, yeah. But for any of the  
22 disorders that's the first mechanism for phone

1    calls, the first mechanism for informing them  
2    about a screen-positive case.

3                 Then after that, it really depends on the  
4    nature of the disorder, how quickly we need to  
5    get them in. And, you know, for SMA it's an  
6    emergency and we have to get them in very  
7    quickly. But for fragile X, it's not such an  
8    emergency and we can offer more -- "leisurely" is  
9    not the right word, but it's a less time-  
10   sensitive disorder.

11                 So we're using a combination of  
12   approaches with that.

13                 NATASHA BONHOMME: Great. And then my  
14   other question is for both presenters. I think  
15   all of the discussion that you presented around  
16   recruitment and communication is really great and  
17   really helpful, and very helpful to see the costs  
18   behind that and what you get and what you don't  
19   get.

20                 But one question I had was, you know,  
21   this communication and the communication that  
22   goes along with recruitment that you've both done  
   around this research project. Has that had any

1 impact or effect on the general communication,  
2 education around public health and newborn  
3 screening?

4 I think sometimes people think, "Oh, if  
5 you do that, then people will get confused." I  
6 don't know if you're testing for that or asking  
7 your participants if they understand the  
8 difference between public health newborn screening  
9 and the research program that they're enrolled in.  
10 Or maybe that's not deemed that important, that  
11 distinction.

12 Just kind of any information about that  
13 would be helpful. Thanks.

14 MELISSA WASSERSTEIN: I'm going to start  
15 then if that's okay.

16 DON BAILEY: Great. Yeah.

17 MELISSA WASSERSTEIN: So for our first  
18 pilot screen that implemented in 2011 to 2017 that  
19 was focused just on lysosomal storage disorders,  
20 we enrolled about 65,000 babies for those. And  
21 one of our big concerns, Natasha, was that we  
22 would lose babies, that once parents became aware

1       of newborn screening, they would opt out of  
2       general newborn screening. And that was a  
3       concern.

4                  But it turns out that there was  
5       absolutely no change in any of our pilot  
6       hospitals. So they were informed about newborn  
7       screening. Our script does differentiate, the  
8       script that our recruiters use, between routine  
9       newborn screening, which is what we're following,  
10      the state-funded screening, versus our ScreenPlus  
11      screening.

12                 So they're aware of the difference, but  
13       nobody opted out of the other, which is great.

14                 DON BAILEY: Yeah. We make it clear in  
15       our recruitment that this is separate from  
16       regular newborn screening. But, I know Scott can  
17       answer this question--we've not seen any reduction  
18       in refusals of regular newborn screening as a  
19       result of this project. And even from our earlier  
20       fragile X pilot study many years ago, we didn't  
21       see that either.

22                 CYNTHIA POWELL: Let me just check.

1   Melissa Parisi, did you have another question or  
2   had your hand still raised? I don't want to  
3   overlook you.

4                     MELISSA PARISI: I forgot to lower it. I  
5   apologize.

6                     CYNTHIA POWELL: Okay. Thank you. All  
7   right.

8                     Debra Freedenberg.

9                     DEBRA FREEDENBERG: So my question really  
10   is sort of about outcomes. I know you focus in on  
11   recruitment and systematic design of these  
12   studies. So, Melissa's project may still be a  
13   little bit early since she just started recruiting  
14   really in May, hasn't started implementing that.

15                  But my question is, When you see this  
16   sample population that has consented, are you  
17   finding some regret from parents who wish they had  
18   not known even though they consented? And are you  
19   finding the incidents to be about what is expected  
20   for the condition you check -- you know, the  
21   standard incidence numbers right now?

22                  MELISSA WASSERSTEIN: So, I don't have

1 the data yet from ScreenPlus. We just haven't had  
2 any positives yet.

3 We actually did a similar ELSI study for  
4 the earlier pilot screen that I had mentioned  
5 before. And we weren't really focusing on  
6 decisional regret, but we were asking about stress  
7 and anxiety. But there is always concern if  
8 we're picking up later onset lysosomal storage  
9 disorders in the population, is there more  
10 anxiety and stress for parents sitting and  
11 watching and waiting?

12 And we are in the process of writing up  
13 that manuscript since we have a great data  
14 analyst now. And it looks at if, honestly, as if  
15 parents have less stress and less anxiety when  
16 these disorders are detected through newborn  
17 screening versus having a diagnosis for clinical  
18 purposes, or actually even a diagnosis of the  
19 different routine RUSP, you know, PKU type  
20 newborn screening results.

21 So I can't assume that that's decisional  
22 regret or lack of decisional regret. But those

1 are actually very positive outcomes so far that  
2 come from the data that we have. So there will be  
3 a lot more, hopefully, to follow, in the next  
4 series of questions.

5 DON BAILEY: I mean, I think that's  
6 similar for us as well. We published a paper  
7 after our first fragile X newborn screening where  
8 we did return carrier status as a part of that.  
9 We did a follow-up on maternal stress, anxiety,  
10 postpartum depression, quality of life, and so  
11 forth. And we found no -- and we compared with  
12 families who participated, but had a negative  
13 screen. And we didn't find any differences there.

14 So in qualitative interviews, you find  
15 sometimes short-term -- this is very natural --  
16 people are wondering why they did this or  
17 questioning it. But over the long haul, we've not  
18 seen any kind of longitudinal kind of negative  
19 effects.

20 I think, you know, it's very hard to  
21 answer, and Dr. Wasserstein alluded to this. It's  
22 very hard to compare parents who got data through

1 this project to those who had to go through a  
2 diagnostic odyssey. These parents will never know  
3 what the diagnostic odyssey was like. And so you  
4 can't really ask them, you -- it can't be family  
5 comparisons.

6 And we know that the diagnostic odyssey  
7 for most disorders is long. It's complicated.  
8 It's frustrating. It's costly. It's something  
9 people hate going through. And so -- but it's a  
10 complicated question to kind of answer. But to me  
11 it's kind of obvious, and especially if we don't  
12 get adverse reactions.

13 DEBRA FREEDENBERG: Thank you so much.

14 CYNTHIA POWELL: Well, let me thank both  
15 Dr. Wasserstein and Dr. Bailey for your very  
16 informative presentations today before the  
17 Committee. We appreciate all of the work that  
18 you're doing. And I look forward to updates in  
19 the future as they develop.

20 DON BAILEY: Thank you for the  
21 opportunity to present.

22 MELISSA WASSERSTEIN: Thank you.

1

**NEW BUSINESS**

2 CYNTHIA POWELL: Do Committee Members  
3 have any new business or announcements before we  
4 adjourn?

5 (No audible response)

6

**ADJOURN**

7 CYNTHIA POWELL: Hearing none, I want to  
8 remind everyone that the next ACHDNC meeting will  
9 take place on February 10th through 11th, 2022 via  
10 webinar. For a full list of meeting dates through  
11 2025, please visit the Committee's website.

12 The November Meeting of the Advisory  
13 Committee on Heritable Disorders in Newborns and  
14 Children is now adjourned.

15 Thank you all. Take care.

16 (Whereupon, the meeting concluded.)

17

18

19

20

21