

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20

THE ADVISORY COMMITTEE ON HERITABLE DISORDERS IN
NEWBORNS AND CHILDREN
IN-PERSON/WEBINAR

HRSA HEADQUARTERS 5600 FISHERS LANE
ROCKVILLE, MARYLAND 20852 (Pavilion)
Friday November 3, 2023
9:30 a.m.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

Table of Contents

Table of Contents 2

COMMITTEE MEMBERS 3

EX - OFFICIO MEMBERS 5

ACTING DESIGNATED FEDERAL OFFICIAL 6

ORGANIZATIONAL REPRESENTATIVES 7

P R O C E E D I N G S 12

Welcome and Roll Call 12

Listening Session Group Updates 18

 Nomination Process 18

Committee Discussion 41

 Evidence-Based Review Process 56

Committee Discussion 79

APHL Updates 103

Duchenne Muscular Dystrophy Evidence-Based Review:

 Phase 1 Update 108

 Committee Discussion 116

Krabbe Disease Expedited Evidence-Based Review: Phase 1

 Update 117

 Committee Discussion 121

New Business 126

COMMITTEE MEMBERS

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

Ned Calonge, MD, MPH (Chairperson)

Associate Dean for Public Health Practice
Colorado School of Public Health

Michele Caggana, ScD, FACMG

Deputy Director, Division of Genetics
New York Department of Health

Jannine D. Cody, PhD

Professor, Department of Pediatrics
Director, Chromosome 18 Clinical Research Center
Founder and President
The Chromosome 18 Registry & Research Society

M. Christine Dorley, PhD, MS

Assistant Director, Laboratory Services
Tennessee Department of Health

COMMITTEE MEMBERS

(continued)

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program

American Family Children's Hospital

Professor of Child Neurology

University of Wisconsin School of Medicine

Ashutosh Lal, MD

Professor of Clinical Pediatrics

University of California San Francisco

UCSF) School of Medicine

UCSF Benioff Children's Hospital

Shawn E. McCandless, MD

Professor, Department of Pediatrics

Head, Section of Genetics and Metabolism

University of Colorado Anschutz Medical Campus

Children's Hospital Colorado

COMMITTEE MEMBERS

(continued)

Chanika Phornphutkul, MD, FACMG

Professor of Pediatrics and Pathology and

Laboratory Medicine and Genetics

Director, Division of Human Genetics

Department of Pediatrics

Brown University

Hasbro Children's Hospital / Rhode Island Hospital

EX - OFFICIO MEMBERS

Agency for Healthcare Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology

Branch

Division of Laboratory Sciences

National Center for Environmental Health

EX - OFFICIO MEMBERS

(continued)

Food & Drug Administration

Paula Caposino, PhD

Acting Deputy Director, Division of Chemistry
and Toxicology Devices

Office of In Vitro Diagnostics

Health Resources & Services Administration

Michael Warren, MD, MPH, FAAP

Associate Administrator

Maternal and Child Health Bureau

National Institutes of Health

Diana W. Bianchi, MD

Director, Eunice Kennedy Shriver National
Institute of Child Health and Human Development

ACTING DESIGNATED FEDERAL OFFICIAL

CDR Leticia Manning, MPH

Health Resources and Services Administration
Genetic Services Branch

Maternal and Child Health Bureau

ORGANIZATIONAL REPRESENTATIVES

American Academy of Family Physicians

Robert Ostrander, MD

Valley View Family Practice

American Academy of Pediatrics

Debra Freedenberg, MD, PhD

Medical Director, Newborn Screening and Genetics,
Community Health Improvement Texas Department of
State Health Services

American College of Medical Genetics & Genomics

Cynthia Powell, PhD, FACMG, FAAP

Professor of Pediatrics and Genetics

Director, Medical Genetics Residency Program

Pediatric Genetics and Metabolism

The University of North Carolina at Chapel Hill

American College of Obstetricians & Gynecologists

Steven J. Ralston, MD, MPH

Chair, OB/GYN Pennsylvania Hospital

ORGANIZATIONAL REPRESENTATIVES

(continued)

Association of Maternal & Child Health Programs

Karin Downs, RN, MPH

Maternal and Child Health Director (retired)

Massachusetts Department of Public Health

Association of Public Health Laboratories

Susan M. Tanksley, PhD

Manager, Laboratory Operations Unit

Texas Department of State Health Services

Association of State & Territorial Health

Officials

Scott M. Shone, Ph.D., HCLD(ABB)

Director

North Carolina State Laboratory of Public Health

ORGANIZATIONAL REPRESENTATIVES

(continued)

Association of Women's Health, Obstetric and

Neonatal Nurses

Shakira Henderson, PhD, DNP, MS, MPH, RNCNIC,

IBCLC

Health Board Director

Vice President, Research Officer

University of North Carolina Health

Child Neurology Society

Margie Ream, MD, PhD

Associate Professor

Director, Leukodystrophy Care Clinic

Director, Child Neurology Residency Program

Nationwide Children's Hospital, Division of

Neurology

Department of Defense

Jacob Hogue, MD

Lieutenant Colonel, Medical Corps, US Army

Chief, Genetics, Madigan Army Medical Center

ORGANIZATIONAL REPRESENTATIVES

(continued)

Genetic Alliance

Natasha F. Bonhomme

Vice President of Strategic Development

March of Dimes

Siobhan Dolan, MD, MPH, MBA

Professor and Vice-Chair, Genetics and Geonomics

Department of Obstetrics, Gynecology, and

Reproductive Science

Icahn School of Medicine at Mount Sinai

National Society of Genetic Counselors

Cate Walsh Vockley, MS, LCGC

Senior Genetic Counselor

Division of Medical Genetics

UPMC Children's Hospital of Pittsburgh

1
2
3
4
5
6
7
8
9

ORGANIZATIONAL REPRESENTATIVES

(continued)

Society for Inherited Metabolic Disorders

Susan A. Berry, M.D.

Professor, Division of Genetics and Metabolism

Department of Pediatrics

University of Minnesota

P R O C E E D I N G S

Welcome and Roll Call

DR. CALONGE: Welcome back to day two of the Advisory Committee on Heritable Disorders in Newborns and Children meeting. I'm going to right away turn it over to Letitia for our roll call.

COMMANDER MANNING: Thank you. Good morning, everyone. I'm going to start with the Agency for Healthcare Research and Quality, Kamila Mistry? Michele Caggana?

DR. CAGGANA: Here.

COMMANDER MANNING: Carla from the Centers for Disease Control and Prevention, Carla Cuthbert?

DR. CUTHBERT: I'm here.

COMMANDER MANNING: Jannine Cody?

DR. CODY: I'm here.

COMMANDER MANNING: Christine Dorley? From the Food and Drug Administration Paula Caposino?

DR. CAPOSINO: I'm here.

COMMANDER MANNING: From the Health Resources and Services Administration Jeff Brosco?

DR. BROSCO: Good morning.

COMMANDER MANNING: Dr. Michael Warren?

DR. WARREN: I'm here. Thank you.

1 COMMANDER MANNING: Dr. Jennifer Kwon?

2 DR. KWON: I'm here.

3 COMMANDER MANNING: Ash Lal?

4 DR. LAL: Here.

5 COMMANDER MANNING: Shawn McCandless?

6 DR. MCCANDLESS: Here.

7 COMMANDER MANNING: From the National
8 Institute of Health Mollie Manier?

9 DR. MANIER: Here.

10 COMMANDER MANNING: And Chanika
11 Phornphutkul?

12 DR. PHORNPHTUKUL: I'm here.

13 COMMANDER MANNING: For the organizational
14 representatives from the American Academy of Family
15 Physicians, Robert Ostrander?

16 DR. OSTRANDER: Good morning.

17 COMMANDER MANNING: Good morning. From
18 the American Academy of Pediatrics, Debra
19 Freedenberg?

20 DR. FREEDENBERG: I'm here.

21 COMMANDER MANNING: From the American
22 College of Medical Genetics, Cindy Powell?

23 DR. POWELL: Here.

24 COMMANDER MANNING: And from the American
25 College of Obstetricians and Gynecologists, Steven
26 Ralston? From the Association of Maternal and

1 Child Health Programs, Karin Downs?

2 DR. DOWNS: Here.

3 COMMANDER MANNING: From the Association
4 of Public Health Laboratories, Susan Tanksley?

5 DR. TANKSLEY: I'm here.

6 COMMANDER MANNING: From the Association
7 of State and Territorial Health Officials, Scott
8 Shone?

9 DR. SHONE: Here.

10 COMMANDER MANNING: From the Association
11 of Women's Health, Obstetric and Neonatal Nurses,
12 Shakira Henderson. From the Child Neurology
13 Society, Margie Ream? The Department of Defense,
14 Colonel Jacob Hogue?

15 DR. HOGUE: Here.

16 COMMANDER MANNING: From the Genetic
17 Alliance, Natasha Bonhomme?

18 MS. BONHOMME: Here.

19 COMMANDER MANNING: From the March of
20 Dimes Siobhan Dolan?

21 DR. DOLAN: Here.

22 COMMANDER MANNING: From the National
23 Society of Genetic Counselors, Cate Walsh Vockley?

24 DR. WALSH VOCKLEY: I'm here.

25 COMMANDER MANNING: And from the Society
26 for Inherited Metabolic Disorders Sue Berry?

1 DR. BERRY: I'm here.

2 COMMANDER MANNING: I'm just going to
3 remind folks of our conflict of interest
4 requirements. Please note if you need to recuse
5 yourself for any reason, if you feel like any parts
6 of the conversation conflict with your role as an
7 officer or a director or general partner, please
8 reach out to me and let me know.

9 So the same rules as yesterday. Next
10 slide please. As a reminder, meeting
11 participation. All Committee meetings are open to
12 the public. If the public wish to participate in
13 the discussion or the procedures, it's published in
14 the Federal Register, and announced at the opening
15 of the meeting.

16 As you all know yesterday we had our
17 public comments, and we also had listening sessions
18 that were open to the public. Any further public
19 participation will be solely at the discretion of
20 the designated federal officer official.

21 For webinar instructions if you are having
22 any technical difficulties please reach out to
23 ekelly@lrg.org, and I'm turning it back over to
24 you, Ned.

25 DR. CALONGE: Great. We can go through
26 the slides maybe. Just a couple of quick items

1 from yesterday. I'll point out the agenda for
2 today's meeting is going to focus on going over the
3 updates from the listening groups that I think most
4 of you attended or participated in yesterday, and
5 again I thank you for your input and time.

6 As far as our conflict of interest policy,
7 so we've taken the comments back and made
8 revisions. I think as we talked to staff we felt
9 we needed to probably go back to our legal
10 advisers, which will also give us time to work out
11 the way that we're going to approach organizational
12 representatives and their conflict of interests
13 assessments.

14 So, we're not going to have a vote on it
15 today. We're uncertain because it's a bylaw issue
16 whether the Committee needs to vote on it. That's
17 one of the reasons we're taking this little pause,
18 and we'll get back at the next meeting. On the
19 other hand, we have completed answering questions
20 about the expedited review process in the August
21 23rd meeting summary.

22 And those edits, I think, were forwarded
23 to Committee members, and at this point I would
24 entertain a motion to approve the minutes from a
25 Committee member who needs to unmute.

26 DR. CAGGANA: I'll make a motion to

1 approve. This is Michele Caggana.

2 DR. CALONGE: Thank you, Michele.

3 DR. CAGGANA: Sure.

4 DR. CALONGE: Is there a second?

5 DR. CODY: I can second. This is Jannine
6 Cody.

7 DR. CALONGE: Thank you. I appreciate it,
8 Jannine. Will all Committee members please unmute
9 and signify approval by saying aye?

10 CHORUS: Aye.

11 DR. CALONGE: Thank you. If there are any
12 opposed you would unmute and say nay. Hearing
13 none, the minutes are approved. Before we get to
14 the listening sessions, I'd like to take a moment
15 and see if Jelili Ojodu has any updates from the ad
16 hoc groups that HRSA's NBS Excel program is hitting
17 on : condition naming, secondary conditions, and
18 second or higher tier testing.

19 Jelili are you with us? We're waiting for
20 him to be promoted. It sounds so positive when you
21 say it that way. So we're going to wait, Jelili
22 hasn't joined us yet, so we'll probably do that a
23 little later, and I'd like to move on to the report
24 out for our groups. I'm going to start with the
25 Public Health Group.

26

Listening Session Group Updates

Nomination Process

DR. CALONGE: And the way we thought we would do this is we would cover the questions on the nomination process, and then pause for a discussion, and then cover kind of the updates or the summaries for the second set of questions on benefits, harms and balance. So turning to the public health area, I'm going to introduce Shawn McCandless, Professor of Pediatrics, and the section head for genetics and metabolism at the University of Colorado Denver School of Medicine, and the Childrens Hospital Colorado.

His research is focused on newborn inborn errors of metabolism and Prader-Willi syndrome. He's currently the clinical team liaison and the site principal investigator for the Urea Cycle Disorders Consortium of the National Institutes of Health Rare Diseases Clinical Research Networks.

Joining him is Scott Shone, the Director of the North Carolina State Laboratory of Public Health. He is a board certified high complexity clinical laboratory director, trained in molecular microbiology and immunology. Dr. Shone is a member on the Clinical and Laboratory Standards Institute Expert Panel on Newborn Screening, a member of the

1 editorial board for the International Journal of
2 Neonatal Screening, a member of the APHL Newborn
3 Screening and Genetics and Public Health Committee,
4 and of course, a previous Advisory Committee
5 Member. Let me turn things over to Shawn and
6 Scott.

7 DR. MCCANDLESS: Thank you, Dr. Calonge.
8 I will start, and then at the end of each slide ask
9 Scott to fill in some additional comments. Go to
10 the next slide please. So we wanted to start with
11 some overarching themes that came out of the
12 discussion. And first and most important, was
13 overall there was consensus that changes to the
14 nomination process and system are needed.

15 And that led to a lot of discussion about
16 how to further and better incorporate equity into
17 the decision-making process. And there was also a
18 lot of discussion about data collection and
19 concerns about data collection specifically around
20 who does it, who pays for it, and if there is a
21 standardized package of data that is needed to move
22 a nomination forward, that needs to be considered
23 in the new process, so that we don't create new
24 inequities for various groups that have fewer
25 resources, or less resources, or where there's
26 less, you know, pharmaceutical industry support for

1 developing the historical, you know, database of
2 patient information.

3 It was important, there were concerns
4 raised that whatever changes are made, we need to
5 be careful that it doesn't further delay timelines,
6 or add to the time that it takes to get it, a
7 nomination, across the finish line. And there was
8 some concern that having more centralized, a more
9 centralized method of creating nominations might
10 actually slow things down a little bit.

11 It's also possible that that could speed
12 up the process, and members of the Public Health
13 Group Listening Committee, or the people that were
14 speaking thought it was really important to be
15 cognizant of the potential for unintended
16 consequences of changes.

17 This may go without saying, but needs to
18 be said anyways. Go to the next slide please.
19 Scott, I think let me finish up the overarching
20 themes, Scott, and then I'll ask if you have
21 anything else. Considering the purpose, there was
22 a lot of discussion around what's currently in the
23 nomination package that there is an expectation
24 that there be at least one case identified by a
25 pilot study of a population-based pilot study.

26 And the question that was raised was that

1 there needs to be careful consideration of why that
2 is needed, and what is the information that will be
3 obtained from that, and whether there may be a more
4 efficient way for a particularly rare disease to
5 obtain that information, and so that there should
6 be a case by case review of that requirement to
7 have at last one case identified by a population
8 based pilot study.

9 There was some concern raised about
10 balancing the benefit of newborn screening and
11 early diagnosis for a specific disorder, or a
12 specific group of disorders versus the risk of
13 "breaking the system by the rapid addition of new
14 conditions." And we'll come back to this later,
15 that there is some concern that it's already
16 becoming difficult as things are added at a fairly
17 rapid pace that may or may not be multiplexed with
18 other tests. That there may be straining of some
19 state systems to add conditions within a three year
20 timeline, which was sort of alluded to yesterday as
21 what states typically say they can do, that may no
22 longer be true.

23 There was some discussion among the group
24 that it might be okay to create a more limited
25 definition of what is newborn screening,
26 particularly as it relates to the consideration of

1 clinical capacity to take care of the patients
2 after they're identified and long-term follow-up,
3 just to actually allow reasonable decision making.

4 And so the public health group thought
5 there should be maybe a little bit more discussion
6 around what is the definition of newborn screening
7 that should be used when a new condition is being
8 continued. And finally, the one overarching theme
9 that came up over and over was that this newborn
10 screening needs to be a continuous learning system
11 that adapts to its learnings. Scott, anything to
12 add to the overarching themes, and then we'll go to
13 the nomination process?

14 DR. SHONE: I will say why don't you -- I
15 think we're going to pause after the nomination
16 process slide, for the others to talk about their
17 nomination results, and I can share some thoughts
18 after that slide if that's okay with you, Shawn?

19 DR. MCCANDLESS: Perfect. May I have the
20 next slide please? So, specifically regarding the
21 nomination process, there was sort of validation of
22 the thought that there may be potential nominators
23 who just don't have the bandwidth or resources to
24 put together a nomination package in the current
25 system, which is a major drive for change.

26 It's critically important to have a

1 mechanism for active involvement of active seekers,
2 and those with lived experiences in any new process
3 to make sure that their voice is both heard and
4 amplified in the process. There was also some
5 discussion around the idea of having a more clear
6 definition of what does lived experience mean.

7 And there was some discussion about
8 whether, you know, just what is the value of the
9 importance of anecdotal stories, and a recognition
10 that hearing individual stories is important, but
11 needs to be -- that needs to be carefully worked
12 into the framework of what the nomination process
13 will look like to ensure that there's not -- that
14 there's an appropriate balance between the
15 heart-wrenching stories that we hear, and the
16 evidence basis for moving a condition forward.

17 Although the group was very clear that it
18 is important to hear those stories. That led to a
19 discussion whether if there were a bundling of
20 conditions, in other words if there were a group of
21 conditions that were very similar that were going
22 to be considered together would there be helpful to
23 sort of bundle the family lived experiences
24 information as well.

25 There was also some discussion around that
26 fact that as heart-wrenching as the family

1 experiences are, and as important as it is to hear
2 them, sometimes the agony and pain is very similar
3 from one condition to the next in that while each
4 condition is unique, and each family's personal
5 journey and pain is unique, the broad themes of
6 those stories are often very, very similar. And we
7 wondered if there was a way to more efficiently
8 capture that in the process.

9 There was a concern that implementation in
10 three years is becoming more difficult for states,
11 and that a rapid influx of new conditions that are
12 not sort of simple add on's to existing assays
13 would be -- could be very challenging, and could
14 overwhelm newborn screening systems. Go to the
15 next slide please.

16 DR. SHONE: So, I think so, I don't know
17 HRSA, I don't know did you want us to stop at that
18 nomination slide?

19 DR. CALONGE: Yes, they are. That's what
20 we were told.

21 DR. SHONE: Okay. So, Shawn we're going
22 to come back to the evidentiary review after
23 everybody does nominations. I'll just add a couple
24 quick thoughts. You know, I think in general, as
25 Shawn said, our group, you know, why they graded
26 the questions and the information solicited as part

1 of the nomination process, was correct, and
2 generally on point.

3 But the process itself is where there was
4 room for improvement. You know, the who's and
5 how's of getting all that information submitted.
6 And I want to reiterate the point that Shawn
7 brought up around the concerns of centralization,
8 particularly and potentially in a bureaucratic
9 government organization of pulling together these
10 things, and the potentiality for that actually
11 contributing to delays and challenges, as opposed
12 to making things easier.

13 So there was a recognition from those
14 outside of government that everybody's bandwidth is
15 taxed, and so what would be the resources that
16 would come to bear if things were centralized, and
17 that needed to be part of any kind of transition or
18 change in the process of the nomination itself.

19 Also, as Shawn just highlighted, the
20 importance of the different lived experienced
21 voices, and if you centralize in a group that isn't
22 directly connected with those families, would we
23 actually end up losing the voices that we value?
24 And so, that's why he spent some time I think on
25 trying to sort out, you know, what's unique about
26 the stories we hear, what's similar, and how do we

1 make sure we don't lose the uniqueness, but also
2 grasping clearly what's similar across the shared
3 family stories.

4 The learning system I want to acknowledge
5 Beth Tarini's paper recently, where she talks about
6 that as part of the newborn screening system
7 conundrum that we're dealing with, and I would just
8 like to just clarify on the lived experience slide,
9 you know, I think one of the things we need to
10 think about is there are many groups, and we heard
11 that you have those who lived, who were identified
12 through newborn screening, who were not identified
13 through newborn screening, who may have had a false
14 positive newborn screening, or who were identified
15 due to sibling work.

16 And how much do we as a group want to
17 weigh and balance all of those different lived
18 experiences, and potentially others as part of the
19 nomination process. So, thanks Shawn, for letting
20 me chime in a couple of additional thoughts.

21 DR. MCCANDLESS: Thanks for those
22 additions. So we'll stop here and let the team
23 from, Ned and the team from HRSA lead us forward.

24 DR. CALONGE: Thanks so much, Shawn and
25 Scott. I think now we're going to turn to the
26 Family and Representative Organization Group, and

1 helping us with that will be Jannine Cody,
2 Professor of Genetics and the Department of
3 Pediatrics at University of Texas Health, San
4 Antonio.

5 In 1985 her daughter, Elizabeth, was born
6 with a rare chromosome abnormality called 18q
7 minus. In 1990, Jannine founded the Chromosome 18
8 Registry and Research Society, as a way to bring
9 affected families together, and to learn from each
10 other. While pursuing her Ph.D. she developed a
11 multi-disciplinary chromosome 18 clinical research
12 center, the goal of which is to make the chromosome
13 18 conditions the first completely treatable
14 chromosome abnormalities.

15 Joining her will be Siobhan Dolan,
16 Professor and Vice Chair for Research in the
17 Department of Gynecology and Women's Health at
18 Albert Einstein College of Medicine, and Montefiore
19 Medical Center in the Bronx. Dr. Dolan serves as a
20 medical advisor to the March of Dimes, where she
21 works to improve the health of babies by preventing
22 birth defects, pre-term birth, and infant
23 mortality.

24 And Jannine, I'll turn things over to you.
25 And you're on mute.

26 DR. CODY: Sorry. Should have known

1 better. Thank you. And I -- we had a fabulous
2 discussion that is I would say completely aligned
3 with the report from Shawn and Scott. Can I see
4 the first slide please? Or the next slide? And
5 the families felt that the advocacy groups were in
6 fact the best people to put together a nomination
7 packet, sort of as a neutral territory, safe
8 territory for researchers, laboratory, public
9 health people to come together.

10 But as pointed out, they often don't have
11 the resources, and it's not really just money that
12 they don't have to support getting all this
13 together, but it's the person power, and actually
14 as pointed out by the public health group, the
15 statistical expertise and just the components that
16 are actually required in the nomination packet.
17 And so, serious guidance from HRSA and help putting
18 together a nomination packet, and just to know what
19 exactly is needed and what is not needed, and how
20 to focus those inquiries.

21 And so, of course, they're seeking
22 partnership and collaboration regarding all of that
23 sort of process getting the data collected. And
24 very much every part of the discussion the whole
25 way through, they really thought that the families
26 would like to be involved earlier. They were

1 feeling like the family view is sort of an
2 afterthought after the evidence-based review is
3 done. And then you hear from the families. But the
4 decisions are really made on the evidence-based
5 review, and they wanted to have the family voices
6 involved earlier, maybe even a part of the evidence
7 based review. Now, I was going to start, and
8 forgot to thank Donna Johnson, who did a great job
9 facilitating the discussion.

10 We had a really positive and thoughtful
11 discussion. Siobhon, do you have anything to add
12 about the nomination process?

13 DR. DOLON: No. Thanks so much, Jannine,
14 that's perfect.

15 DR. CODY: Okay. All right. Thank you.

16 DR. CALONGE: Thanks. Okay. We're going
17 to turn now to the Laboratory Session, and helping
18 us there will be Michele Caggana, who's the Deputy
19 Director of the Division of Genetics, Chief of
20 Laboratory of Human Genetics, and the Director of
21 the Newborn Screening Program at the New York State
22 Department of Health.

23 And she works closely with NICHD, CDC and
24 HRSA as principle investigator on several ongoing
25 grants and contracts. She is actively involved in
26 several associations of public health laboratory

1 committees and subcommittees. Susan Tanksley, is
2 the Deputy Director in the Laboratory Services
3 section of the Texas Department of State Health
4 Services in Austin, Texas.

5 She manages the day-to-day operations of
6 Texas's public health laboratory. She chaired the
7 APHL Newborn Screening and Genetics and Public
8 Health Committee from 2011 to 2017, co-chaired the
9 Newborn Screening Work Group for the Mountain
10 States Genetics Regional Collaborative Center, from
11 2009 to 2015, and has been a long-time supporter
12 and member of the Committee.

13 So at this point I'd like to turn things
14 over to Michele.

15 DR. CAGGANA: Thank you. Good morning
16 everybody. We had a good session yesterday. We
17 were led by Loraine Swanson and put together a set
18 of slides for you, and then Susan, you can chime in
19 if you feel free, or I miss something. Next slide
20 please.

21 Okay. So we thought an answer to the
22 first question that really the idea of getting on
23 the list was your foot in the door, and that that
24 should be a bare bones approach. And maybe just as
25 simple as naming what the condition is, what the
26 newborn screening test is, and what the treatment

1 is. And potentially, obviously, availability of a
2 diagnostic test.

3 And we felt that this would be a very
4 simple way to decrease the burden on the folks that
5 are nominating conditions for the panel. It
6 improves accessibility, and it levels the playing
7 field to allow people to get in the door.

8 We truly understand that we will need
9 another step, and that will be as, I believe,
10 public health, it's going to maybe add additional
11 time on the front end that are going to require
12 resources. We already gathered that information,
13 but we felt allowing that process to start, and how
14 conditions on a list would impact the timeline for
15 evidence-review because the work will be done
16 upfront, and that may impact the overall timeline.

17 Obviously, in order to be able to do this
18 we're going to have to figure out who meets that
19 interim step, and works to get together all of the
20 information that's needed because at the end of the
21 day we still need everything that's in the
22 nomination package as it currently stands.

23 We talked about the fact there is already
24 a list of candidate conditions. A lot of effort
25 was put in by the NBSTRN and Jennifer Taylor was in
26 our group, and that was about 34 conditions that

1 are already on that list, and essentially have
2 gathered these bare bone pieces of information.

3 And we need independent stakeholder
4 advocacy and federal agency input in order to be
5 able to make this work in practice. Next slide
6 please.

7 So in response to the second question, we
8 again thought that if we lowered the intake for the
9 nomination that it actually will remove those
10 barriers for advocacy groups. It will also allow
11 us to hear from groups that maybe we hadn't heard
12 from in the past.

13 And we talked more about that in some of
14 our later slides. And I agree with the family that
15 we need a little bit more of a bidirectional
16 dialogue with the stakeholders, and the parents and
17 advocates because as was mentioned, most of the
18 time it's sort of a one-way we hear from them at
19 various aspects of the Committee meetings, but we
20 really should incorporate some of their input early
21 on as we develop the package as it goes forward.

22 And we need to ask for all the voices. We
23 talked and spent a bit amount of time talking about
24 the fact that, you know, we don't know what we
25 don't know. We know who comes to the table, and we
26 know what groups are known to us over a period of

1 years, and we really need to work hard to find
2 those other folks that have input, and they just
3 don't have the means, or a way, or even the
4 knowledge to know that we want input from them.

5 And we also talked about the fact that we
6 do hear a lot from families who are impacted by
7 rare conditions, but we really would like to hear
8 from families in general, so more of a focus group
9 type approach, or something along those lines, so
10 that we can sort of get the input from families in
11 a balanced way.

12 And then we're sort of stuck with how we
13 find them, how do we gather the information, and
14 format the input into a nomination package more
15 efficiently. And how do we get that balance, that
16 sort of balance? And so we talked about do we hold
17 listening sessions for those individuals? Do we do
18 outreach via social media? Are there other ways to
19 get those folks to the table as well?

20 And then the last set for this, we noticed
21 that the whole term nomination package as it sits
22 is daunting, and it sounds like an awful lot of
23 work before anyone who picks it up and actually
24 looks at what's in it, and what they would have to
25 collect in order to nominate a condition.

26 And again, all of the information that has

1 to be gathered at some point, and we're still going
2 to need it regardless of how it's assembled. And
3 so, we feel that it was unlikely having this sort
4 of low bar to get into -- onto the list, that
5 implementation will go any faster, we still need
6 extra time to work on that. And then who is going
7 to own that?

8 And we spent a fair amount of time also
9 talking to the effect that we need a reset.
10 There's this idea that once it's on the RUSP,
11 that's the end point for the Committee. And
12 really, our metric for success is implementation.
13 We get this feeling that once we get the letter
14 from the Secretary, we should hit go, and then the
15 maps will all come up and we'll see who's
16 screening, who's not screening. But at the end of
17 the day our goal for the laboratory perspective, is
18 to actually screen the babies and implement the new
19 test. And so we need a change in the mindset that
20 RUSP is sort of our end, our end work.

21 So that was our input and Susan I'll let
22 you comment.

23 DR. TANKSLEY: I don't have anything to
24 add. Thanks Michele.

25 DR. CALONGE: All right. Nice
26 presentation. Thank you. And great points in

1 addition to the ones we've heard so far. So the
2 last group to go nomination, before some discussion
3 is the group on Clinicians. Leading that is
4 Jennifer Kwon, Professor of Neurology at the
5 University of Wisconsin, School of Medicine and
6 Public Health.

7 She's the Director of the Pediatric
8 Neuromuscular Program at the American Family
9 Children's Hospital. Dr. Kwon is trained in
10 pediatric neurology, and neuromuscular disorders.
11 Joining Dr. Kwon is Colonel Jacob Hogue, currently
12 the Chief of Genetics at Madigan Army Medical
13 Center, which is located on Joint Base
14 Lewis-McChord in Takoma, Washington.

15 In this role he's responsible for the
16 medical care of individuals of all ages with
17 suspected or confirmed genetic conditions
18 throughout the region. In addition to his role as
19 a clinician and subject matter expert on genetics
20 in the military, LTC Hogue currently serves as the
21 Chief of the Department of Clinical Investigations,
22 and the Chair of the Ethics Boards at Madigan.
23 With that I'll turn things over to Jennifer, or to
24 Dr. Hogue, one of you.

25 DR. HOGUE: Yeah, yeah, I think I'm
26 actually going to do the first sections, so I'll

1 cover the nomination process discussion.

2 DR. CALONGE: That's perfect.

3 DR. HOGUE: And then Jennifer will cover
4 the next section, so next slide. So, nice to hear
5 individuals coming from different perspectives
6 covering a lot of the same information, and I think
7 having a lot of the same ideas about things, so I
8 think a lot of what we're going to discuss mirrors
9 what was discussed already, so next slide.

10 So, we agreed with the other groups that
11 the importance of considering different ways of
12 going about the nomination process, given the
13 importance of ensuring that there is access to
14 making a nomination to groups that don't have large
15 support advocacy organizations that don't have
16 large financial backing because it's a large
17 burden, or the amount of work that is required for
18 making a successful nomination. So, certainly open
19 to options to make that more accessible.

20 The how that would happen is certainly a
21 question. I think the other groups have talked
22 about, and identifying whether that is within HRSA
23 with our Committee, with another federal
24 organization that would take on a component of
25 that, knowing that there are other groups that
26 already provide some support for that that are

1 external to this particular Committee that will
2 help groups with that.

3 The other discussion that we had was
4 thinking about whether there needs to be proactive
5 identification mechanisms, whether that's internal
6 to our Committee to saying is there a monitoring of
7 new FDA approvals, new treatments that have been
8 published where we should have some forward
9 thinking of them reaching out to organizations to
10 think about whether they're ready for a nomination
11 packet, providing assistance to move their position
12 along towards a nomination.

13 We also discussed the NBSTRN list, and
14 whether that will be maintained, or how that will
15 be maintained going forward, and as a resource to
16 facilitate this type of proactive identification.
17 We discussed a little bit about mechanisms for how
18 a process would look differently, or how we could
19 assist groups with making a nomination that may not
20 have the larger resources.

21 We talked about assigning either a HRSA or
22 ACHDNC member as a champion for nomination
23 packages, with the recognition that some of this
24 already occurs. It's a component that we don't
25 necessarily see at the time of presentation. We
26 also recognize, as the first group did, the barrier

1 of an identification through a pilot program of an
2 individual with a disorder, and often is a large
3 hurdle for a condition to get over to be successful
4 and having a nomination package going forward.

5 And we discussed whether there was a way
6 to facilitate through this Committee, a condition
7 otherwise meeting requirements that hasn't had that
8 yet, whether a nomination that comes through in
9 that capacity that we could facilitate that leading
10 to funding through a pilot program, recognizing
11 that there are programs that are available and
12 grant mechanisms to support pilot programs, but
13 that those may have the same barriers for
14 facilitating someone applying for those programs,
15 as there may be for nomination packages. So we
16 might be not recognizing conditions that don't have
17 large organizations to support them. Next slide.

18 For the second question we did recognize
19 that the current directives that we have for how to
20 move forward for involvement of advocacy
21 organizations has been valuable, and that the value
22 of hearing those voices.

23 We also recognize that the public comment
24 sections that we have are certainly they're a
25 listening session more than a back-and-forth
26 discussion, and discussed whether there was a value

1 to adding an extra component again that would
2 expand that, that may be separate from the time
3 period where we currently have that in place.

4 There was also some discussion of the
5 value of in-person meetings, and that some of those
6 discussions and the recognitions of where those
7 lived experiences come through the breaks that in
8 person meetings, or before and after at those
9 meetings, and having these virtually takes that
10 opportunity away, and that has an impact on
11 individuals understanding of that. Next slide.

12 And then finally we again, we talked about
13 the NBSTRN list, and the value of that, and how
14 that will be maintained going forward, and knowing
15 if there's other conditions that may be kind of
16 near the line of being ready for nomination, and is
17 there value to us having an idea of what's coming
18 around the horizon.

19 Again, we talked about this recognition
20 that particularly changed the nomination package
21 process where more conditions could come in
22 earlier, and there's a central need for work that
23 goes along with that that they will be a need for
24 expansion of either the Evidence Review Committee,
25 or other components of what happens to take on that
26 work as well.

1 And then we also discussed, you know, that
2 again this learning process, and that we struggled
3 with long-term follow-up for newborn screening
4 conditions, and tracking that data going forward.
5 And really having that information would actually
6 inform us back at the beginning of what we're
7 looking at the front end.

8 If we're looking at data for a new
9 condition being nominated, and having some
10 information about how that's been successful, or
11 how that's not been in the long-term follow-up for
12 other conditions in the way that we don't do a
13 great job of capturing right now, would actually
14 allow us to do a better job throughout the whole
15 process.

16 And I think that's all I have. I would
17 also just say that I think Mandy David did an
18 excellent job being our facilitator as well. We
19 had a great discussion, and I think she was a big
20 part of that occurring the way that it did.

21 DR. CALONGE: Jennifer, did you have
22 anything to add?

23 DR. KWON: I think Jacob went over
24 everything really well. I thought the biggest
25 theme as he said was people thinking that maybe
26 HRSA and the Advisory Committee needed to be more

1 proactive. We needed to have a better sense of
2 what was out there in terms of treatments, and
3 disorders that would be likely to be treatable and
4 plan for that.

5 Obviously, we recognize that the HRSA
6 staff needs a lot of funding and support in order
7 to do that. So I think those are the two big
8 things.

9 DR. CALONGE: Well, Dr. Kwon and Colonel
10 Hogue, thanks so much. That was excellent. I want
11 to thank everyone again who participated in the
12 listening sessions and provided input. I want to
13 thank our presenters, and before we get to evidence
14 of benefits and harms, I'd like to go ahead and
15 have a discussion just on nomination.

16

17 ***Committee Discussion***

18 DR. CALONGE: Again, we'll start with
19 members of the Advisory Committee and follow-up
20 with organizational reps, and I will open the
21 floor.

22 And while people are formulating their
23 thoughts for the PFAS consensus study, the National
24 Academies of Science Engineering and Medicine did
25 something they had not done before, which was hold
26 three regional town halls to hear from people in

1 affected communities where water supplies had been
2 contaminated with PFAS, which is a very specific
3 long-lasting manufactured component that ends up
4 being in water supplies, and then ends up in
5 humans, and is associated with several diseases.

6 The listening sessions included
7 presentations from local experts, so kind of
8 subject matter experts, as well as talks by leaders
9 in separate advocacy groups who had been working in
10 this area for some time, and then a longer session
11 of testimony from people who felt, who were sharing
12 their lived experience about how they felt the
13 exposure had manifested in them or their families.

14 It was unique because it was the first
15 time the academies had reached out to scientists in
16 a formal way, and it greatly informed the Committee
17 as it went forward in considering its work in the
18 consensus study. And so there was much more since
19 the sessions were longer, there was much more
20 opportunity for dialogue, questions and answers
21 between committee members, and members of the
22 public.

23 I think I'll just say that the leadership
24 for the National Academies felt that this was a
25 very valuable exercise, and they're now looking for
26 how to include that when they have adequate

1 resources and further consensus studies going
2 further.

3 So that's just something I would offer for
4 HRSA and the Committee to think about as a way of
5 deepening the outreach involvement and listening
6 and evaluating the input of lived experience and
7 families affected by the inborn errors, or the
8 heritable disorders of newborns and children. Dr.
9 Powell?

10 DR. POWELL: Thank you. Thank you to all
11 of you for the presentations. You did a great job
12 summarizing everything, at least I know from the
13 group that I was involved in, which was the
14 clinical group. I just wanted to give a little bit
15 of historical information regarding something that
16 Shawn brought up in the public health session about
17 the requirement for having one case identified
18 through prospective newborn screening.

19 And I'm not saying I advocate one way or
20 the other for this, but it was done because, you
21 know, as was discussed yesterday, you know, it's a
22 newborn screening system. It's not just a
23 laboratory, and for a laboratory to be able to
24 detect like a case, or to do maybe anonymized dried
25 blood spots to see if the assay works properly.

26 But it was really to see if in the real-

1 world setting, if one would you know, be able to
2 detect a case, and then make sure that there was
3 appropriate follow-up, and also to you know, look
4 at what happens for false positive newborn screens.
5 And the only other thing I wanted to mention, and
6 I'm sorry I didn't say, Cindy Powell,
7 organizational representative from ACMG.

8 The other thing was something I brought up
9 yesterday in our session was, you know, perhaps to
10 get more input from all of those patients, parents,
11 advocates, others. You know, not only for those
12 who have had a child with a condition under
13 consideration, but also those who, you know, may
14 have elected to not have a child treated, you know,
15 where a condition has been detected, or gone
16 through, you know, false positive newborn screening
17 result was a consideration of having like a
18 standing group of the public with, you know,
19 experience and knowledge about
20 newborn screening to start out with who could be
21 brought in, you know, during the evidence-based
22 review phase for conditions. Thank you.

23 DR. CALONGE: Thanks, Dr. Powell.
24 Jennifer?

25 DR. KWON: So, I think that one of
26 the -- so Cindy's comment was not forgotten, it

1 just made it into the discussion of the harms. But
2 I think that along with HRSA's mission to be more
3 proactive, and to have maybe a better sense of
4 what's out there, I think that there needs to be,
5 and I think part of what I've heard here and there,
6 and maybe I tend to say it the most, is that we
7 publicly need to be clear in what we're trying to
8 accomplish with newborn screening. I think that
9 one goal of newborn screening is to screen all
10 babies who have treatable conditions, but I think
11 that we sort of have to set some rules, and maybe
12 we need to take a look at the landscape first
13 before we set the rules.

14 But I think that there's been, there was
15 also some discussion that came out that maybe we
16 should consider ourselves a Committee for Heritable
17 Disorders, and that approval for the RUSP is only
18 one component of what we do on screening newborns,
19 and judging which disorders are best to screen in
20 newborns is one part.

21 Looking at the landscape of heritable
22 conditions with treatments that may benefit from
23 alternate ways of screening and diagnosis, maybe
24 that's another consideration. And again, that's a
25 big, it's sort of a big paradigm shift for HRSA,
26 but it may be one way to think about the ever-

1 growing list of potentially treatable heritable
2 disorders that are out there.

3 DR. CALONGE: Yeah. Thanks Jennifer, and
4 this is not -- I think I first heard this from
5 Michele Perrier. Maybe in 2010. And that they
6 purposefully gave us this acronym that none of us
7 could pronounce in a reasonable way because it
8 wasn't the Newborn Screening Committee.

9 It's the Committee on Heritable Disorders
10 in Newborns and Children, and we often miss that,
11 the children part, and I think even Bob Ostrander
12 yesterday sent me an email saying maybe we should
13 look at, you know, heritable disorders, and think
14 more broadly.

15 So the discussion and the comment is not
16 new, and it's coming at a time whereas we're
17 looking at new processes, trying to redefine how we
18 can be more effective in terms of looking at the
19 system and the RUSP, can we also be thinking more
20 broadly about how to longer across the childhood
21 spectrum, thinking about our role and what we
22 should be doing and advocating for in other areas,
23 so I appreciate that comment. Shawn?

24 DR. MCCANDLESS: I want to just echo that
25 sentiment. I think it's really important as we've
26 discussed before that we broaden our focus because

1 -- and that reflects one of the comments that came
2 up that we'll be addressing. Scott and I will
3 mention in the next few minutes, which is that
4 currently it seems like newborn screening is seen
5 as the tool to solve many problems with our
6 healthcare system, and it just really isn't, and we
7 need to reflect that.

8 But what we can do is we can reflect on
9 when are appropriate times in the lifespan of a
10 child or an adult, to screen for, particular for a
11 child, to screen for conditions that are pertinent
12 at that point in life.

13 Another issue that came up in the
14 listening group for public health people that I
15 want to circle back to is the potential for
16 unintended consequences. And I dread unintended
17 consequences of every decision we make. One that
18 occurs to me that we could see here is if we're
19 transitioning the mechanism, there could be some
20 confusion about incoming nominations, so should we
21 create some sort of clarity around sort of which
22 guidelines, which matrix, which process is going to
23 be used as nominations come in?

24 Should we consider even like as some other
25 organizations have done, a brief pause on
26 considering new nominations while we work out the

1 mechanisms for the decision matrix and the
2 nomination process.

3 DR. CALONGE: Yes. I appreciate that
4 comment. I've been wrestling with this in talking
5 to HRSA about the fact that we continue to see
6 nominations. We know people are excited about
7 getting things in front of the Committee, and we're
8 kind of designing the new car as we're driving it
9 on the highway.

10 Experientially, I can tell you that never
11 designs a good car. It may not even design a car
12 that gets you to where you wanted to go. In trying
13 to think about how to think about new nomination in
14 a period of rapid change, reconsideration of
15 decision making, even getting better community
16 engagements on how we think about the balance of
17 benefits, harms and helping HRSA decide how they
18 might -- HRSA and CDC and NIH might be able to
19 better support the nomination practice.

20 I do worry that the bandwidth of the
21 Committee as a whole, and our ability to
22 objectively look at conditions that are coming in
23 now are loggerheads, and that we don't have the
24 resources to do everything at once, and then to do
25 it fairly. So I do think we have to come up with a
26 solution. The issue about pausing I'll just say is

1 not new, so when I joined the Committee in 2009, we
2 had added 29 conditions associated with aspect
3 screening, and we didn't add anymore until we spent
4 two years, and I'm not saying two years, but
5 actually designing the evidence-based process that
6 we're going to move forward.

7 When we hit a snag with cyanotic
8 congenital heart disease because it was a point of
9 service test, and public health didn't have the
10 relationships with hospitals to implement that
11 screening, we again took a pause. And didn't take
12 any new nominations while we worked out the kind of
13 public health assessment and we did the matrix.

14 I said we, I was just consulting at the
15 time, so I can blame that on other people. But
16 it's not unusual to say could we pause on new
17 nominations say for a six-month period of time, and
18 really work on these.

19 I think the other interesting timing piece
20 is NASEM result, which is going to provide
21 recommendations on how we should think about going
22 forward. And so I think it is something that maybe
23 after we get through the RUSP process, we discuss a
24 little bit further as a Committee, so thanks for
25 your comments. Ash?

26 DR. LAL: I was just thinking about there

1 have been several comments during the presentations
2 about equitable access to the nomination process,
3 and the fact that the nomination process ends in a
4 vote, an up and down vote to proceed further, when
5 in it's based on what's probably presented in the
6 package itself. And if there is, just based on my
7 experience in the past year, I wonder if there's
8 enough information available to the nominators that
9 shows that there are certain things that if they're
10 not in place, or if there's information that's
11 potentially lacking, that will reduce the
12 likelihood that the nomination would get to the
13 next stage.

14 So that brings us kind of back to is there
15 a need for a pre-consultation before the nomination
16 packet is submitted as a partnership, almost like a
17 pre-review. That's almost like adding an extra
18 step there. But that would help to potentially
19 level out the field between those nomination
20 packages that don't have enough resources and
21 experience of doing this.

22 DR. CALONGE: Thanks, Ash. Go ahead.

23 DR. BROSCO: This is Jeff. Just to point
24 out, Ash, that right now in the current process,
25 HRSA staff are always available to people who have
26 a nomination package, and there's often a lot of

1 back and forth to help nominators put their package
2 together in the best way possible, so that's
3 something we currently do.

4 DR. MCCANDLESS: Also, the Nomination
5 Prioritization Subcommittee serves in that role as
6 well, it sort of takes a review of the nomination,
7 sends back question to the nominator, and
8 suggestions.

9 DR. CALONGE: Thanks Shawn, Chanika?

10 DR. PHORNPHTKUL: Thank you. I was
11 pleased to hear that for a different group actually
12 had the same concerns or thoughts about ensuring
13 the equity and access. And I think this is
14 something that perhaps HRSA and the team can come
15 up with what's the mechanism for that.

16 I think the discussion we had with
17 monitoring the FDA approval medications, and so on
18 and so forth. So that's, you know, those are
19 actually the groups that probably will likely be
20 nominated. It's the other condition that as
21 medical care continues to evolve, they have a
22 better outcome based on, you know, other
23 interventions.

24 So I think a neutral proactive
25 nomination -- consideration of literature is going
26 to be helpful to ensure equity for our patients.

1 Thank you.

2 DR. CALONGE: Thanks, Chanika. Shawn?

3 DR. MCCANDLESS: Just to respond to
4 Chanika though. We shouldn't, I don't think we
5 should just -- I don't think we should make the FDA
6 approval of a new drug the major focus. If we did
7 that we probably wouldn't have gotten, you know,
8 guanidinoacetate methyltransferase deficiency
9 added, because there was no new drug, there was no
10 novel treatment, it was just old-fashioned dietary
11 therapy and supplementation.

12 And we don't want to create a higher bar,
13 or sort of make other conditions have to work
14 harder. The other thing about monitoring FDA
15 approved drugs is that it seems to be for a lot of
16 these conditions, the drugs get approved based on
17 very short follow-up periods, and we don't have
18 always the natural history of the -- the new
19 natural history of the treated condition to make a
20 decision about something as important, and as
21 broad-reaching as newborn screening.

22 So I would be hesitant to sort of create
23 an expectation that as soon as an FDA drug, which
24 you know, unfortunately is, as we heard yesterday,
25 is there is currently an expectation that as soon
26 as the FDA approves a drug, then that condition

1 automatically should be, you know, the next step is
2 get newborn screening.

3 I fully understand that. I understand why
4 that happens, and why people feel that way, but at
5 the same time as an evidence-based group, we want
6 to be really careful to make sure that we have the
7 evidence of meaningful efficacy and long-term
8 efficacy that we need before we make a decision, so
9 maybe focusing just on new FDA approvals isn't the
10 best way to go.

11 DR. PHORNPHTKUL: Sorry. I didn't mean
12 to come across that way. I actually meant that
13 that's probably not the best way to go because
14 that's actually the group that would actually have
15 more resources and, you know, the ways to capture
16 attention. I actually meant for I was really
17 thinking about guarantees, so that was -- thank you
18 for making that clarification.

19 DR. MCCANDLESS: I apologize for not
20 listening carefully enough.

21 DR. CALONGE: Yeah, Chanika, because
22 that's what I heard you say, so Jennifer?

23 DR. KWON: And just to follow-up on that,
24 I think the other reason screening the FDA's sort
25 of approval list came up was again these are things
26 that we as a Committee should be aware of. I think

1 part of the background message that we're hearing
2 in the nomination process is that as a Committee we
3 tend to be reactive.

4 We react to the application that's in
5 front of us, but there is a landscape of treatable
6 conditions out there, and there seems to be a
7 certain choreography to how advocates are
8 presenting their case. So we are hearing about
9 disorders in advance of what is likely to be FDA
10 approval, in advance of a package coming through.

11 And all of that is good. I think it's
12 great to be prepared, but you know, I think that to
13 have some sense of what's out there, and what seems
14 like you know, who we can support and how we can
15 use this advanced information to help us use our
16 time efficiently, and the HRSA staff time
17 efficiently and effectively.

18 Also, what kind of funding support HRSA is
19 going to need. If we have, you know, two to three
20 dozen new disorders with treatments that are going
21 to be out there, that's going to be, I think, a
22 very new kind of Advisory Committee for Heritable
23 Disorders that we'll need to be able to handle
24 that. So, I think that's part of what I was
25 hearing as well. When people were talking about
26 considering the FDA in this.

1 DR. CALONGE: Thanks Jennifer. Okay.
2 Last comment on this section is going to be from
3 Natasha, hopefully brief, Nastasha, sorry.

4 MS. BONHOMME: That's okay. This is
5 Natasha Bonhomme from Genetic Alliance. Can you
6 hear me?

7 DR. CALONGE: Yes.

8 MS. BONHOMME: Okay. Sorry. I'm on my
9 phone, so it's a little bit of a different layout.
10 I just wanted to note that, you know, in some of
11 the language that we are using, we are saying
12 talking about the family perspectives, and family
13 stories. But we're an evidence-based group.

14 And I really encourage us to use the word
15 and because the family stories, and the perspective
16 of families can be collected with methodology, and
17 from an evidence-based approach to be able to fit
18 if the resources were there for that to happen, and
19 the infrastructure, which I believe is kind of the
20 purpose of this discussion that we had yesterday
21 and into today.

22 And that did come up quite a bit in the
23 family work, breakout group, or sorry, I can't
24 remember what we were calling that. So just to
25 encourage that, you know, we hear the family
26 stories. We know what advocates are thinking, but

1 we're evidence based to really say how do we move
2 that knowledge into an evidence-based format that
3 can then be integrated, whether nomination by
4 nomination, or overall with this work. Thank you
5 so much for the time.

6 DR. CALONGE: Thank you so much for that
7 point, Natasha, and I think it's a critical one to
8 kind of keep in mind. So we had a great
9 discussion. I think there were some similarities.
10 The issue about back and forth I was reminded that
11 there are families, representatives, advocacy
12 representatives on the evidence review groups.

13

14 ***Evidence-Based Review Process***

15 DR. CALONGE: And with Natasha's comments,
16 thinking again more purposefully about how to
17 capture, and then quantify or quality, or bring in
18 the perspective of families into the evidence flow
19 is an important point. So I appreciate those
20 comments, and I'm going to turn back to Shawn, to
21 talk about benefits and harms and balance.

22 DR. MCCANDLESS: Thanks, Scott. Scott is
23 actually going to go through our next set of
24 slides. Thanks.

25 DR. SHONE: Right. Just waiting for the
26 slides to come up. Sorry, Dr. Calonge, there was

1 no way I was going to remember what Shawn put on
2 all these slides for me to read, so. Thanks. And
3 thanks to Shawn. I'm going to try to cover the
4 next three slides real quick, and then come to
5 Shawn to wrap up for us in public health.

6 But when we went to the discussion of the
7 evidence-based review process following the
8 nomination process, you know, the main points of
9 discussion were that it was important to look at
10 the outcomes that parents and families care about,
11 and not just the intervention and treatment itself.

12 That there was an agreement that, and we
13 heard some presentations of the Advisory Committee
14 about the benefits of early intervention. The
15 benefit that there are other family benefits. The
16 immediate family, as well as extended family that
17 newborn screening can provide, and it is important
18 for us to consider those beyond the traditional
19 impact on the child who's being screened
20 themselves.

21 We did have a lot of questions about how
22 to measure and weigh those relative benefits, that
23 net benefit and the balance of benefits and true
24 harms. And particularly focused a little bit of
25 time on true positives and false positives. We had
26 a couple laboratorians on our public health group,

1 and so we inevitably strayed a little bit into
2 Susan and Michele's domain a little bit, but it is
3 important.

4 And it is an important part of the
5 evidence-review process about the performance of
6 the screening test, and its impact on outcomes.
7 And the criticality of minimizing false positives
8 and potentiality to look at multi-tiered testing
9 approaches as part of enhancing the benefits, and
10 reducing the harms as part of this process. Next
11 slide.

12 Yeah, there was you know, this general
13 question that I think we've struggled with is
14 standardizing and potentially identifying a way to
15 score the quality and magnitude of benefit. You
16 know, it inevitably comes up. What's the
17 difference between significant and moderate?

18 And there's inevitably a desire to try to
19 standardize that with the understanding that
20 newborn screening is not black and white. It is
21 all the shades of those who we serve, and so I
22 think that that's an interesting discussion that we
23 need to sort out. Shawn mentioned this, Debra
24 mentioned this, Shawn chimed in about the role of
25 newborn screening in a larger context of the
26 healthcare system.

1 We talked about this I think last year
2 around implementation barriers, and that challenges
3 with the healthcare system shouldn't be a factor,
4 at least a major factor in adding a condition to
5 the RUSP that otherwise meets all the criteria.
6 And that part of the process would be to monitor
7 and adapt to the trends in the healthcare system.

8 But we can't expect newborn screening to
9 fix the problems of the healthcare system. As I
10 said last year, we had a discussion that newborn
11 screening exceptionalism doesn't solve all the
12 issues of the medical system or the public health
13 system, yet it overlaps both. And so we need to
14 recognize that, and challenge all the other
15 organization and all the other groups that we work
16 with and partner with that there are needs of
17 newborn screening within their systems to address.

18 And we basically need to follow the
19 evidence, and the overarching goal of newborn
20 screening, which is that early diagnosis impacts
21 the outcomes. That is really the hallmark of
22 newborn screening. Next slide please.

23 And so, you know, there's always a
24 discussion of uncertainty, and where that falls
25 into our evidence review, and how much weight does
26 uncertainty hold. Uncertainty about the conditions

1 themselves, uncertainty about the outcomes of
2 treatments, as Shawn mentioned earlier. The natural
3 history of these disorders, even going into
4 screening, isn't completely and well understood.
5 And that newborn screening is going to completely
6 change even what we understand. Often because it
7 leads to the recognition of milder forms.

8 And so, we need to wrestle with what is
9 our obligation to the children and families
10 diagnosed with these milder subclinical forms when
11 population screening is traditionally based on
12 addressing and trying to ameliorate the most severe
13 forms. And does uncertainty and gaps in data
14 justify population screening to get the answers?

15 Historically, we've said no. That we have
16 an obligation, but as diseases become more rare,
17 and those conditions we consider are more rare and
18 rare, and data becomes scarcer, how do we balance
19 out our obligation to population screening with the
20 need to identify data sources? And I think that
21 this has become a widespread discussion in the
22 newborn screening system.

23 And I think the next slide is our other
24 thoughts, and I'm going to let Shawn wrap us up for
25 public health.

26 DR. MCCANDLESS: Thanks Scott, and Scott,

1 thank you for that nice presentation. I know
2 you -- Scott had to go to another meeting when we
3 were making the slides, so he wasn't involved in
4 the sausage making, so he did a great job of
5 recalling the content and reflecting on it.

6 Just a few last thoughts was that in the
7 need for data collection a thought that was raised
8 was that there's a tremendous amount of data around
9 genomic variants, and genetic variants. It's
10 available in databases that are held by commercial
11 or private laboratories, and that there would be a
12 lot of, you know, there have literally been
13 hundreds of thousands of exomes and genomes
14 performed in the United States, if not millions.

15 And if that data were available to newborn
16 screening systems, we would learn a lot about
17 specific variants that would inform our ability to
18 use genomic testing and genetic testing to enhance
19 newborn screening. Mei Baker brought up a point,
20 and used the term next gen newborn screening to
21 reflect the fact that we're getting away from just
22 measuring phenylalanine or measuring T-4 or TSH in
23 newborn screening.

24 And that we need to embrace our ability to
25 use algorithmic data to combine different
26 datapoints to look at follow-up, to follow trends

1 in the healthcare system, and that we really need
2 to be cognizant of the fact that newborn screening,
3 we need to keep a broad focus and think about what
4 could newborn screening be, rather than what has it
5 been in the past, and how do we keep doing the same
6 thing, only incrementally better.

7 And Mei, if I didn't capture that exactly,
8 what you said correctly, I apologize for that. And
9 then there was also some -- a fair amount of
10 discussion about the need to reevaluate conditions
11 on the RUSP, and Scott Grosse in particular,
12 pointed out that if a condition is on the core set
13 of screening conditions, that it would require a
14 very high bar of evidence, of a lack of benefit for
15 screening to remove that from the core panel. But
16 others pointed out that that could be done if the
17 data are there. It certainly could be done.

18 And so, there was a question of whether we
19 should have some sort of, and this is not a new
20 idea, but whether there needs to be some sort of
21 active process to consider removing conditions from
22 the core panel, and even more importantly to
23 reflect what is the purpose of the secondary panel,
24 and not have confusion about the secondary panel
25 being intended to be targets for newborn's
26 screening programs. And I think that's all of our

1 slides.

2 Is there another slide? Yeah. Thank you.

3 DR. CALONGE: Excellent, thanks Shawn, and
4 thanks Scott. And let's turn again to Jannine and
5 Siobhan.

6 DR. DOLAN: Thank you. While the slides
7 are coming up I will just start based on
8 the -- I'll just start while the slides are coming
9 up. So on the evidence review process, families
10 feel that the risk of uncertainty and the potential
11 harm is overly valued by the Committee. And
12 there's a sense of like annoyance and frustration.

13 Like why shouldn't -- why can't the
14 Committee just bring up, there may be a potential
15 harm, or this is uncertain, and therefore we're
16 just going to shut it down. And the sentiment was
17 like why is that you could just raise that issue
18 and shut the whole thing down, versus why shouldn't
19 that uncertainty and that potential harm be subject
20 to the same evidence review as the benefits?

21 So they feel like it's a real double
22 standard. And ultimately then feel that the
23 information that's available, even if it's
24 uncertain, even if we're not clear, parents have a
25 right to that, because ultimately they have to make
26 the decisions, and then they have to live with the

1 outcomes.

2 So there was a strong sense of feeling
3 that it was just very maternalistic, but why should
4 the Committee get to make that decision. The
5 parents can process uncertainty. They can deal
6 with uncertainty, and in fact they need to live
7 with that, so they'd like to know it.

8 And that theme came up again and again.
9 In addition, parents literally want an additional
10 seat at the table, so there was a conversation
11 about the fact that there's one parent
12 representative on the Committee, could there be
13 two? Final consideration, similar to the one I
14 just mentioned about weighing, the issue of costs
15 and who gets to weigh the costs.

16 Is it the public health cost versus the
17 parental cost, something that anybody but the
18 parent should be able to weigh in on. So again,
19 parents strongly wanted to be able to make these
20 decisions themselves, and not have others saying,
21 you know, well that's just not ready, so you can't
22 have that option to know this information. And
23 then you need to live with the outcome.

24 Another sentiment that came up many times
25 was the benefit of saving a life by getting a true
26 positive sooner is so much greater, and cannot even

1 be compared to the risk of a false positive. So
2 the sense from parents was that the Committee sort
3 of, you know, weighs this as, you know, rather
4 similar, and we need to look at them.

5 And the parents are saying they're not
6 even on the same scale. And in fact, during this
7 period of conversation a parent typed into our chat
8 that she had had a scenario where her son had a
9 positive screening test result, and she went
10 through a whole period of grieving and mourning,
11 and stress and anxiety regarding that, and then it
12 turned out it was a false positive, and she then
13 was able to, you know, reverse course.

14 And yet she agreed that even though that
15 was a difficult experience, it can't at all
16 compare, nor should it, to the experience of a
17 parent who loses a child when screening would have
18 saved their life. So that sentiment was loud and
19 clear, and really reiterated by many of the family
20 members.

21 And then the last notion was that families
22 suggest that we just start screening. Screen for
23 all these conditions, then we'll generate the data,
24 and then people can look at the data and think
25 about evaluation. But the idea that you could
26 evaluate before you're screening is just not

1 realistic, and the family perspective is that
2 screening is not such a big deal. Just start doing
3 it and collect the data, and then we could sort of
4 stop and contemplate and assess.

5 So that I thought was an interesting
6 perspective that I wanted to voice on behalf of the
7 families. Jannine, did you want to add at this
8 time?

9 DR. CODY: Just to reiterate what Natasha
10 brought up is the need for being able to quantify
11 in some way through surveys or some metrics, the
12 family experience that is being incorporated into
13 the evidence-based review.

14 DR. DOLAN: Thank you.

15 DR. CALONGE: All right. Thanks for the
16 summary Siobhan, and thanks to the family and
17 advocates who participated in what sounds like a
18 very rich discussion. I appreciate it. Let's go
19 ahead and move on, and hear again from Michele and
20 Susan.

21 DR. CAGGANA: Great, thanks, and while the
22 slides are coming up I have a note here to
23 reiterate as well what we just heard from Siobhan
24 and Natasha, that we had actually talked about some
25 way to incorporate the current perspective into the
26 evidence review, at least to the package that gets

1 presented to the Committee in a thoughtful way,
2 even though it doesn't file the rigorous evidence
3 review requirements.

4 So, the slides are pulled, I will talk.
5 So I'll get started. We talked about the fact that
6 anecdotal stories are really absent from
7 literature, and we get a lot of information, and
8 Jacob talked about this as well. We get
9 information from individuals when we talk to them
10 on the sidebar. We hear anecdotal stories from
11 clinicians during the discussions, patients, and
12 even sometimes newborn screening programs.

13 And this information isn't usually
14 captured in any sort of a publication or gray
15 literature. And it's a big lift for a family to
16 write a scientific paper. Typically, we'll see the
17 discovery of the disease, we'll see the text for
18 the version of the disease, and maybe later on, on
19 a molecular basis of the condition. But after that
20 things become sort of difficult to publish.

21 We used to be able to get case reports and
22 literature, and you can't really do that anymore.
23 And so there's actual little to no information on
24 actual counts and outcomes from at the outset or
25 even it takes a long time even after your
26 screening. And there's little to no data really on

1 the impact to society, or the medical system as
2 whole.

3 We usually hear that as part of the
4 testimony during the deliberations. So next slide.
5 We also need to be cognizant that if we ask
6 questions about newborn screening to the general
7 public, that this discussion may actually reveal
8 perceived harms that are really unrelated to the
9 condition specific harms for which you're trying to
10 get information.

11 And so that was just a word of warning
12 that we sort of talked about that. You may get
13 more than you asked for in some of these
14 discussions. And obviously a lot of people talked
15 about the issues related to the false positive
16 results, and the late onset conditions generating
17 patients in waiting, and that's usually one of the
18 overarching harms that gets discussed.

19 We think one of the ways that we could
20 work on this is to try and engage broad
21 specialties, and a nationwide base of critical
22 providers, sort of akin to what we talked about
23 previously related to families, so that we could
24 hear their perspective of harms and their
25 experiences, and information on what their patients
26 have actually experienced.

1 We heard when it was when we were in
2 person from Dr. Tarini, how she and Dr. Goldberg
3 talking about and studying issues related to harms
4 from newborn screening, and we really felt that we
5 would love to hear the results from those studies
6 at the conclusion of those projects.

7 And with the expanding landscape of
8 newborn screening now we are in a phase where we
9 are identifying these late onset conditions in the
10 family, just reiterating. So, if you have a late
11 onset condition and you require long-term follow-up
12 to determine if we could improve the test, and so
13 you get into this loop that you really can't
14 generate data prior to initiating the study, and so
15 you really have to screen for quite a bit of time
16 before you can get that information and improve the
17 testing. Next slide.

18 We thought when we were trying to balance
19 benefits and harms that you really have to equally
20 consider both, and Jannine just brought this up.
21 It's not a strict one and one in the harm and
22 benefit may not outweigh, or equal sorry, any harm
23 may not be full of benefits.

24 Should we give more weight to a false
25 positive outcome than a diagnosis? And we talked
26 about some examples of this, and one was

1 hypothyroidism, very common. One in a couple
2 thousand in newborn screening setting. It also has
3 a very high false positive rate. And so on some
4 level, a high amount of false positive results
5 seems acceptable.

6 But when you get into the discussion about
7 rare conditions, if you have an equally high false
8 positive rate for that, it's really deemed not
9 accessible, not acceptable, and we really do need
10 to have better tests and incorporate the second-
11 tier test in sort of the style of what we've been
12 doing over the past several years.

13 But we can credit the work of the
14 Committee, that programs are really striving to
15 develop highly specific tests, because the
16 Committee has made some strides in actually
17 defining what we screen. And we hear often from
18 clinicians, and we often have to remind them that
19 screening is not diagnostic. That there is a sense
20 out there that screening is becoming diagnostic,
21 and so the expectation is that the screening assay
22 should be equivalent to a diagnostic assay. And if
23 that doesn't happen, that's definitely a harm.
24 Next slide.

25 So we also heard sort of the old natural
26 history, which may not even be apparent for

1 conditions that are very rare. And then we have
2 new natural history when it's related to early
3 diagnosis or newborn screening, and this has been
4 exemplified in the many sibling stories that we've
5 heard from parents over time.

6 We had a hard time trying to figure out
7 how we could measure emotional burden and
8 suffering. We had a hard enough time figuring out
9 the actual dollar cost when we try and get a cost
10 for a screening test, the follow-up, the clinical
11 evaluation, the diagnosis and then the following
12 treatment. And so, we actually felt we really need
13 the Committee to define, or someone to define for
14 us really what burden incorporates. Next slide.

15 So we said definitions a couple times, we
16 really do need precise definitions. And the
17 question should be answered what programs should
18 detect when they go through evidence review and any
19 discussion, and we sort of have the gold standard
20 of SMA where the test that was approved was nicely
21 defined as an exome deletion.

22 We saw some of the confusion with SCID,
23 and we still do where some programs only report
24 true immunodeficiency, and other programs report a
25 whole spectrum of immunodeficiency, based on where
26 they established their cut-off. And we'll put a

1 shameless plug in here for counting conditions
2 because again this will help us define what we're
3 actually screening for, will allow us to develop
4 more specific tests, and have reduced harm from
5 this perceived false positive -- the harm from
6 false positives.

7 We also talked about redefining RUSP
8 conditions. If we look for everything we can find,
9 if we do PKU screening and we get benign
10 hyperphenylalaninemia (Hyper-PHE), and hyper-PHE
11 and PKU and everything else in between we diminish
12 our resources, and then that also affects the
13 downstream ability to implement screening for
14 conditions, especially as things are lining up at
15 the door for to come into the evidence review.

16 So we really need to define what we're
17 looking for. And also, the rush to screen. The
18 pressure to implement screening as soon as things
19 are added to the RUSP, and in reality, and this was
20 mentioned as well, we also need to screen for
21 several years in order to gather additional
22 evidence, and then we need to do a final lookback.
23 And so, this is sort of a do we have an improvement
24 that will help us alleviate harms. Next slide.

25 When we talk about costs, costs change
26 over time. We do need a formal process to review

1 them, and we have to improve the cost of the entire
2 system. But we can only really in reality assess
3 the costs of the time to review.

4 Things may change as things become more
5 available and new treatments are developed and that
6 sort of thing. And we felt that overall cost could
7 be reduced if we establish a specific screening
8 target, and so the onus is on us on the Committee
9 to really define what we're looking for, and also
10 the fact that costs are very dependent on
11 geography.

12 We have rural versus urban, and sort of a
13 nationwide difference of how costs are defined, and
14 also the availability of services. We heard from a
15 parent yesterday that they traveled, and they need
16 to go to other states to try and get help for their
17 children, and so that has to be appropriated as
18 well.

19 And so, in summary we also have to realize
20 that no matter how we change the process it's never
21 going to be perfect. And I'm actually going to
22 thank Kim and Leticia Manning from HRSA for helping
23 us put this together, and Susan and our great group
24 on the lab group yesterday, thank you.

25 DR. CALONGE: Yeah, what a great and
26 complete consideration, and I appreciate your work,

1 and now we go to Jennifer and Colonel Hogue.

2 DR. KWON: Thanks. You can go to the next
3 slide. So in terms of considering the different
4 perspectives, I think it gets back to the question
5 who are we doing newborn screening for?

6 Traditionally, we have considered primarily the
7 infant perspective, the child's perspective, but I
8 do feel like we're hearing more and more about the
9 family's burdens, and the need to reduce the
10 diagnostic odyssey, how early knowledge can help in
11 family planning.

12 The example was raised about Duchenne
13 Muscular Dystrophy, how knowing that your first
14 child has it can help you understand your risks
15 with future children, rather than have the all-too-
16 common situation we see in neuromuscular clinics
17 where one boy is diagnosed, and then his younger
18 brothers are diagnosed as well.

19 Using the same example of Duchenne, early
20 diagnosis can help with early interventions, which
21 can be valuable in terms of improving outcomes. So
22 should the Committee consider broadening the scope
23 of the benefits of newborn screening?

24 And, we certainly acknowledge what other
25 groups have said that families want to know these
26 diagnosis, and they want to have this access to

1 treatment. Next slide. I was trying to advance
2 myself. So, how do we consider the harms of
3 screening, given these different perspectives, and
4 how do we balance the benefits and harms?

5 We recognize that false positives and
6 screening creates some harms. One of the
7 unintended consequences of these conditions being
8 added to the RUSP are the patients in waiting that
9 have been created, whose ambiguous health status
10 creates a different kind of medical odyssey. The
11 question of whether a standing citizens advisory
12 group could provide additional perspective to
13 potential harms of proposed newborn screening.

14 I did like the idea of a standing
15 clinician's group as well. I think part of the
16 difficulty with clinicians sharing their stories of
17 harms is that we have a lot of concerns about
18 privacy and HIPAA violations, and also sharing
19 information about what may feel like frankly,
20 malpractice.

21 And I think those are some of the things
22 that never get talked about when we talk about
23 harms of newborn screening. And should the
24 Committee consider -- somebody brought up that the
25 Committee should also consider the harms of those
26 affected when conditions are not approved for the

1 RUSP, and again that gets to the family's pain of
2 their condition not being identified as one that
3 will be screened in newborns, and therefore more
4 children will be born with those conditions.

5 Next slide please. So how can the
6 Committee consider the overall burden of potential
7 illness that might be averted? Is the evidence
8 review, you know, there's a decision analysis that
9 comes as part of the evidence review. Is that
10 sufficient? Should we consider greater burdens?

11 We have estimates of the costs of living
12 with disease that we heard about. We do less well
13 in estimating the costs of early death, and the
14 costs of a life, the quality of life should also be
15 a consideration. And somebody brought up the use
16 of disability adjusted life year analysis, and
17 whether that should be incorporated in the evidence
18 review.

19 Next slide. So how can uncertainty
20 regarding screening outcomes be systematically
21 considered given the lack of data, especially about
22 potential harms, and then how should the costs,
23 economic and opportunity be measured. I think I
24 want to stress that in the evidence review they
25 don't really look at cost.

26 When you look at, you know, the ability of

1 the public health system to implement screening, we
2 look at the lives that are potentially saved by
3 screening, and the decision analysis. We haven't
4 really taken it on to look at costs. And in order
5 to really do that in a fair way, and also to look
6 at the harms systematically, we do need a robust
7 long-term follow-up system.

8 So I felt that our clinician's group had
9 two big funding asks. One was to ask for funding
10 for more HRSA staff support at the beginning of the
11 process, during the nomination phase, and the other
12 was to really, you know, solve this problem of the
13 lack of long-term follow-up data, for those
14 identified by newborn screening.

15 One optimistic member thought that
16 informatics could help for those of us who have,
17 you know, seen how challenging it is to get this
18 information. I'm not sure that there is a great
19 solution. But could the nominators, or HRSA, at
20 the time of the application provide some idea of
21 longitudinal follow-up for the conditions in
22 question. And could the pilot studies that are
23 implemented for these conditions, or the states
24 that are -- that have sort of implemented these
25 conditions in advance of approval to the RUSP,
26 could they share their ideas about long-term

1 follow-up. And could this discussion be part of
2 the application package? That was part of what
3 came up in that discussion.

4 Next slide. So what I thought I heard
5 while listening was that the Committee should be
6 aware of conditions where there's a treatment and a
7 test that can be administered in newborns. And one
8 of the criteria for the determining of the
9 conditions belong on the RUSP versus under
10 alternative ways of following children with
11 heritable disorders apart from newborn screening.

12 So we discussed this in the nomination
13 phase, and that the Committee should be proactive
14 about conditions, and about long-term follow-up to
15 help understand the impact of newborn screening.
16 Thank you. I don't know if Jacob wanted to add
17 anything to the discussion. Thanks.

18 DR. HOGUE: No, that's good. Thank you.

19 DR. CALONGE: Great. We're getting there.
20 Some really good themes across the groups. I
21 appreciate the comments were about children and
22 newborns, and something to continue to keep in
23 mind. CPSTF talks about additional benefits of
24 intervention for community prevention, and so these
25 side benefits are something that are part of the
26 process, and figuring out how to include those, and

1 perhaps weigh them in the decision-making process
2 could be important.

3

4

Committee Discussion

5 DR. CALONGE: I think considerations of
6 what are the harms of not having a condition. I
7 remember hearing that I'll say years ago, and so I
8 think it's a good thing to kind of keep in mind as
9 another item to weigh. I did want to make one
10 point without valuing false positives over true
11 positives, but the issue about rare diseases and
12 false positives is a math issue.

13 So, if it's rare enough, a small
14 percentage of false positives, a small false
15 positive rate, will generate lots and lots of false
16 positives, often to the point where there are more
17 false positives than true positives. And so,
18 that's one of the reasons why rare disease true
19 positive, false positives are treated differently,
20 just because of the way that the math works out.

21 It's not the rate, it can be the total
22 number, so not to say that that should sway
23 discussions one way or the other, but that's why
24 they're looked at differently. So, those are just
25 my initial comments. I could make more, but I'd
26 really like to hear if there are additional

1 discussion items from the rest of the Committee.

2 Let's see. I'm going to start with Ash.

3 DR. LAL: My point that I wanted to bring
4 up is many of the conditions are accepted based on
5 the availability of a treatment, and many of the
6 new treatments I think are going into the area of
7 treatments are in the form of proprietary gene
8 therapy interventions, as opposed to a transplant,
9 or metabolic interventions, or other supportive
10 care in the past.

11 The question of whether a treatment is FDA
12 approved is different from whether or not families
13 can actually access the new and approved treatment.
14 And I think it will be beneficial for the Committee
15 to know how the landscape of families being offered
16 and then being able to access the new therapies is
17 evolving over the next couple of years as more
18 treatments come onboard.

19 DR. CALONGE: Thanks Ash, excellent point.
20 I appreciate that. Bob?

21 DR. OSTRANDER: And I want to touch
22 briefly on Scott Shone's comment that challenges in
23 the healthcare system shouldn't be a barrier to
24 putting something in the RUSP. I agree with him
25 that sometimes coming out of the RUSP, you know,
26 provides improvements.

1 However, but at the same token, I think in
2 order to consider adding something to the RUSP, the
3 Nominating Committee needs to look at is there a
4 treatment available. That's pretty standard
5 screening science, right? And so the question is
6 are we talking about immediate treatment? Are we
7 talking about immediate treatment and short-term
8 follow-up? Are we talking about available
9 immediate treatment short-term follow-up and
10 longitudinal care? Which, at what level should we
11 cut that off in considering the availability of
12 treatment, and making a nominating decision?

13 I until today, after some of our
14 discussions, have been very much in the advocate,
15 saying it should at least be a blueprint or
16 aspirational notion of what longitudinal follow-up
17 looks like as part of a nomination consideration.

18 As I consider our discussion about that
19 where the heritable, the Committee on Heritable
20 Disorders in Newborns and Children, it occurs to me
21 that maybe the RUSP decision is about immediate
22 treatment available, or maybe immediate short-term
23 follow-up treatment available, and then it becomes
24 part of our obligation, separate from whether or
25 not something gets added to the RUSP. It becomes
26 part of our obligation to assess the longitudinal

1 follow-up of conditions that we've recommended be
2 added to the RUSP, as opposed to making the
3 longer-term longitudinal follow-up part of our
4 initial consideration of evidence review, if that
5 makes any sense, that someone points at.

6 DR. CALONGE: Thanks Bob, it made sense.
7 Jennifer?

8 DR. KWON: Hi. Jennifer Kwon, Committee
9 member. There were so many interesting ideas that
10 came up. I wanted to address some of the comments
11 that Siobhan made from the family listening session
12 because I think this is another call for the
13 Advisory Committee and HRSA to maybe think about
14 how we present newborn screening.

15 So one of the comments I heard was that
16 screening is easy. It's not a big deal. Why don't
17 we screen to know, to give families this
18 information, and they can deal with the aftermath.
19 They're smart. They understand where to go and
20 what to do. And I think that that is a mission
21 that many people think that newborn screening
22 should serve, that we should be a vehicle for early
23 diagnosis for conditions that have potential
24 treatments.

25 And I think that newborn screening is a
26 public health endeavor, and as such, we have to

1 weigh the conditions that we're looking for. And
2 we, you know, just sort of as Bob said,
3 we -- should we focus on the short-term treatments?
4 Is there something, you know, what should we sort
5 of wrap our -- maybe I'm paraphrasing incorrectly
6 Bob, but what should we wrap our heads around when
7 we think about a disorder that we should screen
8 for?

9 Should we share information with people
10 knowing that not every family will be able to
11 process ambiguous information, or difficult genetic
12 data? Because it's their right to have this
13 information, or should we consider it as more of an
14 emergency program. An emergency public health
15 program for conditions where very good treatments
16 are available, and where children can really have a
17 markedly improved outcome if they have access to
18 them?

19 And part of the way to give them access is
20 by early diagnosis. So I think that it sort of
21 gets back to who are we? And what are we trying to
22 do for families? I want to be equitable, and I
23 want to share the process with others, but I also
24 think that there's a public health mission, and
25 part of what I think angers families is they feel
26 that we're not serving them. We're not serving

1 that public health mission for them. Thank you.

2 DR. CALONGE: Thanks, Jennifer . Debra?

3 DR. FREEDENBERG: So, Debra Freedenberg,
4 AAP. So I want to make a couple of specific
5 comments, and some technical comments. But one of
6 the things that's concerning in this discussion is
7 that there seem to be some thought to shifting the
8 responsibility to clinicians, both general
9 pediatric care, who will have to deal with a lot of
10 this, as well as with the specialist.

11 And I think we need to think generally
12 that's the unfunded part of this system. And I
13 think that we need to think very in depth about
14 where the responsibilities will lie if some of
15 these changes are made. And I had two sort of
16 specific. One is I would caution people to
17 consider the quality of life as a part of the
18 decision making.

19 Most families that I'm aware of value the
20 life of the severely disabled child versus the
21 child that's no longer alive. So I think that we
22 would really need to have a lot of caution in
23 thinking of that.

24 And then the other thing is that for
25 information about the various conditions that are
26 being considered, almost all of these conditions

1 either have a parent group or a professional group
2 that's available, and that information is out
3 there. It hasn't been reached out to, but there
4 are lots of you know, every sort of disease has its
5 own either parent or some of the better organized
6 groups.

7 But there is that information out there.
8 And there have been attempts to do long-term
9 follow-up and you know, in a data collection way,
10 and those really have not been that well supported,
11 and not that successful right now. And then my
12 final comment is just about removal of conditions.

13 If we were to consider that in an
14 organized way, I think we need to think about
15 whether that condition is a technical issue, or is
16 actually the condition itself. And for instance,
17 you know, one of the things I'm thinking about is
18 you know is tyrosinemia, the screening analyte was
19 not very good, but then as technology improved,
20 there was another analyte that was much more
21 specific. It had a much better performance. So
22 you know, it wasn't the condition itself, it was
23 the technical aspects of the screening. And I
24 think we would need to think about that as well.
25 And finally, I think that this is an incredibly
26 valuable discussion, and thank everyone for their

1 input because there is a broad spectrum of views.

2 And I think that as we consider this, you
3 know, we've heard about long-term follow-up and
4 natural history. I think there needs to be some
5 sort of organized funding mechanisms to continue
6 those studies, which apparently are not there
7 anymore. So I will stop there.

8 DR. CALONGE: Thanks Debra, Jannine?

9 DR. CODY: Yeah. I'd like to add one
10 thought to what Debra had to say about the sort of
11 the burden to the clinicians for follow-up. When
12 we talk about the diagnostic odyssey, we are
13 usually referring to sort of the pain the families
14 go through searching. But there's a huge cost to
15 the medical system from going from doctor to
16 doctor, and MRI and all these other assessments
17 that get nowhere, or don't lead to a diagnosis.

18 So the diagnostic odyssey does have a huge
19 cost to the system. So even though identifying
20 additional patients has a cost, it also is offset
21 by less diagnostic odyssey. Maybe somebody has
22 some data on that. I don't know.

23 DR. CALONGE: I do think we should look at
24 the EveryLife study that they provided as part of
25 the materials. I think the other thing I always
26 think about around diagnostic odyssey is that we do

1 have next gen screening.

2 We are newborns and children, and couldn't
3 we consider putting together a diagnostic odyssey
4 screening test that would capture most of the
5 things that we worry about, so that we could do it
6 at one point. It would be available to all
7 clinicians, and would answer those questions in a
8 more timely fashion, but not require screening the
9 entire 4 million dollar newborn -- 4 million
10 newborn cohorts.

11 So I think there are some other strategies
12 that I would be excited to pursue as well. Sue
13 Berry?

14 DR. BERRY: Thank you. Sue Berry for
15 SIMD. I think one of our problems is that we are
16 seeing ourselves as only having one tool, a big
17 hammer, and one way to hit it to pound the nail.
18 And I think Ned, you've kind of brought up what is
19 I think something we should be thinking very hard
20 about, which is a paradigm shift, that
21 allows -- and the big nail, the big nail we're
22 trying to hammer, carries with it a public health
23 mandate.

24 Essentially, irrefusable those I
25 understand it is refusible. Invocation of a test
26 that's done essentially without consent. A lot of

1 the information by my own state, for example, I
2 can't do genetic testing without an informed
3 consent. It's written in our law, our state law.

4 The exception is that newborn screening
5 elements that have DNA testing, but essentially if
6 we were to add genomic screening, I'm not sure it
7 would be legal in our state. I feel like what did
8 I do here? Sorry. I feel like what we may need to
9 do is really think much harder about a paradigm
10 shift that will allow us to have our cake and eat
11 it too. I'm using all these metaphors, terrible
12 metaphors to say that we have to think about this
13 in a different way.

14 We want to keep our effective and
15 wonderful newborn screening strategies that allow
16 us to really implement care on a nearly immediate
17 basis. We've struggled to maintain that. We need
18 a strategy where we can do additional ascertainment
19 that's highly meaningful in a different time frame,
20 and like with consent and likely not on everybody.

21 And so, I just think we need to think more
22 broadly about how we consider the care of newborns
23 and children, and as we do that we need to pay a
24 lot more attention to the system overall. The
25 system that's not just the screening and testing,
26 but also the follow-up, the care, the access to

1 treatment, the people power that's required to
2 maintain and support families on a longer-term
3 basis.

4 And finally, a meaningful strategy for
5 long-term follow-up. Tooted that horn so long
6 you're tired of it, but we've failed miserably in
7 that area. So, you know, thank you. Thank you for
8 the opportunity to speak.

9 DR. CALONGE: Thank you, Sue. Shawn?

10 DR. MCCANDLESS: Yeah, thank you Sue.
11 Excellent points, and Debra and other speakers. I
12 just want to reflect on one specific point, and
13 that is there's been -- there were a number of
14 comments that came out of the listening groups
15 today that I think reflected a desire on the part
16 of some people to change the focus of newborn
17 screening from being specifically directed to
18 improving and providing therapy for the lives of
19 individual patients that are affected with these
20 diseases.

21 And I would encourage us to be very, very
22 careful about broadening the scope of what newborn
23 screening is intended to accomplish because for the
24 reasons that Sue pointed out, this is a compulsory
25 population-based screening program. It involves
26 every baby born in the United States. And if we

1 start to say that as a Committee, or as a group,
2 we're shifting the focus away from taking care of
3 the babies, and making their lives better to other
4 societal goals, whether it's for the family or for
5 the society at large. I think we're opening
6 ourselves to a lot of potential harm to the system,
7 maybe even losing our mandate and support for
8 compulsory screening entirely.

9 And especially in the current clinical
10 environment. I think we just need to be very, very
11 careful about how we, you know, what we do. And
12 finally, I would ask the question of whether this
13 Committee, we're certainly a reasonable place to
14 have that conversation, to start that conversation,
15 but we are not empowered, nor positioned to make
16 the decision that the purpose of newborn screening
17 is changing from improving the lives and delivering
18 the lifesaving therapies to individual infants
19 affected with a specific rare disease.

20 DR. MCCANDLESS: Thanks, Shawn. Jennifer?

21 DR. KWON: Just a quick follow-on to what
22 Shawn said. I totally agree with Shawn's comments,
23 and appreciate what Sue Berry said. I think that I
24 really think we have to acknowledge the pressure
25 that we're feeling about broadening the scope of
26 what gets placed on the RUSP.

1 And I think that that's the best way to
2 help us guide people through the nomination
3 process, and help advocacy groups understand the
4 purpose of the evidence review. But I think that
5 there is a lot of pressure out there for us to
6 broaden our scope and I think we need to
7 acknowledge that as well.

8 We want to, you know, we want to respond
9 to families who are in pain, but we also have to
10 sort of think as people have brought up about this
11 mandate that we have, and about this unconsented
12 testing that we do, so that's all I wanted to say.
13 Thanks.

14 DR. CALONGE: Thanks Jennifer. Paula?

15 DR. CAPOSINO: Hi. So I don't know what
16 meeting I was in where somebody said that 20
17 percent of people have a rare disease. And it's
18 sort of number one, I don't know if that's true.
19 Number two, I don't know how much of that might be,
20 you know, in small children.

21 I do wonder if this is a program that is
22 going to be able to serve every need, and sort of
23 the idea that maybe something, some of this belongs
24 somewhere else because this, of a tremendous public
25 health importance. And then the other thing is
26 when there were discussions about removing things

1 from the RUSP.

2 I was just wondering if the idea was for
3 the existing conditions, or sort of to bring in
4 things where there's more uncertainty with the idea
5 that there's this path to remove within a certain
6 amount of time? I wasn't sure I understood sort of
7 what the proposal was there. Thank you.

8 DR. CALONGE: Yeah, thanks Paula. So let
9 me just clarify that. The actual thing is that
10 there may be conditions on the RUSP, but now that
11 we have for some of the decades of experience that
12 if we actually reviewed both immediate and
13 long-term care, we would say we're uncertain that
14 we're providing that benefit, so they no longer
15 meet the criteria for being on the RUSP, and should
16 come off.

17 Way back in 2010, I made the suggestion
18 that we consider a provisional category where we
19 could add conditions, get some data, and actually
20 make a better-informed decision. And just
21 interestingly, it was pretty much rejected by the
22 whole Committee at a time with the issue that we
23 would never have the discipline to take a condition
24 off.

25 And when I heard the phrase, "the bar to
26 removal would be higher," that reminded me of that

1 dialogue. So, it's not that we didn't talk about
2 this concept of let's put it on and get some
3 experience. It was a worry that we would not have
4 the ability or the discipline to take something off
5 once it was added.

6 Or is it something that could be
7 re-discussed because these are within our purview.
8 Shawn?

9 DR. MCCANDLESS: Yeah, just a very brief
10 comment about that. I don't really think there's
11 anything on the core conditions that anyone would
12 significantly argue should come off. I think it's
13 the secondary panel.

14 DR. CALONGE: Yes.

15 DR. MCCANDLESS: And, you know, I've made
16 this argument in an earlier meeting that we should
17 just get rid of the secondary panel, and that
18 everyone was polite, and nobody pointed out to me,
19 but should have, that it's actually in the law that
20 embodied this Council, that there be a secondary
21 panel. So we needed some other solution to fix the
22 secondary panel problem. And the problem is not
23 with the panel.

24 The problem is with the way it's
25 understood by the community to be targets for
26 newborn screening when in reality they are

1 specifically not the targets of newborn screening,
2 they're the incidental findings that you'll come
3 across when you're screening for things that are
4 the targets of newborn screening. And it's just to
5 create awareness, and unfortunately it's been
6 broadly misunderstood by the medical community as
7 well as by the population as a whole.

8 DR. CALONGE: Thanks, Shawn. So Michele?

9 DR. CAGGANA: I just -- this Michele
10 Caggana. I just wanted to reiterate the discussion
11 about the secondary conditions. I think the other
12 thing we have to remember is some states have it
13 actually in their law that they shall screen for
14 these, and so just removing them would be quite
15 difficult, and again it feeds back to just being
16 more comprehensive in counting conditions, thanks.

17 DR. CALONGE: Thank you. I'm going to
18 draw us to a close for this part of the meeting.
19 It's been absolutely fantastic, and I think it's
20 safe to say that as we look at the slides and the
21 summaries, it's going to take us some time to work
22 through the scope of the discussions.

23 There are a couple things I'd like to have
24 us think about moving forward. We only have
25 limited time for each one of the sessions. We gave
26 you a group of questions that in some ways I was

1 worried were too many to address, but people
2 managed to seem to get through them and provide a
3 lot of feedback.

4 I'd like to suggest that we schedule
5 additional listening sessions at future meetings,
6 and also post a Federal Registry notice to get
7 written feedback to delve into more detail, and to
8 get a little bit more clarity and direction in
9 moving forward.

10 Also, I think based on what Shawn is
11 saying I'd really like the Committee at this time
12 to think about this issue of let's get Krabbe and
13 DMD through the process. But we should consider
14 pausing on new nominations as we look at the
15 process, and we consider all of the changes we
16 believe we need to make, including to finding our
17 role as a Committee beyond what's currently in the
18 law, or at least specifying that and figuring out
19 ways can we be more efficient, more inclusive, and
20 meet our mission a little bit better than I think
21 we are now.

22 So, those are just two things I'd like to
23 throw open to the Committee and see what people's
24 appetites are. Michele, is your hand back up, or?
25 I did inquire, we have the ability as a Committee
26 to say we're taking a pause on new nominations

1 while we review our methods, our approaches, and
2 consider a lot of the elements that we've discussed
3 over the last couple days. Jennifer?

4 DR. KWON: Thanks. Jennifer Kwon,
5 Committee member. Can you remind us when we will
6 be voting on Duchenne and Krabbe, and also how long
7 a pause you were envisioning?

8 DR. CALONGE: Great. Both great
9 questions. So, I think if we start, the nine
10 months would have started at the last meeting. So
11 when was that? May, so it would be nine months.
12 Then we would vote on both conditions, and I was
13 thinking something around a six month, certainly no
14 more than a nine month pause, but about a six month
15 pause to try to do the work and focus on not only
16 getting DMD and the Krabbe expedited review
17 finished up, but also taking on a lot of the work
18 that we need to do in redesigning and reidentifying
19 the way we do our work. Shawn?

20 DR. MCCANDLESS: Yeah. Maybe I just need
21 a little more clarification. I think there's two
22 components to the work that we're discussing. One
23 is the changes that have been proposed to the
24 decision matrix, and the process for moving things
25 forward to recommendation to the Secretary of HHS.
26 That's one thing.

1 The second is the discussion about
2 updating or changing the nomination process. It
3 feels like the work on the first part is more
4 advanced than the work on the second part. So I
5 guess I would say, and then I'm reflecting on the
6 fact that I think we heard yesterday that there is
7 either an application received, or on its way for
8 biliary atresia, congenital biliary atresia, so
9 that one we probably need to make a decision about
10 how to move forward.

11 But I would propose it if there's already
12 a nomination and it's been received, that should
13 continue under the hold, at least the nomination
14 process. And I think a six month pause of the -- a
15 six or nine month pause on the decision-making
16 process that excludes DMD and Krabbe, so that if
17 they move forward through evidence review, we'll
18 review them under the old decision matrix.

19 There shouldn't be anything that requires
20 the new decision matrix for at least six to nine
21 months gives us -- there's no feasible thing that
22 could come before us that would require a vote
23 before then, so I think it would be reasonable to
24 say that anything that's after DMD and the Krabbe
25 rapid review. Anything after that would be under
26 the new decision matrix, and that we would set sort

1 of nine months, or a year as the hard stop for
2 making that happen.

3 DR. CALONGE: Yeah. I think that was my
4 intent, Shawn, you just summarized it a little bit
5 better. I think, you know, we're very close on the
6 decision matrix. Other than kind of taking in all
7 the information we had on weighing benefits and
8 harms, and what information feeds into both of
9 those buckets.

10 So I think those are kind of outstanding
11 elements on the decision process, and then the
12 nomination process as you've talked about. And
13 again, I will not -- I want to assure that the
14 rules that DND and Krabbe entered in will be the
15 rule set that sees them out the other side.

16 And I think you're right about the basic
17 time it will take to take through the biliary
18 atresia, so thanks. Michele?

19 DR. CAGGANA: The other thing that we need
20 to be cognizant of as we heard yesterday, and we've
21 heard a couple times about groups that are
22 preparing packages, and understanding that these
23 packages take an awful long time to develop. And
24 so, they are working on developing them through the
25 old procedure, and it would really be a whiplash, I
26 think, for them to now pause. And so I wonder if

1 we could, you know, if you're in the door by May,
2 kind of do that process.

3 I don't know how to situate that, but I
4 think we just need to be cognizant that as it
5 stands now the nomination process is very lengthy
6 for people to submit, and they're down various
7 paths to do that. And so it would be difficult to
8 get them at a hard stop for a period of time. Just
9 something to think about.

10 DR. CALONGE: I did think about this for a
11 long time, especially after listening in yesterday.
12 And the issues we have to draw the line at some
13 point. And that's my worry is that no matter where
14 we do it, there's going to be what I want to say,
15 people that will feel disadvantaged versus
16 privileged, so I guess my approach was that we have
17 two that are entered the process under this current
18 rule set, and that would be my approach.

19 But this is a Committee. I would like to
20 get an idea of where the Committee is at as well.
21 So, I know where you are Michele, thanks. Shawn,
22 are you commenting on this or?

23 DR. MCCANDLESS: Yes. I'm wondering if
24 maybe the middle ground here. So the decision
25 matrix is clear. It seems very clear. That's not
26 going to be a problem. It's really the nomination

1 package, the nomination process, and I don't have a
2 good sense of what the timeline is going to be for
3 this, so I think maybe the middle ground would be
4 to also put a pause on new nominations that starts
5 now, but have a mechanism in that process for
6 groups that are in the process of preparing a
7 nomination to reach out to HRSA, and work with the
8 HRSA staff about which process they should be
9 planning to follow.

10 Should they -- if they haven't started, we
11 would recommend they wait for maybe as much as nine
12 months to a year for the new nomination process to
13 be put in place. And again, I'm specifically
14 thinking about MLD, which Dean Suhr indicated
15 yesterday that they are working on a -- you know,
16 that they are anticipating a therapeutic that has
17 benefit, documented benefit, and that they're
18 working on a package already. That would be an
19 example of a group, and I don't mean to call them
20 out specifically, but that would be an example of a
21 group that we should say talk to HRSA staff, and
22 you all make a decision about what is going to be
23 most appropriate for that condition.

24 Other -- there may be other nomination
25 groups that are working on something that we're not
26 aware of, that might want to also reach out to

1 HRSA, but it seems to me that that's going to be
2 the simplest way to handle that, so we put a maybe
3 even a one year moratorium on new applications with
4 an option for people that are already working on a
5 nomination package to reach out to HRSA staff for a
6 case-by-case evaluation of where things stand, and
7 how to proceed for that package.

8 DR. CALONGE: I think that's a great
9 middle ground, and I think it's something that
10 we -- no one is kicking me under the table, but I
11 believe we could implement. Great, well again,
12 it's been a great discussion. I can't -- I don't
13 think I can do justice to how much I appreciate the
14 work that everyone put into the sessions yesterday.

15 And then to our folks who were volunteered
16 to actually sit in and summarize those, and then
17 the presentations today, and the thoughtful
18 discussions were just outstanding. Shawn?

19 DR. MCCANDLESS: I just realized that
20 Scott and I did a terrible job of acknowledging
21 Akila and Monica who worked with our listening
22 group yesterday, and everyone else did such a good
23 job. Would you be willing, Ned, to tell us all of
24 the staff people who acted as facilitators, or note
25 keepers, or have Leticia, just so we can all give
26 them a big thank you because it sounds like

1 everyone was number one, went above and beyond the
2 call of their job description to do this. And
3 number two, did a terrific job.

4 DR. CALONGE: Yeah. Leticia, can you?

5 COMMANDER MANNING: I can. So thank you
6 so much for giving me the opportunity to provide
7 some kudos to my wonderful colleagues.

8 For the Public Health Group we had Akilah
9 Heggs, I hope I'm pronouncing her name correctly,
10 and Monica Adderly. For the Clinician Group we had
11 Mandy David and Lisa Song. For the Laboratory
12 Group we had Loraine Swanson and Kim Morrison, and
13 for the Family and Family Representative
14 Organization Group we had Donna Johnson and Ajee
15 Johnson, so thank you all if you're on. Thank you
16 so much for your assistance.

17 DR. CALONGE: And thanks Shawn, for
18 helping me be a better Chair than I really am. So
19 I think we've heard a five minute break, sorry.
20 And the only reason I say that is we're a bit
21 behind. When we come back we're going to have
22 updates, phase one updates on DMD, and Krabbe, and
23 then we will bring Jelili back to give us an update
24 on the work that APHL is doing in the counting
25 conditions and other activities.

26 So I have about a quarter to 12 hour, and

1 then we'll come back and try to resume right there
2 promptly at 10 minutes of the hour. Thank you.

3

4 **APHL Updates**

5 DR. CALONGE: I'm sorry. We are back. I
6 would like if he is with us, to start with Jelili.

7 MR. OJODU: Good afternoon, good morning.

8 DR. CALONGE: It's great to see you, great
9 to see you.

10 MR. OJODU: Same here.

11 DR. CALONGE: Go ahead.

12 MR. OJODU: So, I have the good fortune of
13 giving a quick update on some of the activities
14 that we're embarking on as part of Newborn
15 Screening Excel, which is also known as Newborn
16 Screening Technical Assistance and Evaluation
17 Programs NewSteps. We've had the good fortune of
18 having some supplemental funds to be able to
19 address a number of things that have been brought
20 up as part of the discussions over the last several
21 meetings of the Advisory Committee.

22 I'm going to try my best, I don't have any
23 slides, just to quickly go through this. These
24 activities have just been funded, and just give you
25 a brief high-level overview of some of the things
26 that we're working on. The four things that I want

1 to highlight are counting conditions, which you've
2 heard quite a bit on, counting and naming
3 conditions I should say.

4 The second thing is going to be on second-
5 tier testing, or secondary testing. Higher testing
6 for conditions in newborn screening. The third
7 thing will be related to health equity and newborn
8 screening, and finally I'll briefly mention family
9 outcomes.

10 So, as part of the supplemental funding
11 that we receive some HRSA, and thank you HRSA. We
12 are able to continue on trying to address
13 commonization and uniformity of how state newborn
14 screening programs name and count conditions. And
15 I don't have to say this to anyone, but you can go
16 on different websites, and different people count
17 conditions differently, whether they're adding the
18 core conditions with the secondary panels with
19 treatments and other conditions that they think are
20 part of the newborn screening program.

21 At APHL and I think in 2021, we on the
22 workgroup were to be able to look into this, and
23 the activities that we're going to embark on over
24 the next year, year and a half is going to look
25 deeper into how we can better count and name
26 conditions. I think if you look on the RUSP you'll

1 see that a number of conditions are just named, are
2 classified as other, and if they don't fit into any
3 one of the other categories or disorders, whether
4 it's the endocrine disorders, or fatty acid
5 oxidations, or lysosomal storage disorders.

6 The work related to this means that we
7 bring people today, communities of practice of the
8 newborn screening systems, and that's exactly what
9 we're going to do. We formed a group of
10 individuals, I think 19 in all, for different
11 aspects of the newborn screening system to be able
12 to address this.

13 They will have the opportunity to meet in
14 person. This will also include people from the
15 Advisory Committee, and we hope that we can report
16 back to you all on some of the activities and how
17 we are addressing this in the form of outcomes in
18 future Advisory Committee meetings.

19 The second thing quickly, is related to
20 second-tier testing. A number of conditions that
21 we screen for as part of our Recommended Uniform
22 Screening Panel, our state panels require some form
23 of second-tier testing to be able to reduce false
24 positives, among other things, and to be able to
25 reduce that burden of calling out a positive when
26 it isn't, to those families.

1 We've heard quite a bit about harm, to
2 reduce that harm in that sense. We know that not
3 every state has the capability or capacity to be to
4 second-tier testing, or higher tier testing. We
5 also do know that it's quite important in a number
6 of conditions that we screen for.

7 And so, a number of us have been thinking
8 about how we can better assist all the newborn
9 screening programs anywhere around the country to
10 be able to, you know, what is available, understand
11 the costs, provide peer network review centers, or
12 centers of excellence that can be able to provide
13 quality second-tier testing, higher tier testing
14 for any state.

15 This project is currently under what we
16 call Newborn Screening New Disorders Work Group,
17 and we've also just formed a committee and a charge
18 to be able to address this. And again, this work
19 will span over the next 12 months, and we will
20 report back to you all on some of our activities
21 and successes, and challenges as well.

22 There was a great presentation during the
23 last Advisory Committee on health equity. I think
24 it was Dr. Houtrow from the University of
25 Pittsburgh who gave a wonderful overview focusing
26 on equity in newborn screening. This is something

1 that I know HRSA has, we've heard quite a bit on
2 the investment to be able to look at equity, how
3 equity across newborn screening systems, especially
4 for the conditions that we screen for, and other
5 conditions that we're thinking about screening for.

6 Equity in just making sure that, you know,
7 whatever is provided for any individual that is
8 screened is the same regardless of circumstance.
9 And so, we're thinking about a number of ways to be
10 able to address this. One of them is starting, and
11 you will see an email shortly from us, a community
12 of practice related to health equity in newborn
13 screening.

14 Similar to how we built a community of
15 practice to follow-up where we now strongly
16 advocate for follow-up, whether it's longer or
17 short-term follow-up in moving forward, we want to
18 be able to build a community of practice and want
19 the individuals to be able to discuss the kinds of
20 things that Dr. Houtrow mentioned, and come up with
21 some ideas and solutions in a collaborative way, so
22 more on that in the coming year.

23 And then finally, the last one of the
24 activities that we're going to be embarking on as
25 part of this supplemental fund is assessing and
26 developing what should be measured as part of

1 newborn screening long-term follow-up and quality
2 of life. We will be working primarily -- we'll be
3 carrying most of the load here with RTI to be able
4 to address this.

5 We don't have much, I don't have much to
6 update you all on, on that, but I can assure you
7 that we will be working with the newborn screening
8 community. I think we are engaged with a number of
9 regional networks in their current form right now
10 to be able to address this particular activity
11 moving forward.

12 So I just wanted to give you a brief
13 update on some of the things that especially as it
14 relates to what the laboratory, former laboratory
15 subcommittee was working on, and something that has
16 now been punted to us, and we take on that
17 challenge gladly as an association to be able to
18 work with a number of partners, to be able to
19 address moving forward to any of those out there.

20 DR. CALONGE: Thanks, Jelili, for that
21 great update. Are there any questions for Jelili?
22 See, you answered all the questions, so thanks.
23 That was great. Let's go ahead and move on.

24

25 **Duchenne Muscular Dystrophy Evidence-Based Review:**

Phase 1 Update

1
2 DR. CALONGE: I will just remind everyone
3 that Dr. Alex Kemper is a lead on the Evidence
4 Review Group. He's also Division Chief of Primary
5 Care Pediatrics at Nationwide Children's Hospital
6 and a Professor of Pediatrics at the Ohio State
7 University in College of Medicine.

8 His research focuses on the delivery of
9 preventive care services, including newborn
10 screening. And since 2013 he has also served as
11 the Deputy Editor of Pediatrics. He's going to
12 start with a phase one update on the DMD evidence
13 based review. Dr. Kemper?

14 DR. KEMPER: Dr. Calonge, thank you very
15 much for the kind introduction, and I'm delighted
16 to be here today. As you mentioned, I'm going to
17 go through with what we call the phase one
18 summaries of where we are first for Duchenne
19 Muscular Dystrophy, and then for Krabbe disease.
20 These particular presentations are designed to be
21 very high level, and are going to tee us up for
22 subsequent meetings where the Committee can dig
23 into issues related to screening for the condition,
24 condition outcomes, and so forth.

25 So again, these will be quick
26 presentations. Next slide please. So first of

1 all, I want to thank the members of the evidence
2 review group for the hard work that they're doing,
3 and I also want to thank the Advisory Committee
4 liaisons for this project, Dr. Dorley and Dr.
5 Phornphutkul. Next slide please.

6 As we do for all of our project, we have a
7 technical expert panel. This is a slide of those
8 who have agreed to participate in our technical
9 expert panel, and it really covers the waterfront
10 from the experts in screening, experts in
11 diagnosis, and experts in treatment for DMD. It's
12 really quite a vibrant and really knowledgeable
13 group. Next slide please.

14 So I just want to give a little bit of
15 background. Again, the purpose of this
16 presentation is to tee us up for the subsequent
17 meetings. Next slide please. So, as I think
18 everyone knows, Duchenne Muscular Dystrophy is an
19 ex-linked progressive disease, characterized by
20 loss of muscle function and weakness. And it's
21 caused by variants in the *DMD* gene.

22 The *DMD* gene codes for dystrophin.
23 Dystrophin appears in multiple tissues, but
24 primarily is expressed in muscle, and again is the
25 major focus for the review. One of the things it's
26 important to recognize is that the truncated gene

1 can have some function, and you'll understand in a
2 second why I bring that up.

3 Affected males, typically begin to have
4 weakness in the first couple years of life,
5 followed by progressive loss with loss of
6 ambulation in early adolescence, and later support
7 in early adulthood. And life expectancy can be
8 highly variable. I've listed 18 to 41 years of
9 age, and some of this depends on the kinds of
10 interventions that they have received. Next slide
11 please.

12 As I mentioned, *DMD* gene codes for
13 dystrophin, which is the longest gene that we as
14 humans have. It's two and a half million base
15 pairs long. It leads to dystrophinopathies, DMD
16 which affects between 16 and 20 per 100,000 males.
17 It can also affect females, but it is much more
18 rare. Females can be carriers who can also have
19 functional problems, again we'll be talking more
20 about that in subsequent presentations.

21 There's also Becker Muscular Dystrophy,
22 which is a less severe phenotype, and it's also
23 less common with incidents of about less than 8 per
24 1,000 males. Individuals can also develop x-linked
25 cardiomyopathy. Next slide please.

26 So here's a screening. Next slide. It's

1 primarily based on identification or measurements
2 of creatine kinase, which is also known as creatine
3 phosphokinase. Creatine kinase has two subunits
4 with a muscle type and a brain type, and the
5 particular isoform to target in screening is CK-MM,
6 that is two muscle subunits.

7 When CK-MM is elevated, then second-tier
8 screening can help with establishing the diagnosis.
9 So there's *DMD* sequencing, which we -- let me
10 preface it by saying that since these slides were
11 put together we had a wonderfully rich first
12 technical expert panel call where we spent a lot of
13 time talking about this issue of genotype phenotype
14 correlation.

15 And you know it was the strong belief of
16 the technical expert panel that if you have
17 persistent elevation of CK-MM and particular
18 mutations, then you can make a strong argument
19 about the linkage between genotype and phenotype.
20 And so a more evasive, confirmatory test like
21 muscle biopsy is not needed.

22 Now again, we're still going through the
23 evidence right now, the published evidence to look
24 at issues of genotype phenotype correlation, but I
25 did just want to add that in. But historically,
26 muscle biopsy needed to be used a lot, and now it's

1 much less so the case.

2 The other thing that's sort of hot off the
3 press since I had to submit this is that as you can
4 see that I had "no state which currently includes
5 DMD newborn screening." New York did just pass
6 legislation to begin DMD newborn screening, but
7 it's not been implemented quite yet.

8 And then there have been a lot of other
9 population screening programs in the past, and some
10 smaller screening activities that are going on.
11 Again, we'll talk about this in the next meeting
12 when we drill into things further. Next slide
13 please.

14 In terms of treatment, next slide. There
15 is supportive care, which you know, could be given
16 across the lifespan. These include physical
17 therapy, maximizing nutritional management, speech
18 and language services, involvements of other
19 specialists, for example, pulmonologists, and
20 cardiologists, and then orthopedic and other system
21 devices as needed.

22 There's been a lot of work around
23 pharmacotherapy, historically the mainstay
24 intervention was the use of glucocorticoids to
25 reduce muscle damage and stabilize muscle cell
26 membranes, which could slow the progression of the

1 muscular dystrophy.

2 There's a new steroid that's recently been
3 approved for the use of Duchenne Muscular
4 Dystrophy, so although glucocorticoids historically
5 have been the mainstay therapy, beyond the
6 supportive care. There are some new therapeutic
7 options available.

8 There are also exon skipping drugs. We
9 are now drilling into a better understanding of the
10 proportion of individuals with Duchenne Muscular
11 Dystrophy who have benefitted from these exon
12 skipping drugs, and looking at what's known about
13 early intervention with these drugs.

14 And then there's gene therapy. Gene
15 therapy involves a smaller version of the
16 dystrophin gene. You can't actually pack the whole
17 gene into the viral vector, and so gene therapy
18 leads the production with what's referred to as a
19 micro-dystrophin.

20 Again, we are busy looking at the evidence
21 regarding the benefits of gene therapy. Gene
22 therapy right now is only FDA approved for children
23 who are four and five years of age. Next slide
24 please.

25 So in terms of our ongoing activity, next
26 slide, we are working through a literature review.

1 Unlike some of the other conditions that we've
2 looked at there's a lot more published work out
3 there, so there are about 7,000 articles that we're
4 going through to see which ones shed light on the
5 potential benefits of newborn screening.

6 We held our first tech call at the end of
7 October. We've begun the process of our key
8 informants' interviews. Our plan is to conduct the
9 public health system impact assessment in early
10 2024. We're working with the development of the
11 decision analytic model as we always do, and then
12 you know, we're having challenges that we will work
13 through both on the evidence, as well as with our
14 liaisons, and the technical expert panel in terms
15 of the right outcome measures, both for the review
16 overall, as well as for the decision analytic
17 model.

18 And also the appropriate time horizon for
19 the decision analytic model, which will be based on
20 how far out the evidence goes related to early
21 intervention. Next slide please. So I will stop
22 there, and just see if anybody has any questions
23 about the progress we are making, and of course I'm
24 always happy to set up separate calls if any
25 Committee member has questions, and certainly
26 answer emails. Dr. Kwon?

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25
26

Committee Discussion

DR. KWON: Hello. Jennifer Kwon, Committee member. So, when do you think, when did you feel like your deadline was, because it seems like you might be going a little further out than the February meeting?

DR. KEMPER: Well, so there's not assigned to it, so the February meeting would be the second presentation, and then it would be the one after that that the vote would occur.

DR. KWON: Okay.

DR. KEMPER: That keeps us in the window.

DR. KWON: Right. Alrighty.

DR. CALONGE: Other questions? Thanks Alex, for this first look. It was an invigorating call, and the follow-up email chains have been interesting as well, so appreciate everyone's work, especially subject matter experts, and other folks that are contributing information.

DR. KEMPER: If I can do so, I just want to thank you again, publicly thank those who volunteered their time with the technical expert panel. Like we would never be able to do the work without them, and their great engagement is really critical.

1 DR. CALONGE: So, Alex, could you move on
2 to Krabbe?

3
4 **Krabbe Disease Expedited Evidence-Based Review:**
5 **Phase 1 Update**

6 DR. KEMPER: I could once the slides are
7 up. There we go. So this again is going to be a
8 very high-level summary of where things go. Next
9 slide please. Again, I'd like to thank members of
10 the Evidence Review Group, and especially like to
11 thank the Committee liaisons, Dr. Kwon and Dr.
12 McCandless. Next slide please.

13 We have reconvened our technical expert
14 panel, this is the same technical expert panel that
15 we had before. We have not had a call with the
16 technical expert panel yet because we're waiting
17 for some other information to come in and that will
18 make sense in a second. Next slide please.

19 So, just to remind everyone about the
20 previous recommendation. Next slide please. So in
21 February, the Advisory Committee voted against
22 recommending to the Secretary that Krabbe disease
23 should be added to the RUSP. That follows a timed
24 vote. And in the summary from the Chair,
25 highlighting opportunities to address gaps.

26 There were three specific things that were

1 called out. Additional information about the
2 efficacy of stem cell transplant for early
3 infantile Krabbe disease, more information about
4 the potential harms of transplant that was begun
5 early as a result of the screening, and additional
6 information on outcomes for infants who are at risk
7 of late infantile Krabbe disease. Next slide
8 please.

9 So based on a request for an expedited
10 nomination in May, the expedited review was handed
11 off to us, as I think everyone knows. It's the
12 first such expedited review. Next slide. And the
13 key thing in the new nomination, the revised
14 nomination I guess I should say, was the focus on
15 infantile Krabbe disease defined as onset in the
16 first year of life. And based on finding in
17 newborn screening of reduced GALC activity in the
18 dried blood spot, as well as an elevated level of
19 psychosine in that dried blood spot.

20 So, just to highlight this again, the
21 current nomination is much more prescriptive around
22 the target of screening in an effort to reduce
23 cases that don't fall under this definition of
24 infantile Krabbe disease. No longer included in
25 the nomination for example, is looking at the 30 KB
26 deletion that we talked about before, and again

1 it's very specific about the psychosine level of 10
2 or more in the dried blood spot.

3 There are several state newborn screening
4 programs that have now adopted a similar approach
5 for gathering data from these states to better
6 define exactly how their process works. One thing
7 that I'm going to be very careful about, especially
8 as we come out to the vote is just making sure that
9 we don't use ambiguous terminology because we can
10 get confused when we talk about infantile versus
11 early infantile, and late onset and so forth.

12 And so again, following along the
13 nomination, I'm using the term that they use, which
14 is infantile Krabbe disease, which is onset before
15 12 months of age. And again, the hope is that with
16 this well-defined criteria for screening that these
17 are the infants that would be identified through
18 newborn screening. Next slide please.

19 So, now I'm going to sort of go over the
20 process. Next slide please. So, for our expedited
21 review, again we're going to focus on what's been
22 nominated in terms of the screening tests. We're
23 conducting a brief search of published data that is
24 what came out since the last time we looked at
25 things.

26 We are also looking for new unpublished

1 literature that meets our criteria that can weigh
2 in on those things. And I have spoken to one
3 expert in the field who is working on a study
4 that's going to be a standardize assessment in
5 development of subjects with Krabbe disease that
6 were identified through newborn screening, and
7 treated with transplant in early infancy.

8 That study is going on right now with a
9 plan that it will be submitted and presented at a
10 national meeting, and will be able to be included
11 in our literature update by the time we convene
12 again in February.

13 Again, as I mentioned before, we've
14 reached out to the states that are using psychosine
15 as a second-tier test to learn what their
16 experience has been in terms of the cases that have
17 been identified and their positive predictive value
18 with that strategy, as well as really trying to
19 drill into those cases that have been identified
20 through newborn screening that meet that screening
21 bar that we've talked about before.

22 So, the nomination letter identifies 11
23 cases that were identified. We again, are
24 collecting data to find out how many cases have
25 been identified, if there are additional cases out
26 there we really wanted to learn anything that we

1 can about them. And then the next thing is that
2 we're updating our decision analytic model to
3 reflect the new target of screening, a much more
4 specific target as I've mentioned a few times.

5 Next slide please. So I'd like to leave
6 things open for questions. Oh, Dr. McCandless? Oh
7 you're on mute, which will allow me to replace it
8 with an easier question I think.

9

10 ***Committee Discussion***

11 DR. CALONGE: Oh. Something's happened to
12 your microphone. Now you're on mute now. Now try.

13 DR. KEMPER: Or if you would email it to
14 me really fast I can do a dramatic reading.

15 COMMANDER MANNING: We're trying to get
16 you a phone number.

17 DR. KEMPER: Well, I guess while we're
18 working through this technical difficulty, does
19 anybody else have any questions?

20 DR. CALONGE: Sue Berry?

21 DR. BERRY: Sorry, wrong button. Minor
22 points just to mention it, the great state of
23 Minnesota has elected to add both Krabbe and
24 Duchenne to their screening panel, so you may wish
25 to reach out to them. They have begun the
26 implementation process for Krabbe.

1 DR. KEMPER: Great. I heard it was under
2 debate, but I didn't realize that the DMD had been
3 added.

4 DR. BERRY: Yeah. So you might want to
5 add them to your list of people to query.

6 DR. KEMPER: I will definitely do that.

7 DR. MCCANDLESS: Are you able to hear me
8 now?

9 DR. CALONGE: Yes.

10 DR. KEMPER: Oh yes we can.

11 DR. MCCANDLESS: Sorry about that. My
12 question is it seems to me that the new, the
13 updated recommendation about the target of
14 screening is changing. It's ostensibly infantile
15 Krabbe disease, but it's specifically defining that
16 by the newborn screening criteria of a psychosine
17 greater than 10.

18 And my question really is maybe
19 for -- maybe it's for the HRSA staff, or someone
20 other than you, Alex, but is there a mechanism for
21 this Committee to say to require that a newborn
22 screening lab in a state who gets a value for
23 psychosine that's 9 in a patient with low enzyme
24 activity?

25 Can this Committee prescribe them from
26 calling that out? Because the whole point of that

1 is to reduce false positives, and reduce patients
2 that are not clearly, that don't clearly have
3 infantile form, but that we don't know what they
4 have, and therefore they get highly medicalized
5 first years of life that may or may not bring any
6 benefit to the individual subject.

7 And so the question is, is this an
8 artificial distinction that looks good on paper,
9 but doesn't actually solve the problem in real
10 life?

11 DR. KEMPER: I think that's a question for
12 you, Ned.

13 DR. CALONGE: Great question. Well, I
14 think I'm going to have Jeff talk about "the
15 authority," and then I'll weigh in, thanks.

16 DR. BROSCO: And I'm just going to defer
17 to Dr. Warren if he's available, if not I will jump
18 in. All right. So we provide -- remember, this
19 Committee does provide recommendations to the
20 Secretary for adding conditions to the RUSP, and
21 states then decide themselves what it is exactly
22 that it wants to screen for and how. And it might
23 even be worth hearing from one or two of our state
24 lab partners about how they make decisions about
25 these things.

26 And of course, if some states report of a

1 sickle cell trait, which is not on the RUSP. So
2 what states decide to report or not is really in
3 their purview. We provide guidance, and so our
4 evidence review should be based on the nomination
5 package.

6 DR. CALONGE: And I appreciate that. So
7 let me say it a different way. We don't have the
8 authority to do that, so at least start, that's a
9 very concise answer to your question. We have
10 been, I think, debating whether or not this shows
11 up as a potential harm. And since we're being
12 prospective about what we say is a positive test,
13 it's hard to figure out where or how to weigh it as
14 a potential harm.

15 And so, I think the technical expert
16 committee, and the ERG group will wrestle with
17 whether or not there's a way or a need to capture
18 that as a potential harm, because we're saying
19 don't do it. So, and your question is states will
20 do what they will do, which is true.

21 So do we need to think about that? I
22 guess you know, from my standpoint I wouldn't want
23 it to be a harm that got elevated to the point
24 where we weren't looking at the evidence for the
25 specific test that we're recommending. So that's
26 the way I look at it, but I think it is an issue

1 that we're aware of, we're concerned about, and we
2 can collect some information, and ultimately will
3 have to make a judgement whether or not it impacts
4 the decision or not. Ash?

5 DR. LAL: So, just to pursue this issue of
6 borderline testing, Dr. Kemper, in your review do
7 you think psychosine levels are bi-modal, or is it
8 just an arbitrary distinction here, are there any
9 different --

10 DR. KEMPER: Yeah. What I can say is the
11 last time we looked at Krabbe disease for you know,
12 the previous nomination. We looked at all levels
13 of psychosine, and there have been expert groups
14 that have weighed in to develop algorithms for the
15 management, and they set this psychosine level of
16 10 or more as being strongly predictive of having
17 the infantile, or the early infantile form, and
18 that there is a lower level, so between 2 and 10
19 where infants are at risk for perhaps the less
20 severe phenotype, and would require more follow-up.

21 But that's where the level of 10 came
22 from. Now, from the previous report, the infants
23 that had the more severe phenotype, really had very
24 much higher levels of psychosine. It wasn't like
25 things were close to 10. They were pretty high,
26 and so I think it's sort of the way to the expert

1 opinion that setting things at 10 is really going
2 to capture those, all the infants are going to go
3 and have a severe phenotype. Does that answer your
4 question?

5 DR. LAL: Yes, it does. And it's just
6 that part about how to report out the results that
7 are below the threshold that are still quantified.
8 But that's true for a lot of other conditions, I'm
9 going to guess it's not just Krabbe.

10 DR. CALONGE: Correct. One of our, yeah
11 thank you. All right, Alex, again great work.
12 Great work and input from the experts, and we're
13 looking forward to the next presentation as we move
14 forward. At this point I would like to see if any
15 Committee members would like to bring up any new
16 business? Scott?

17

18 **New Business**

19 DR. SHONE: Thanks Ned. So, I wanted to
20 bring to the attention of the committee, the
21 ongoing lawsuits that newborn screening programs
22 are facing, particularly around the use of dry
23 blood spots. I think everyone is aware of the
24 ongoing Michigan lawsuit that is now going to
25 federal appeals court, and then yesterday there was
26 a lot of news around a new lawsuit in the state of

1 New Jersey.

2 You know, I'm the org rep for ASTHO, not
3 APHL, but I am a member of the APHL Board of
4 Directors, and I am aware that APHL is working on
5 an amicus brief in support of the State of
6 Michigan.

7 I think it is imperative that the other
8 organizations that have a strong and vested
9 interest in the success of the newborn screening
10 programs and the importance of these dry blood
11 spots, and how they are used for program
12 improvement and to save lives, as we've been
13 discussing the last few days. You might want to
14 reach out to APHL to see how they can continue to
15 support them, or endeavor in their own
16 opportunities in conjunction with the Michigan
17 State Department of Health and their newborn
18 screening program. I think this is critical, and I
19 would ask all the org reps to go back to their
20 organizations and see what they are doing, as I've
21 done, to make sure that we are front and center on
22 this.

23 This issue is serious, and it does
24 jeopardize the successes that we have spent so much
25 time, not only the last two days, but in our
26 careers trying to make sure that we protect. So, I

1 would encourage everybody to do outreach, whether
2 it's to Jelili at APHL, or Michigan Department of
3 Health, to see what you can do to assist in this
4 important program. Thanks.

5 DR. CALONGE: Thanks, Scott, and that's a
6 very important announcement, and I hope folks think
7 about it and reach out to APHL. Shawn?

8 DR. MCCANDLESS: I'm going to change the
9 topic, so if there's other discussion about that
10 issue, I'm happy to wait.

11 DR. CALONGE: Change away.

12 DR. MCCANDLESS: Okay. Yeah, I want to
13 bring to the attention of this Committee something
14 that's happening at the FDA, regarding some rules
15 that they have had in place for laboratory
16 developed tests that are -- and there are a number
17 of people nodding their head, a number of people on
18 this call that know about this already.

19 But in the past, tests that were most of
20 the tests that we used for diagnosis and
21 confirmation for rare diseases, including newborn
22 screening conditions, are not available as
23 commercially validated kits, and so the FDA has
24 had -- has allowed discretion in how they regulate
25 those laboratory developed tests in the past.

26 And there's over the past several years

1 been several efforts to tighten up their
2 enforcement of oversight and monitoring of these
3 laboratory developed tests. To the point where
4 there's great concern in the field of people who do
5 these tests for rare metabolites, enzyme assays,
6 rare genetic tests that the requirements, the
7 regulatory requirements that the FDA is proposing
8 to be added may make it impossible to continue
9 doing these tests because of the need for FDA
10 validated and approved methods for doing the test
11 that would be beyond the scope of most of the labs
12 that are able to do the test.

13 There's a very real fear in the community,
14 and it's not just a fear, there's a very real
15 unintended consequence of this action that could
16 result in the confirmatory tests, for example,
17 psychosine measurement for Krabbe disease
18 disappearing because there are not FDA approved
19 kits, or FDA validated methods for doing that.

20 So, this Committee I don't think is able
21 to move on that, but I just want to bring it to the
22 attention of everyone on this Committee that this
23 poses a very real threat for newborn screening.
24 It's entirely possible that much of our diagnostic
25 capacity will go away if the FDA moves forward on
26 this plan to enhance enforcement of the regulatory

1 environment around the laboratory developed
2 testing.

3 So, and again, I'm not the world's expert
4 on the issue, but I would ask everyone locally to
5 speak with your laboratory directors and others to
6 find out what, you know, how this impacts your
7 particular follow-up program, and consider whether
8 there might be some action that you would take.

9 The FDA is accepting public comment, I
10 think through December 4th, so we need to move
11 fairly quickly on this as individuals or groups.
12 Thank you.

13 DR. CALONGE: Thanks, Shawn, and I think
14 hopefully we could get some more information too
15 about this, and where it's at in the public comment
16 period and the potential impact on newborn
17 screening. Thanks for bringing it to our
18 attention. Cindy?

19 DR. POWELL: Yes. Cindy Powell, ACMG
20 organizational representative. I just wanted to
21 support Shawn's statement. While the ACMG is very,
22 you know, concerned about the accuracy of genetic
23 and metabolic testing in children, you know, we are
24 concerned about the proposed FDA regulations of
25 laboratory developed tests, and what this might do,
26 not only for newborn screening, but also access to

1 testing for children with suspected rare diseases.

2 And so, I do encourage everybody to give
3 their input as Shawn said, the FDA is only allowing
4 public comment until December 4th, despite our
5 organization and others requesting a longer period
6 of time to look into this. And I would encourage
7 the FDA to look at the evidence for the need for
8 this new type of regulations.

9 What I've seen so far, I think it's some
10 of the evidence is more what's been in newspapers,
11 and public discussion areas, and not so much in
12 true evidence, so thank you.

13 DR. CALONGE: Thanks Cindy. Jelili?

14 MR. OJODU: I just wanted to amplify
15 everything that has been said by Cindy, as well as
16 Shawn, and Scott. The issue about residual dry
17 blood spots is something that we all need to be not
18 only aware of, but need to act on appropriately.

19 As it relates to the enhanced proposed
20 regulations by FDA, I don't think I need to spend
21 too much time reminding this Committee that if it
22 wasn't for LDTs we would not be screening for -- it
23 would take us a long time to be able to - not the
24 confirmatory tests, the initial primary tests for
25 SCID. And it took at least a little while for our
26 corporate partners to be able to develop an FDA

1 approved test, so APHL is working on a number of
2 things, a letter to the FDA. I'm encouraging all
3 of our members to share whatever they're sending as
4 part of the public comments to the FDA, and more to
5 come on this.

6 DR. CALONGE: Thanks, Jelili. Sue?

7 DR. BERRY: I want to second or third the
8 motion of what has been brought up. The SIMD,
9 speaking on behalf of the SIMD, the SIMD is
10 extremely concerned about the impact this will
11 have, not only on newborn screening, but on almost
12 all aspects of metabolic diagnostic testing.

13 And much of the ability that we have to
14 care for children, and to provide adequate care may
15 be in jeopardy. I don't think people realize the
16 extent of even when a lab is using a kit that has
17 FDA approval, almost always they modify its use to
18 make it work in their lab.

19 And so, I think depending on how strict
20 the enforcement gets, this could be an absolutely
21 heart stopper for newborn screening, and for
22 diagnostic testing. Almost all of the things that
23 many of us do as geneticists and well as metabolic
24 specialists.

25 DR. CALONGE: Thanks, Sue. Okay. So I
26 just wanted to make a couple points as we close.

1 The Committee will be, just to remind you. Our
2 discussion will be pausing on new nominations for
3 at least six months while we continue to have
4 additional opportunities to listen to stakeholders
5 on the nomination process, and decision matrix and
6 make decisions about those two areas.

7 In the meantime, nominators for potential
8 conditions can contact HRSA staff about the timing,
9 format and criteria for new nominations, so that we
10 allow that middle ground that we talked about. I
11 would also like to remind you that the meeting that
12 is scheduled for February 2024, will most likely be
13 scheduled at a different date, as we don't have a
14 room, and it's an in person meeting.

15 And so, we think having the room could be
16 important for that. So please before you make any
17 arrangements for travel, and as you think about
18 scheduling, keep a lookout for information
19 regarding the next meeting on the ACHDNC website.
20 I can guarantee you that it won't be sooner than
21 the original February date. It will be sometime
22 later.

23 Again, I want to thank everyone for your
24 time, your invaluable contributions to the Advisory
25 Committee, for your unwavering commitment to
26 newborn screening, the mission and outcomes that we

1 are all invested in. And with that, the November
2 meeting of the Advisory Committee is now adjourned.
3 Thank you.

4 (Whereupon at 12:41 p.m. the Advisory
5 Committee of the ACHDNC adjourned.)