

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

ADVISORY COMMITTEE ON INFANT AND
MATERNAL MORTALITY
US DEPARTMENT OF HEALTH AND HUMAN SERVICES

Virtual Meeting

Day 1

December 14, 2021

12:00 p.m.

Attended Via Webinar

Job #42394

Page 1 - 210

Reported by Gary Euell

1

Committee Members

2

Jeanne A. Conry, M.D., Ph.D., President,

3

Environmental Health Leadership Foundation

4

5

Steven Calvin, M.D., Obstetrician-Gynecologist

6

7

Edward P. Ehlinger, M.D., M.S.P.H., Acting

8

Chairperson of SACIM

9

10

Paul E. Jarris, M.D., M.B.A., Senior Principle

11

Health Policy Adviser, Health Transformation

12

Center, The Mitre Corporation

13

14

Tara Sander Lee, Ph.D., Senior Fellow, and

15

Director of Life Sciences, Charlotte Lozier

16

Institute

17

18

Colleen A. Malloy, M.D., Assistant Professor of

19

Pediatrics (Neonatology), Ann & Robert H. Lurie

20

Children's Hospital of Chicago

21

22

Janelle F. Palacios, Ph.D., C.N.M., R.N., Nurse

23

Midwife; Co-Chair, Health Equity Workgroup

1

2 Magda G. Peck, Sc.D., Founder/Principal, MP3
3 Health; Founder and Senior Advisor, CityMatCH;
4 Adjunct Professor of Pediatrics and Public Health,
5 University of Nebraska Medical Center

6

7 Belinda D. Pettiford, M.P.H., B.S., B.A., Head,
8 Women's Health Branch, North Carolina Division of
9 Public Health, Women's and Children's Health
10 Section

11

12 Paul H. Wise, M.D., M.P.H., Richard E. Behrman
13 Professor of Pediatrics, Health Policy and
14 International Studies, Stanford University

15

16 **Ex-Officio Members**

17 Ronald T. Ashford, Office of the Secretary, US
18 Department of Housing and Urban Development

19

20 Wanda D. Barfield, M.D., M.P.H., FAAP, RADM USPHS
21 (ret.), Director, Division of Reproductive Health,
22 Centers for Disease Control and Prevention

23

1 Wendy DeCoursey, Ph.D., Social Science Research
2 Analyst, Office of Planning, Research and
3 Evaluation, Administration for Children and
4 Families

5

6 Paul Kesner, Director of the Office of Safe and
7 Healthy Students, U.S. Department of Education

8

9 Joya Chowdhury, M.P.H., Public Health Researcher,
10 Office of Public and Minority Health, U.S.
11 Department of Health and Human Services

12

13 Dorothy Fink, M.D., Deputy Assistant Secretary,
14 Women's Health Director, Office of Women's Health,
15 U.S. Department of Health and Human Services

16

17 Karen Matsuoka, Ph.D., Chief Quality Officer for
18 Medicaid and CHIP Director, Division of Quality
19 and Health Outcomes

20

21 Kristen Zycherman, Coordinator for the CMS,
22 Maternal and Infant Health Initiatives, Center for

1 Medicaid and CHIP Services

2

3 Iris R. Mabry-Hernandez, M.D., M.P.H., Medical
4 Officer, Senior Advisor for Obesity Initiatives,
5 Center for Primary Care, Prevention, and Clinical
6 Partnerships, Agency for Healthcare Research and
7 Quality

8

9 Kamila B. Mistry, Ph.D., M.P.H., Associate
10 Director, Office of Extramural Research,
11 Education, and Priority Populations, AHRQ Lead,
12 Health Equity, Senior Advisor, Child Health and
13 Quality Improvement, Agency for Healthcare
14 Research and Quality, U.S. Department of Health
15 and Human Services

16

17 Danielle Ely, Ph.D., Health Statistician, Division
18 of Vital Statistics, National Center for Health
19 Statistics, Centers for Disease Control and
20 Prevention

21

22 Karen Remley, M.D. M.B.A., M.P.H., FAAP, Director,

1 National Center of Birth Defects and Developmental
2 Disabilities, Centers for Disease Control and
3 Prevention

4

5 Cheryl S. Broussard, Ph.D., Associate Director for
6 Science, Division of Congenital and Developmental
7 Disorders, National Center of Birth Defects and
8 Developmental Disabilities, Centers for Disease
9 Control and Prevention

10

11 Elizabeth Schumacher, J.D., Health Law Specialist,
12 Employee Benefit Security Administration, U.S.
13 Department of Labor

14

15 Alison Cernick, Ph.D., ABPP-Cn, Deputy Director
16 Eunice Kennedy Shriver National Institute of Child
17 Health and Human Development, National Institutes
18 of Health

19

20 Suzanne England, DNP, APRN, Great Plains Area
21 Women's Health Service, Great Plains Area Indian
22 Health Service, Office of Clinical and

1 Preventative Services

2

3 Dexter Willis, Special Assistant, Food and
4 Nutrition Service, U.S. Department of Agriculture

5

6 Lee Wilson, M.A., Director, Division of Healthy
7 Start and Perinatal Services, Maternal and Child
8 Health Bureau, HRSA

9

10

Committee Staff

11

Michael D. Warren, M.D., M.P.H., FAAP, Executive
12 Secretary, SACIM; Associate Administrator,

13

Maternal and Child Health Bureau, Health Resources
14 and Services Administration

15

16 Michelle Loh, Division of Healthy Start and
17 Perinatal Services, Maternal and Child Health

18

Bureau, Health Resources and Services

19

Administration

20

21 Vanessa Lee, M.P.H., Designated Federal Official,
22 SACIM; Maternal and Women's Health Branch,

23

Division of Healthy Start and Perinatal Services,

1 Maternal and Child Health Bureau, Health Resources
2 and Services Administration
3

C O N T E N T S

1

2

3 Committee Members-----2

4 Ex-Officio Members-----3

5 Committee Staff-----7

6 WELCOME AND CALL TO ORDER-----10

7 INTRODUCTIONS-----16

8 REVIEW AND APPROVAL OF MINUTES-----23

9 FEDERAL UPDATES-----26

10 BIRTH DEFECTS AND CONGENITAL ANOMALIES-----92

11 BREAK-----151

12 STATE AND COMMUNITY PROJECTS ON MATERNAL HEALTH-----152

13 SUMMARY OF THE DAY-----207

14

15

1 P R O C E E D I N G S

2

3

WELCOME AND CALL TO ORDER

4

VANESSA LEE: All right. Good
5 afternoon everyone, and good morning to those
6 further West. I'd like to open this meeting,
7 which is for the Advisory Committee on Infant and
8 Maternal Mortality, formerly called the Advisory
9 Committee on Infant Mortality. I'm Vanessa Lee.
10 I serve as the Designated Federal Official or DFO
11 for the Committee out of HRSA's Maternal and Child
12 Bureau.

13

I'd like to welcome our committee
14 members, our ex-officio members, and all of our
15 invited speakers, panelists, and last but not
16 least, welcome those of you that are joining us as
17 members of the public. Thank you all so much for
18 being here. We know this is a really busy time
19 but are looking forward to the next two days
20 together.

21

So, I'm officially calling the meeting to
22 order and would now like to turn it over to our
23 acting chair to introduce himself. Ed.

24

EDWARD EHLINGER: Thank you, Vanessa, and

1 good morning and afternoon to everyone. I always
2 look forward to this meeting for a whole variety
3 of reasons. It's been, like I said, this is our
4 fourth December meeting and it's been two years
5 since our last in-person meeting when we met in
6 person in December of 2019, although I wasn't
7 there, I was having a little medical problem in
8 the DC area and Paul Jared stepped in and covered
9 for me, but that was our last in-person meeting
10 and I miss being together with you folks.

11 But like I said, really, I really always
12 appreciate this meeting, because we come together
13 from, you know, varied backgrounds and experiences
14 and perspectives, all very interesting and we come
15 together from various many places throughout the
16 country and sometimes even France when Jeanne is
17 over there.

18 But I come to you today from Minnesota,
19 the land once inhabited by the Dakota and the
20 Ojibwe peoples. A place whose health where, for
21 better or for worse, is influenced by the history
22 of the people who have lived in this place and

1 have made it their home for many, many centuries.

2 So, we also come together as part of a
3 continuing history of SACIM, and I'll -- even
4 though it's ACIMM officially, I'll continue to
5 call it SACIM. That just seems to fit better for
6 me. SACIM, which was established in 1991, thirty
7 years ago. This year is also the 30th anniversary
8 of the Healthy Start Program, which was
9 established to reduce infant mortality rates by 50
10 percent over a four-year period. Didn't quite
11 make that, but that was the goal.

12 A month ago, I was asked, along with
13 Vanessa Lee and Belinda Pettiford, to talk about
14 SACIM at the Healthy Start 30th Anniversary
15 Celebration. In preparing for that presentation,
16 I recall the initial discussions in 1985 around a
17 bill, Senate File 1209, to establish a national
18 commission to prevent infant mortality. A hearing
19 on that bill was chaired by Senator Dave
20 Durenberger from Minnesota and Senator Lawton
21 Chiles from Florida, and it was held in Washington
22 DC. And for some of you who have been around for

1 a while, there were some familiar names who
2 testified at that hearing: James Mason, Vince
3 Hutchins, who was MCHB Director or Chief at that
4 time, Joel Klineman, Jim Marks, Sarah Rosenbaum,
5 Stanley Graven, Charlie Mahan, and Sarah Brown.
6 Also on that list were Paul Wise and me. Both of
7 us were testifying before Congress for the first
8 time. It was in Paul's testimony that I heard for
9 the first time, the term social determinants of
10 infant health and reviewing his testimony thirty-
11 six later was somewhat distressing in that what he
12 said then is still relevant today. Let me give
13 you just five excerpts from his testimony.

14 He said, "The problems of low-birth-
15 weight infants and infant mortality are not the
16 product of geography. They are not the problem of
17 being a heterogeneous society. They are a product
18 of our policies."

19 He went on, "A major concern is the
20 persistence of social and racial disparities, the
21 technological capacity of modern medicine cannot
22 erase the legacy or larger social inequities.

1 Equity in infant outcomes can only be achieved
2 when inequity and infant outcomes is addressed and
3 addressed directly and the reduction in black
4 infant mortality related directly to a more
5 fundamental commitment to a more responsible and
6 just society." He was sort of reflecting many of
7 the things that we talked about in our committee
8 meetings over the last three years.

9 My statement at that testimony was much
10 less eloquent than Paul's but reinforced many of
11 the points that he made. I ended up my testimony
12 by saying, "The problem of infant mortality in
13 this country is an issue of social justice. The
14 survival and growth of infants and children
15 depends on our acceptance of the responsibility to
16 address their pressing medical and social needs.
17 To ignore that responsibility would be an
18 injustice not only to our children but to our
19 society. We need to make a commitment to the
20 children of our country. We need to make them our
21 highest priority and protect them from the
22 political, social, and economic forces that

1 pervade our society and too often are to the
2 detriment of mothers and infants," and I still
3 hold that belief today.

4 So, in light of that thirty-six-year
5 history, after which the issues affecting infant
6 mortality in 1985 still persist today and in many
7 areas have even gotten worse, we could get
8 discouraged. But I think collectively, as members
9 of SACIM, in our own limited way, we have made a
10 difference. We have helped change the trajectory
11 of the field of maternal and infant health ever so
12 slightly so that thirty-six years from today,
13 things will be different than they are today.

14 We have heeded the 1985 advice of Paul
15 Wise and expanded the focus and shifted the
16 priorities from programs to policies, from just
17 medical care to medical care and social
18 determinants of health, from race to racism, and
19 we have helped highlight the needs of pregnant
20 individuals and infants during a once in a
21 lifetime pandemic and a humanitarian crisis on our
22 southern border, and we are addressing the needs

1 of indigenous women and children, a group too
2 often overlooked, all with equity, as our North
3 Star.

4 I think we've done remarkable work and we
5 continue to do this, and we have, for many of us
6 only three meetings left as members of this
7 committee. So, I urge all of us to make the most
8 of these last three meetings and create some
9 momentum that we can hand off to the next cohort
10 of SACIM members.

11 So, let's recommit ourselves to finding a
12 different, and I hope, better way to make the
13 necessary changes in our society that protect
14 mothers and infants.

15
16

INTRODUCTIONS

17 Now, I had anticipated that we would have
18 many more members on our meeting that we could
19 introduce, and we were going to take a longer
20 time. But today we just have us, and Paul Wise is
21 not here because he's actually dealing with an
22 Omicron problem on the border. So, he will be
23 coming tomorrow.

1 So, I won't do an expanded introductory
2 time, but we will do a much shorter one. So,
3 let's go around and introduce ourselves. So,
4 let's have regular members introduce themselves
5 and we'll start on my list up here as I see on my
6 screen. Tara, go ahead and introduce yourself.

7 TARA SANDER LEE: Good morning. My name
8 is Tara Sandra Lee. I'm the senior fellow and
9 director of Life Sciences at the Charlotte Lozier
10 Institute, which is in located in Arlington,
11 Virginia. But today I am in Milwaukee, Wisconsin
12 or just west of Milwaukee, Wisconsin. So, I'm
13 really happy to be here and excited for the next
14 couple of days.

15 EDWARD EHLINGER: Good. Jeanne Conry.

16 JEANNE CONRY: Good day to everybody.
17 This is Jeanne Conry from California, often from
18 Paris. I am past president of the American
19 College of Obstetricians and Gynecologists and I'm
20 President of the International Federation of
21 Gynecology and Obstetrics. Thank you.

22 EDWARD EHLINGER: Good. Steve Calvin.

1 STEVEN CALVIN: Hi, Steve Calvin,
2 Minneapolis, Minnesota. I'm a maternal fetal
3 medicine specialist and I am currently working
4 with midwives to promote midwife-led primary
5 maternity care integrated with the larger health
6 system.

7 EDWARD EHLINGER: Great. Magda Peck.

8 MAGDA PECK: Well, good morning,
9 everyone. My name is Magda Peck and I'm an
10 independent consultant with MP3 Health and
11 maternal and child specialist. My academic
12 affiliation is as professor of Pediatrics and
13 Public Health at the University of Nebraska
14 Medical Center in Omaha, Nebraska. I continue to
15 serve as senior advisor of City Match, the
16 national organization dedicated to urban women,
17 children, families, and fathers' health, where I
18 founded it as well thirty-three years ago, so part
19 of that initial cohort along with Healthy Start
20 and many other things from that initiative that
21 started thirty-plus years ago, for which we are
22 still doing due diligence.

1 And this morning I woke in Richmond,
2 California on the banks of the San Francisco Bay,
3 ancestral lands of the Ohlone and
4 Muwekma peoples, to whom I give homage and
5 appreciation.

6 Thank you, Ed. Glad to be here today.

7 EDWARD EHLINGER: Okay. Janelle
8 Palacios.

9 JANELLE PALACIOS: Good morning,
10 everyone. I'm Janelle Palacios. I'm a nurse
11 midwife and I'm also a researcher and an
12 independent consultant. I am currently in Sonoma
13 County, which is the home to the Costanoan,
14 Muwekma, and Pomo people, who are still here
15 today. And I am looking forward to December's
16 meeting with a lot of gusto. Thank you.

17 EDWARD EHLINGER: Good. Belinda
18 Pettiford.

19 BELINDA PETTIFORD: Good morning,
20 everyone. Well, it may be morning depending on
21 where you are. Hello, everyone. I am Belinda
22 Pettiford, and I am in North Carolina where I'm

1 here as head of Women's Health for our State Title
2 V. It is so good to see everyone. I am also the
3 president elect of AMCHP and, as Ed talked about,
4 Healthy Start, I am a board member of the National
5 Healthy Start Association. So, I do look forward
6 to being with everyone today and I always enjoy
7 our meetings. Thanks.

8 EDWARD EHLINGER: Great. Paul Jarris.

9 PAUL JARRIS: Hi. Paul Jarris. I'm
10 currently working in the area of the interface
11 between nature and human health. I'm a retired
12 family physician, also a public health official.
13 I was Commissioner of Health in Vermont, lead
14 ASTHO, Association of State and Territory Health
15 Officials, for ten years. and most recently was
16 with MITRE Corporation leading as their chief
17 medical advisor to their work with HHS. So, happy
18 to be here.

19 EDWARD EHLINGER: Yeah. I can't even
20 remember what ASTHO stands for anymore, you've
21 been out for too long.

22 PAUL JARRIS: I know.

1 EDWARD EHLINGER: Colleen Malloy.

2 COLLEEN MALLOY: Yes, hi. My name is
3 Colleen Malloy. I am a neonatologist and
4 pediatrician at Feinberg University, Northwestern
5 University School of Medicine and Lurie Children's
6 Hospital in Chicago, and I look forward to this
7 meeting.

8 EDWARD EHLINGER: Great. And, as I said,
9 Paul Wise it won't be here today, but he'll be
10 here tomorrow. Let's do the MCHB staff. Vanessa,
11 do you want to quickly introduce yourself again?

12 VANESSA LEE: Sure. Hello again,
13 everyone. I'm Vanessa Lee. I work at HRSA's
14 Maternal and Child Health Bureau in the Division
15 of Healthy Start and Perinatal Services, and I
16 have the privilege of serving as the Designated
17 Federal Official for this committee and a project
18 officer in the Bureau.

19 EDWARD EHLINGER: And Dr. Warren.

20 MICHAEL WARREN: Good morning or good
21 afternoon. Michael Warren, I'm the associate
22 administrator of the Maternal and Child Health

1 Bureau here at HRSA and an ex-officio member of
2 ACIMM.

3 EDWARD EHLINGER: Good. And Lee Wilson.

4 LEE WILSON: Good morning/afternoon,
5 folks. Lee Wilson. I'm the director of the
6 Division of Healthy Start and Perinatal Services,
7 which has responsibility for the administration of
8 the committee, and I'm very happy to be here with
9 you and looking forward to the two days.

10 EDWARD EHLINGER: Yeah, good. And
11 Michelle Loh.

12 MICHELLE LOH: Good afternoon and good
13 morning. I am Michelle Loh. I'm the management
14 analyst for the SACIM.

15 EDWARD EHLINGER: All right, good. And I
16 know we have a bunch of ex-officio members on and
17 let me see if I can -- can I -- Danielle Ely.

18 DANIELLE ELY: Hi. I'm Danielle Ely. I
19 work on the link file with the National Center for
20 Health Statistics. So, link file is the birth and
21 infant death file combined. Thanks.

22 EDWARD EHLINGER: Kristen Zycherman.

1 KRISTEN ZYCHERMAN: Hi. I'm Kristen
2 Zycherman. I am the acting technical director of
3 Quality Improvement Centers for Medicare and
4 Medicaid Services and the lead on the Maternal and
5 Infant Health Initiative.

6 EDWARD EHLINGER: Great. Any other ex-
7 officio members that I can't see on my screen or
8 on my scroll? All right. Well, I hope we get
9 some more as the meeting progresses. And we have
10 some other speakers who will be introduced as they
11 do some presentations a little bit later on. So,
12 welcome all. I'm glad you are here, and I hope
13 you are ready for four hours with one break in
14 between of good discussion and deliberation.

15

16 **REVIEW AND APPROVAL OF MINUTES**

17 EDWARD EHLINGER: Let's now move to
18 reviewing the minutes of our meeting, one of our
19 official businesses that came in briefing book.
20 Any -- does anybody want to move approval of the
21 minutes before we talk about them?

22 PAUL JARRIS: So moved.

23 EDWARD EHLINGER: All right. And is

1 there a second to that?

2 MAGDA PECK: Second.

3 EDWARD EHLINGER: We've got a second.

4 Any -- any comments on the -- the minutes?

5 MAGDA PECK: This is Magda. I just want
6 to reflect that we tend to take for granted the
7 staffing that comes with this particular endeavor,
8 and it has been very helpful to have this
9 documentation, especially in trying to get
10 perspective on our very first meeting, especially
11 conversations with you, Dr Warren. So, I just
12 want to say thank you to the staff that make this
13 happen, and the level of detail gives us a thread
14 to follow through and a chance to circle back, so
15 gratitude.

16 EDWARD EHLINGER: Yeah, I second that
17 because I've been going through the minutes, and
18 they've been very helpful to me, as comprehensive
19 as they are. Any other comments? If not, all in
20 favor say aye or raise your hand.

21 [CHORUS OF AYES.]

22 EDWARD EHLINGER: Any opposed? All

1 right. The meeting's -- the minutes are approved.

2 So, the next on our agenda is Federal
3 Updates. And normally, we have MCHB kind of go
4 first and do their updates. But we just heard
5 from Dr Warren in September, and we have a couple
6 of other updates that we would like to get to, so
7 we're going to not hear from Michael -- Dr. Warren
8 at this time for the MCH updates, although I do
9 suspect that, during the course of our meeting, we
10 will probably get some input from him or Lee or
11 Vanessa on what's going on in the MCHB.

12 But one of the things that that I've
13 asked him and they couldn't quite do this at this
14 time, and I hope will, and what I hope will in
15 what I hope will be our April meeting, kind of
16 give us an update on the response of MCHB and
17 other federal agencies on our recommendations that
18 came out during the summer so that we will get a
19 more complete review of some of the -- the action
20 taken on the recommendations that we made. So, in
21 lieu of that though we do have two federal
22 representatives, Kristen Zycherman, ex-officio

1 member. She's acting technical director for
2 Quality Improvement, Maternal Infant Health
3 Initiative, Center for Medicare and CHIP Services,
4 Centers for Medicare and Medicaid Services.
5 That's hard to get on a business card, Kristen,
6 but I'm glad you're here. So, let's -- I look
7 forward to your update about what's going on in
8 your shop.

9

10

FEDERAL UPDATES

11

KRISTEN ZYCHERMAN: Thank you so much.

12

We can move on to the next slide. I'll try to

13

keep it moving here, since I know we want time for

14

all the presentations and questions. So, just an

15

overview of what I'm going to talk about today.

16

Next slide. Next slide.

17

Okay, so the Maternal and Infant Health

18

Initiative was launched initially in 2014 based on

19

recommendations by an expert panel within the

20

field of maternal and infant health. Five years

21

into the MIHI, we were ready to take stock on

22

where we were, what progress has been made,

23

whether there was still room for improvement. So,

1 we reconvened an expert work group to provide
2 updated recommendations on where Medicaid and CHIP
3 have a significant opportunity to influence
4 change.

5 So, today, the MIHI is focused on three
6 areas, based on recommendations by the work group,
7 including an increase in use and quality -- sorry
8 -- increase of the use and quality of postpartum
9 care visit, an increase in the use and quality of
10 infant well-child visits, and a decrease in the
11 rate of low-risk cesarean deliveries. Next slide,
12 please.

13 So, this figure shows the
14 interconnectedness of these three areas and the
15 emphasis that the work group wanted to put on the
16 importance of both the mother-infant dyad care, as
17 well as care throughout the life course of both
18 the birthing person and the infant. Next slide,
19 please.

20 So, obviously equity is a big focus in
21 maternal health outcome. Currently, we are
22 looking at three to up to five times the death

1 rate in Black and American Indian/Alaska Native
2 birthing persons based on other demographic
3 factors, but it is up to five times the rate of
4 maternal mortality. So, it is something that
5 we're focusing on across all three of our MIHI
6 focus areas. Next slide, please.

7 And this slide shows the importance of
8 that postpartum period, and that over half of
9 deaths happen in the postpartum period with a
10 third happening after a week postpartum, so
11 usually after that initial discharge and almost 12
12 percent occurring in the -- what we would consider
13 the late postpartum period or after 42 days
14 postpartum. Next slide, please. Next slide.
15 Next slide.

16 So, there are special considerations for
17 our Medicaid and CHIP population, especially in
18 regard to equity and postpartum care and these are
19 statistics that were based on studies,
20 particularly done with women, which is why it
21 refers to women, but likely translates to all
22 birthing individuals. And so, women enrolled in

1 Medicaid are more likely to be overweight or obese
2 and have comorbidities compared with both
3 uninsured and privately insured women.

4 Studies have shown that women who are
5 Black and Hispanic and individuals that have a low
6 level of education and those with co-existing
7 morbidities such as mental health conditions have
8 lower rates of this postpartum follow-up care and
9 despite lower or higher rates of postpartum
10 depression, people of color tend to have a lower
11 screening rate for postpartum depression. Next
12 slide, please.

13 So, this is the most recent core set
14 reporting data from our Medicaid and CHIP adult
15 core set and it -- it shows that around 72 percent
16 of women delivering a live birth had a postpartum
17 care visit this year. The -- the technical
18 specifications for this measure had changed to
19 expand it from seven days to eighty-four days
20 after delivery. So, that's why it's gone up --
21 the median has gone up a little from previous
22 reporting years, so not totally trendable;

1 however, there's still a lot of room for
2 improvement there. Next slide.

3 And you can see on this slide that the
4 yellow states are our lowest performing core
5 states, while the gray states do not report on
6 this measure. So, we're continuing to also work
7 with states that aren't reporting this measure to
8 encourage reporting. Next slide, please.

9 Along with the increase in attention to
10 maternal care and postpartum care, there's also
11 this evolving concept of postpartum care where
12 ACOG recommendations recommends that all birthing
13 people have contact with their health care
14 provider within three weeks, and also a
15 comprehensive postpartum visit, and that plays
16 into the quality of the postpartum visit and the
17 expansion of the scope that it's not just about
18 recovery from childbirth anymore, but includes
19 also health, education, and infant care and
20 feeding, and contraceptive care, as well as
21 chronic disease screening and management. So, to
22 look for these conditions that contribute to

1 maternal morbidity and mortality post-birth and to
2 connect them with the proper care.

3 We also understand that the systemic
4 inequities are contributing in this -- in this
5 period to the care that birthing people receive
6 and so also addressing that. Next slide, please.

7 So, part of the MIHI, our MIHI, our first
8 learning collaborative as part of this new phase
9 of MIHI that launched in December 2020 was the
10 Postpartum Care Learning Collaborative. So, here
11 we had a three-part webinar series, which can all
12 be found -- the recordings of the webinars can be
13 found on medicaid.gov and currently, we have an
14 ongoing Postpartum Care Affinity Group, where
15 we're working with nine states on providing
16 targeted technical assistance, one-on-one as well
17 as group learning work group sessions to improve
18 their rates and quality of postpartum care in
19 their state. Next slide, please.

20 Our next learning collaborative is the
21 Infant Well-Child Visits Learning Collaborative,
22 which, as you all know, the benefits of high

1 quality well child visits do all these things.

2 So, I won't read the slide. Next slide, please.

3 Our recent core set reporting on this

4 measure showed a median of 66 percent of children

5 receiving six or more well-child visits in the

6 first fifteen months of life where the American

7 Academy of Pediatrics and Bright Futures

8 recommends at least nine well-child visits by the

9 time a child turns 15 months of age. So, this

10 isn't even meeting the best standard of care

11 recommendations and only 66 percent of children

12 are receiving those. So, we know that there is a

13 large room for improvement in this metric as well.

14 Next slide.

15 With the yellow states being the lowest

16 performing core in this measure. Next slide,

17 please.

18 And we know that Medicaid and CHIP

19 beneficiaries are receiving these services at a

20 much lower rate than other payors. So, that's

21 what this -- with the lowest black line being

22 Medicaid and CHIP and the highest being PPO,

1 private insurance, and HMO. Next slide.

2 And then, in addition, we know that the
3 COVID-19 public health emergency led to forgone
4 care with children missing a lot of these
5 recommended visits during that time period and
6 continuing, although it is catching up some at
7 this point. Next slide, please.

8 So, the Infant Well-Child Visit Learning
9 Collaborative, we a had similar webinar series
10 with recording available at Medicaid.gov and we
11 are currently in the -- in the middle of our
12 Affinity Group providing TA to eight states to
13 improve their well-child visit rate and quality.
14 Next slide, please.

15 And then, our final MIHI learning
16 collaborative of our final area focus hopes to be
17 launching in the beginning of 2022 is lowering the
18 rate of low-risk cesarean delivery or NTSV
19 cesarean delivery to improve health outcomes,
20 since it is a factor associated with the rising
21 maternal morbidity, as well as infant
22 complications as well. Next slide.

1 So, CMS has not been able to publicly
2 report a lower cesarean birth metric. While it is
3 one that is on the core set, it has never met the
4 threshold of twenty-five states to be able to be
5 reported publicly. So, for the 2021 measurement
6 year, the -- we have replaced PC-O2, which was the
7 previous cesarean birth measure, with LRCD, which
8 is a low-risk cesarean delivery measure based on
9 CDC natality data. So, CMS will actually
10 calculate this measure on behalf of all of the
11 states so we will have data from all states, and
12 we will access that through CDC WONDER. So, that
13 will be reported with the next cycle of core set
14 reporting. So, we will finally be able to
15 publicly report on a low-risk cesarean delivery
16 measure. Next slide.

17 And this is a little bit more about the
18 collaborative and more information on that will be
19 forthcoming, as we schedule the webinars and have
20 more information on the Affinity Group. Next
21 slide. Next slide.

22 We also have a -- coming in early 2022 --

1 a Tobacco Cessation for Pregnant and Postpartum
2 Women on-demand series of short videos featuring
3 subject matter experts and stories on successful
4 programs to help Medicaid and CHIP beneficiaries
5 quit smoking and remain smoke-free during
6 pregnancy and after delivery, and there will be an
7 opportunity for continued one-on-one coaching on
8 an as-needed basis for that one. Next slide,
9 please.

10 And at CMS, we have a lot of other
11 maternal and infant health activities. It's a
12 major priority of this administration and our
13 administrator. So, you may have seen that the
14 postpartum coverage extension guidance, a SHO
15 letter came out this past Tuesday the 8th, on
16 guidance for states on the implementation of the
17 new spot option to be able to extend postpartum
18 coverage and Medicaid and CHIP for twelve months
19 post-delivery. In addition to that, we also have
20 approved demonstration -- Section 1115
21 demonstration waivers for a number of states
22 extending postpartum care coverage as well. I

1 included a little more information on the
2 maternity core set. This says that we have six
3 measures from CMS's child core set and four
4 measures from the adult core set, which is the
5 most current information with the newest core set
6 just was released yesterday, I believe, maybe
7 Friday. Next slide, please.

8 CMS also conducted an Equity Assessment
9 focused on postpartum care, and it is -- and that
10 is where we also identified the need and the
11 strong disparities with and care for Black and
12 American Indian/Alaska Native postpartum
13 individuals and that led to a challenge.gov prize
14 competition that we have partnered with the Office
15 of Women's Health to put out. So, I just want to
16 flag that -- that we are trying gather examples of
17 innovative programs or ideas that have
18 demonstrated improvement of care in this
19 population and the deadline for that challenge
20 submission is January 31st. Next slide, please.

21 We also have a Maternal Health Agency
22 Priority Goal of improving maternal health and

1 reducing disparities nationwide and globally by
2 assuring the equitable provision of evidence-
3 based, high-quality care addressing social
4 determinants of health including racism,
5 discrimination, and other biases across the life
6 course. So, I thought that that was good to share
7 with this group, as it is in line with actions
8 here as well. And then I included the Maternal
9 Health Action Plan from the Department of Health
10 and Human Services that fit with goals of reducing
11 the maternal mortality rate by 50 percent in five
12 years, reducing the lower c-section rate by 25
13 percent in five years, which we hope our Low-Risk
14 Cesarean Delivery Learning Collaborative will help
15 contribute to, as well as achieving blood pressure
16 control in 80 percent of women of reproductive age
17 with hypertension in five years. Next slide,
18 please.

19 I just included a list of resources. I
20 know that we can't -- that you can't click them
21 directly from here, but when the slides are posted
22 you'll be able to easily get to those web pages.

1 So, thank you.

2 EDWARD EHLINGER: All right. Thank you,
3 Kristen. Let's open it up for a few minutes of
4 questions and Kristen, I hope you can stick around
5 after the presentation for the Office of Women's
6 Health, because I think there will be some
7 discussions about the White House Maternal Health
8 Day of Action, and I'd like to have both of you
9 and have that conversation. But if there are
10 members of the committee, if you could use the
11 raise the hand feature and -- and I think -- let
12 me just see, we've got Paul Jarris.

13 PAUL JARRIS: Thank you, Ed, and thank
14 you for the presentation, I appreciate it,
15 particularly the potential to extend postpartum
16 care for a year after delivery. I think that's
17 incredibly important.

18 A couple of concerns. One is that I saw
19 from the initial tasking of your work you did use,
20 I think, the term effective use of contraception
21 was in there, and that was dropped over time and,
22 in particular, I'm concerned about the either

1 immediate postpartum or postpartum contraception
2 to impact birth spacing, which is so important.
3 And I know that when I used to oversee some of the
4 insurance aspects of Medicaid, there were
5 providers who actually after doing a c-section on
6 their private patients, would do a tubal ligation
7 while they were doing the surgery. On their
8 Medicaid patients, they wouldn't, and they'd
9 scheduled them back later so they could actually
10 bill and collect better funding from Medicaid.
11 So, there were particular problems with Medicaid
12 around immediate postpartum and postpartum
13 contraception. So, I'm wondering, you know, why
14 that got dropped and if it is going to be a focus,
15 because it's kind of buried if it is in there
16 still.

17 KRISTEN ZYCHERMAN: While it is -- oh,
18 I'm sorry. You can keep going.

19 PAUL JARRIS: Well, I'm -- I'm going to
20 make this a two-for and you decide what you want
21 to do. The other thing I was concerned about is a
22 lot of your explanations of maternal mortality

1 were very clinical and medical, and I didn't see
2 things like partner violence in there, drug
3 overdose, and other things that fall outside the
4 medical environment, but are actually much more
5 impactful often with maternal morbidity and
6 mortality than the classic health concerns. So,
7 pick your pick.

8 KRISTEN ZYCHERMAN: Sure. I'll start
9 with contraception. So, that was a focus in the
10 original phase of MIHI and what came out of that
11 was contraceptive care measures. And so, now we
12 have two contraceptive care measures split into
13 kind of four measures on our adult and children --
14 child core set. One contraceptive care measure
15 for all women and one contraceptive care measure
16 for postpartum women, and those are for the
17 further split into LARCs. Where contraceptive
18 care postpartum got wrapped into was kind of our
19 comprehensive quality postpartum care.

20 So, one thing that we have been working
21 with states a lot on is the unbundling of
22 postpartum LARCs, because that was a real barrier

1 was the Medicaid separating out Medicaid payment
2 for LARCs given postpartum, that it wasn't wrapped
3 into the delivery and hospitalization fees. So,
4 that's something that we continue to work on as
5 well as exploring options for future measures
6 related to a patient-centered contraceptive
7 counseling and such.

8 PAUL JARRIS: Thank you.

9 KRISTEN ZYCHERMAN: Then, I'm sorry, the
10 second question was on --

11 PAUL JARRIS: Well, all the other factors
12 affecting maternal morbidity and mortality outside
13 of the clinical conditions, which often are more
14 impactful.

15 KRISTEN ZYCHERMAN: Right. A lot of our
16 data comes from the CDC definition of maternal --
17 of severe maternal morbidity, which is those 21
18 codes better largely clinical based; however, as
19 we work with states on this, a lot of states are
20 starting to calculate their own severe maternal
21 morbidity rates and then are including codes
22 related to behavioral health and other things.

1 Intimate-partner violence gets into a little bit
2 of like the pregnancy-associated versus pregnancy-
3 related death. But it is something that is also -
4 - we discuss as part of quality postpartum care is
5 the screening and -- and referral for those types
6 of situations as well. So, it is something
7 covered under postpartum care as well and is
8 something we are considering, especially like the
9 behavioral health and SUD side of things as
10 conditions of maternal morbidity and leading
11 potentially to mortality that are being addressed.

12 PAUL JARRIS: Thank you.

13 EDWARD EHLINGER: Let's -- well, we have
14 time for three more questions. We have Jeanne
15 Conry, Steve Calvin, and Magda Peck in that order.
16 Jeanne.

17 JEANNE CONRY: Thank you so much and
18 Kristen, great summary and a lot of information.
19 I appreciate it very much. I did want to make one
20 comment about the postpartum tubal ligations that
21 there are, rightfully so, some barriers or
22 protections, depending on how you look at it, with

1 the, you know, the -- the waiting period that more
2 often than not influences the ability to do a
3 tubal ligation at the same time as a cesarean
4 section, the mandatory wait for the Medicaid
5 patients was put there to protect them, but in the
6 end, it can also be a barrier, depending on how
7 you're looking at it. So, just for us to all be
8 cognizant of that.

9 The second comment has to do with one of
10 the things that we looked at, and I believe it was
11 a -- gosh -- a meeting about five years ago that
12 looked at measuring the health of women as they
13 can -- as they began pregnancy as an indirect
14 reflection of preconception health, if you will,
15 because too often our measurements are not gender-
16 based and they're not reproductive health-based.
17 So, you know, for example, the -- the measurements
18 for hypertension control are not reflective of
19 what ideal hypertension control can be. So, I
20 would say that we're strong advocates for making
21 sure that we've got some gender-based and
22 reproductive health background-based measurements,

1 and we hold health plans accountable to those
2 kinds of things. Postpartum, excuse me,
3 preconception care is a very difficult measurement
4 but the control of woman's blood sugar before she
5 -- at her first prenatal visit is the blood
6 pressure regulation at her first OB visit is her
7 weight, her use of tobacco, her use of a prenatal
8 vitamin. All of those are reflections -- indirect
9 reflections of preconception health and can be
10 used as a measurement of adequate care. So, thank
11 you.

12 KRISTEN ZYCHERMAN: We -- we totally
13 agree with you. We believe that, you know,
14 healthy individuals become healthy pregnant
15 individuals. So, definitely that preconception
16 period, and while we can't at CMS necessarily
17 tackle that before time if there's not for
18 coverage yet. We're hoping -- our hope is that
19 that extension of postpartum care will put people
20 in a better -- a better health status before going
21 into subsequent pregnancies. So, it's not ideal,
22 but it's something.

1 JEANNE CONRY: And I will add, I will add
2 Women's Preventive Services Initiative just
3 updated our contraceptive guidelines. So, those
4 will be posted very shortly. So, I'm very happy
5 to say WPSI is addressing contraception access for
6 all.

7 KRISTEN ZYCHERMAN: Great.

8 JEANNE CONRY: Within the Affordable Care
9 Act. Thank you.

10 EDWARD EHLINGER: All right, Steve.

11 STEVEN CALVIN: Yeah. Kristen, thanks
12 for the report. I have a quick question. So,
13 looking at, Minnesota keeps -- is not in the list
14 of states that is actually participating in. Is -
15 - is that an issue of kind of the executive branch
16 in the state or legislative? I mean, where, just
17 in general, what -- what advice would you give
18 those in states that are currently lagging behind,
19 both in participation and in outcomes?

20 KRISTEN ZYCHERMAN: I Think it -- it
21 depends on state -- state to state why -- why they
22 don't participate. We tried to outreach,

1 especially to our lower-performing states, and see
2 what we can do to try to work with them to help
3 them be involved in some of these technical
4 assistance opportunities. But sometimes it's a
5 bandwidth issue, sometimes it's a priority issue.
6 Just states are stretched for resources and people
7 and so, sometimes they have to pick and choose
8 what they participate in and how. But we
9 definitely try to -- and that's why we're trying
10 to roll out like, for example, the tobacco
11 cessation on-demand short videos to be able to
12 offer some of those technical assistance
13 opportunities to states I can't commit to a full
14 Affinity Group or we have our webinar series that
15 can be watched at any time after the fact, and we
16 have a Medicaid and CHIP Quality Improvement
17 Mailbox that -- that states can reach out to at
18 any time if they need some additional assistance.

19 STEVE CALVIN: Okay. Well, our hour-long
20 thing later today too with the folks from New
21 Jersey and California, I think that's the State
22 and Community Projects on Maternal Health that I

1 think people will be interested in what those
2 folks have to say. Thank you.

3 EDWARD EHLINGER: Magda.

4 MAGDA PECK: Thank you so much for the
5 presentation. I have the privilege of co-leading
6 the Data and Research To Action Work Group, so I'm
7 going to put on the data hat and I am also going
8 to harken back to the notion that we seem to have
9 a theme here that we've been doing, at least this
10 iteration, for at least a third of a century, if
11 not a full century, if not longer.

12 So, I'm perplexed and help me understand
13 the gaps in the data within CMS that you're
14 looking at. You have a threshold of twenty-five
15 states in order for something to be reported. Is
16 that correct? That's what I understood and yet I
17 look at your --

18 KRISTEN ZYCHERMAN: To report publicly.

19 MAGDA PECK: To report publicly. Thank
20 you for that distinction. I'm just noticing the -
21 - the gap in geography between where we get data
22 and which states are participating in terms of

1 Medicaid expansion and otherwise, and so, I wanted
2 to know what are the incentives for states to
3 participate in reporting so that we can have a
4 more robust picture nationally? Is it a lack of
5 capacity? Is it a lack of political will? Is it
6 -- so, I'm trying to get a sense of why are we not
7 hearing now in 20-almost-22 about basic reporting,
8 particularly when the carrot is that you are
9 funding these states in some way.

10 KRISTEN ZYCHERMAN: Um-hum.

11 MAGDA PECK: So, I'm looking at the
12 correlation about who reports and who doesn't, and
13 it tends to be states where, in fact, the outcomes
14 may not be as good, and I am aware that at least
15 in a couple of states, the governor's office will
16 say we will not allow that to be reported public
17 because it will make us look bad. And so, how do
18 you wrestle with these data gaps that are there
19 now thirty years into a program? And what, with
20 Medicaid expansion, are you building in if that
21 initiative goes forward with the Build Back Better
22 funding if it is passed and more broadly, how do

1 you bring some sticks to the carrots, so we have
2 data we can rely on, that we can disaggregate by
3 race and ethnicity, that we can include birthing
4 peoples? Help us figure out how to make the data
5 stronger so that we can have more confidence in it
6 and use it better.

7 KRISTEN ZYCHERMAN: Right. I totally
8 hear you. We are limited someone by statute,
9 because it is a -- the Medicaid and CHIP core sets
10 are voluntary -- a voluntary reporting program by
11 states. So, the states aren't -- we cannot
12 require the states to report on this information.
13 However, there are -- but in 2024, the entire
14 child core set will become mandatory reporting.
15 So, any -- any of the measures on the child core
16 set will be required to be reported by states and
17 in 2024, any behavioral health measures on the
18 adult core set will be required to be reported by
19 states. So, that will be part of the maternity
20 core set will fall under that. Any of the child -
21 - any of the child measures and then, if any --
22 and currently we don't have a behavioral health --

1 any behavioral health measures on the maternity
2 core set; however, they are -- there are some that
3 are being -- that are being put forward to be put
4 on to the maternity core set. So, if that indeed
5 happens before 2024, then we -- those will be
6 required, as well.

7 We currently can't require the entire
8 adult core set, but we are -- we are hopeful that
9 eventually we will be able to. But that's --
10 that's the reason currently that we can't and we
11 are also doing our best to look at other data
12 sources like with CDC WONDER, and as we're able to
13 -- we're looking into drawing more out of our --
14 our own CMS data for -- to be able to calculate
15 measures on behalf of states, so that we can reach
16 that threshold in some of the lesser reported
17 measures in order to report publicly.

18 MAGDA PECK: That's great response.
19 Thank you for that, and I will just follow up by
20 saying we at the Secretary's Advisory Committee on
21 Infant and Maternal Mortality has the opportunity
22 to make recommendations and, which may or may not

1 have influence. But we can -- we cannot be
2 silenced. So, if this is an egregious gap,
3 recognizing that it has been a voluntary system,
4 and this is essential information for maternal and
5 infant indicators to be part of mandatory
6 reporting, perhaps we could have a follow-up
7 conversation of what you hope that might look
8 like, similarly --

9 KRISTEN ZYCHERMAN: Sure, and we have --
10 we have -- we have a process for annual core set -
11 - annual core set discussion, and anyone can
12 submit recommendations for measures for that
13 annual review work group. So, I would encourage
14 you to utilize that process as well to be -- if
15 they -- if this group has ideas for measures that
16 should be included.

17 MAGDA PECK: Thank you. That is the role
18 we were invited to play relative to the PRAMS data
19 set, and so we have expertise and experience in
20 doing so, and if we could have more specifics,
21 that would be an opportunity and, similarly, as
22 you talk about linkages, I will be talking

1 tomorrow about our linkage between health and
2 housing, and I would be curious as a quick follow-
3 up, what is the connection between CMS data sets
4 and those in other branches of government,
5 particularly around housing or say eviction? Do
6 you link those data sets or are they being linked
7 so that we can have a greater picture of upstream
8 factors?

9 KRISTEN ZYCHERMAN: Yeah, I'm not aware
10 of data linkages specifically with housing. We
11 are exploring data linkages with -- with CDC data
12 as well as HRSA data, but we are -- we are still
13 working through privacy red tape as well, so that
14 is definitely an area of interest and continued
15 exploration. But housing is a good point as well.

16 EDWARD EHLINGER: Thank you. Thank you,
17 Kristen. And if hang in there and we'll have --
18 open it up for discussion after our next presenter
19 also.

20 Margaret Snyder is going to be presenting
21 next from the Office of Women's Health. Dorothy
22 Fink lost her voice. She's got something going on

1 with her. So, she couldn't make it, so Margaret
2 is here. So, Margaret, maybe you could introduce
3 yourself a little bit more, because we don't have
4 your full criteria on the -- the agenda. So,
5 Margaret, I'll turn it over to you.

6 MARGARET SNYDER: Okay, great. Thanks.
7 Can everyone hear me okay?

8 EDWARD EHLINGER: I can, yes.

9 MARGARET SNYDER: Okay, great. Yeah, I
10 thought I'd go with a festive background. I see
11 Michael's got one as well. So, I'm in good
12 company.

13 So, my name is Margaret Snyder. I'm a
14 lead public health advisor in the Office on
15 Women's Health and the Office of the Assistant
16 Secretary for Health at HHS. I'm excited to brief
17 you on some OWH initiatives that were promoted
18 during last week's Maternal Health Day of Action.
19 Next slide, please.

20 So, I'll start with a couple of the
21 challenges that we have going on right now. So,
22 this first one is Racial Equity and Postpartum

1 Care Challenge and it's aimed at improving
2 postpartum care for Black or African American and
3 American Indian or Alaska Native low-income
4 beneficiaries enrolled in Medicaid or Children's
5 Health Insurance Program, and that's a partnership
6 with CMS. And the emphasis here is on follow-up
7 care for conditions associated with morbidity and
8 mortality in the later postpartum period including
9 conditions like diabetes, postpartum depression,
10 postpartum anxiety, hypertension, and substance
11 use disorders. We're in phase 1 of this
12 competition right now, aiming to identify
13 effective programs and increase access,
14 attendance, and quality of care for postpartum
15 visits for Black or African American and American
16 Indian/Alaska Native beneficiaries enrolled in
17 these programs.

18 Submissions for this phase are due
19 January 31st, and we encourage anyone interested
20 or with questions to E-mail
21 postpartumchallenge@hhs.gov. Next slide, please.

22 Great. So, our next challenge is on

1 Endocrine-Disrupting Chemicals and this national
2 competition is aiming to identify innovative
3 programs that address the negative impact of EDCs,
4 which can result in adverse health and
5 reproductive outcomes.

6 We're also in phase 1 of this challenge.
7 We're aiming to identify and fund programs that
8 demonstrate effectiveness, sustainability, and the
9 ability to replicate and/or expand interventions
10 that address gaps in knowledge and provide
11 actionable solutions to reduce EDC exposure for
12 Black or African American women.

13 You can see for both of these challenges,
14 we have a firm focus on health equity, which is
15 something our assistant secretary is particularly
16 concerned about now.

17 Submissions for this challenge are also
18 due January 31st. We welcome you to E-mail
19 EDCchallenge@hhs.gov with any questions and we
20 really encourage everyone here to share with your
21 networks. We'd like to award programs doing great
22 work on these important subjects and I'll add some

1 links in the chat here. We have a couple of
2 webinars coming up today for the Postpartum
3 Challenge and tomorrow for the EDC Challenge. So,
4 please share with folks that you think would be
5 interested in these challenges so we can get them
6 on board. Great. So, next slide, please.

7 Great. So, this next initiative, I'm
8 very excited about, it's a partnership with
9 Premier and MoMMA's Voices, and we're focusing on
10 improving maternal health data to advance health
11 equity and creating a network of over two hundred
12 hospitals to deploy evidence-based best practices
13 and maternity care, and I'll describe both of
14 these prongs, the health data side and the
15 collaborative side, in equal measure. Next slide,
16 please.

17 Okay. So, this is a multi-year
18 partnership with Premier and MoMMA's Voices. So,
19 we have the health improvement, healthcare
20 improvement company on one side and coalition of
21 patient advocates as our partners and with these
22 partners we're beginning a maternal health --

1 Maternal and Infant Health Initiative to address
2 maternal mortality and severe maternal morbidity
3 through better data and the idea that in order to
4 improve maternal health, we need better data about
5 women and babies.

6 So, for the data collection, analysis,
7 and reporting prong, this first section here,
8 we'll identify the key drivers of maternal and
9 infant mortality and morbidity, analyzing up to
10 date and ongoing data. We're very excited about
11 the timeliness of this data, and it describes the
12 relationship between maternal and infant
13 mortality. It's nationally representative from a
14 standardized inpatient data and hospital discharge
15 data platform. We'll go to the next slide.

16 I'll describe what that data will look
17 like. So, this data will be a national baseline
18 of maternal and infant outcomes from 2008 to
19 present. Like I said, it's ongoing data, so it
20 will be updated regularly. It will explore and
21 establish relationships between maternal and
22 infant mortality, explore associations with

1 important demographic variables, other conditions
2 like age, race, ethnicity, cesarean rate, co-
3 morbidities including obesity, hypertension, and
4 diabetes, as well as other contributing
5 conditions. We'll also look at cost analysis for
6 maternal and infant mortality and morbidity, the
7 cost analysis for maternal and infant mortality
8 and morbidity, the impact by payor, hospital
9 designation, and geographic region, and we'll
10 create maps of severe maternal morbidity and
11 mortality in the US to include racial, ethnic,
12 geographic, and other disparities. As you can
13 see, it's not a small amount of variables. Next
14 slide.

15 We're also looking at COVID-19 impacts
16 and how that impacts the outcomes for maternal --
17 the material delivery population and we already
18 have some results that we're seeing from the
19 COVID-19 pandemic period, which by this definition
20 is March to December 2020. So, looking at the
21 odds of in-hospital delivery-related mortality and
22 severe maternal morbidity, we can see some pretty

1 definite trends. So, for the overall odds of in-
2 hospital delivery- related deaths during this
3 period March to December 2020, the in-hospital
4 delivery-related deaths odds were not
5 statistically significantly higher compared to
6 previous years unless the patient had a COVID-19
7 diagnosis. Then, the odds of in-hospital
8 delivery-related death with the COVID-19 diagnosis
9 were nearly six times higher than those without a
10 diagnosis of COVID.

11 We see the same kind of trend for the
12 overall odds of severe maternal morbidity during
13 this period. Overall, SMM was 14 percent lower
14 than previous years, which is great news.
15 However, for patients with a COVID-19 diagnosis
16 was more than three times greater than those
17 without a COVID-19 diagnosis. So, you can see
18 that COVID is affecting the pregnant population.

19 Overall, there has been an 11 percent
20 annual decrease in odds of in-hospital death
21 compared to the previous year overall, and
22 prevalence rates of SMM also remain steady during

1 the period study. Next slide, please.

2 Okay. So, I'm excited to talk about our
3 Perinatal Collaborative, which is the second prong
4 of this two-pronged approach. So, we have the
5 data side and then this collaborative side where
6 we work directly with hospitals to implement and
7 analyze evidence-based interventions. And so, we
8 -- I'll talk a little bit about the goals in this
9 slide and then in the next slide, I'll talk about
10 how we're implementing those goals.

11 So, our goals are recruitment of at least
12 two hundred diverse birthing hospitals to join the
13 Perinatal Collaborative, clearly defining areas of
14 focus with overarching disparities considerations
15 and individualized hospital performance
16 improvement support to help drive the change,
17 analysis of the direct impact of evidence-based
18 interventions on maternal and infant outcomes,
19 measurements of the associations between maternal
20 health and infant outcomes, and evaluation and
21 analysis of the culture of safety in hospitals on
22 health outcomes. Next slide, please.

1 Great. So, on this slide, I'll talk
2 about how we're achieving those goals, and this is
3 a collaborative of hospitals and hospital systems.
4 Like I said, it's a multi-year initiative. So,
5 we're working with these hospitals and health
6 systems to create a culture and infrastructure to
7 ensure the health of women and newborns and
8 measuring outcomes, process, and implementation.

9 So, these strategies are aligning and
10 augmenting national and state strategies. So,
11 we're working with the strategies that are already
12 in place and aiming at three main measurable
13 deliverables, which are also tools provided to
14 hospitals.

15 So, these three main tools/deliverables
16 are the Perinatal Collaborative Outcome Dashboard,
17 Measurable High Reliability Site Assessment that
18 is both virtual site assessments and onsite
19 assessments, as well as an Individualized
20 Improvement Roadmap, and so we have quite a range
21 of tools that these hospitals have access to and
22 hospitals themselves are very intrigued and

1 excited to get these tools.

2 And we have to also mention our key
3 partnerships with MoMMA's Voices and the National
4 Birth Equity Collaborative. These are very
5 important because they ensure patient's voices are
6 heard, make sure the initiative is patient
7 centered, and that care is equitable for all.
8 Next slide, please.

9 This is a great map that shows the
10 locations of our hospitals, you know, broadly
11 speaking, and see in the little circles in the
12 states of the number of hospitals in that state.
13 And we have two hundred and twenty diverse US
14 hospitals in each of the fifty states and the
15 District of Columbia now. And in those hospitals,
16 we have over half a million births per year. So,
17 you can see we're not working with a small amount
18 of data. We've got a nationwide collaborative
19 here. Next slide, please.

20 Okay. So, here I'll talk just briefly
21 about our twenty-three maternal infant areas of
22 focus and these outcomes are linking mothers and

1 babies in outcome data. So, we can see the impact
2 of the health of the mother on the infant, and
3 this is kind of groundbreaking because we haven't
4 previously -- no one has previously analyzed this
5 kind of data at the national level. So, we're
6 excited to keep sharing this with you as we find
7 new information. But just to give an example what
8 this will look like, the first item on the list is
9 hypertensive disorders of pregnancy. So, we see
10 not just the effect on the woman, but the infant
11 as well. For example, if the woman has
12 preeclampsia, we would see the effect of that on
13 the infant. So, we can look at, you know, for
14 example, the effect on prematurity, on diabetes,
15 et cetera, et cetera.

16 And so, there are over one-hundred and
17 fifty outcome measures that we captured to
18 understand the clinical and non-clinical factors
19 that impact overall maternal health and infant
20 health outcomes. Next slide, please.

21 Okay. Just to sum up again, we have this
22 two-pronged approach focusing on the data and one

1 side, looking at data-driven insights, identifying
2 the drivers of maternal morbidity and mortality,
3 and the other side, we have the Perinatal
4 Improvement Collaborative, implementing solutions
5 and evidence-based care practices to make America
6 the safest place to have a baby, which is our
7 excellent tagline here in the White House and
8 we're excited to be a part of this overall
9 initiative. So, thank you everyone. I look
10 forward to questions and thanks.

11 EDWARD EHLINGER: Good. Thank you very
12 much, appreciate it. Just one little aside, I
13 would -- since I'm sort of involved in making sure
14 that we stay on time, I would like that the leads
15 from the three work groups to kind of keep track
16 of some of the potential recommendations, because
17 I don't want to miss any. So, if you could help
18 me do that for future reference, because a lot of
19 things will come up in these conversations.

20 And before I open it up, I just have --
21 have one question. How does your collaborative
22 align with HRSA's Alliance for Innovation and

1 Maternal health, their AIM Program with both are
2 hospital-based. How does -- how do you align with
3 that?

4 MARGARET SNYDER: So, we're working with
5 HRSA and just making sure that we stay in lockstep
6 with what we're trying to do, and what they're
7 trying to do. The hospital improvement programs,
8 they're -- it's really based on what the hospital
9 wants to do. So, you know, Premier helps us focus
10 on -- helps the hospital focus on what the
11 hospital is looking to do. So, we really -- we
12 really let them drive that aspect of it. And then
13 at the federal level, you know, we coordinate with
14 HRSA as well.

15 EDWARD EHLINGER: All right, great.
16 Steve Calvin.

17 STEVEN CALVIN: Yeah. I just had a quick
18 question. So, out of your -- the two hundred
19 hospitals, it is, I mean it's helpful to have --
20 they're probably all very large hospitals --
21 that's how you get to the 500,000 births -- but I
22 would suggest it's still really important to look

1 at the critical access hospitals and the others,
2 how they how they fit into that network, because,
3 you know, you just don't you don't want to ignore,
4 you know, even birth centers too. It's just a
5 very small percentage. But, anyway, the feeders
6 into those systems, I think, you just need to pay
7 attention to those too.

8 MARGARET SNYDER: Absolutely. And in the
9 hospital recruitment, it was very important to us
10 to focus on the diversity of the populations
11 involved, so that was definitely a driving factor.
12 But thank you for that feedback.

13 EDWARD EHLINGER: Janelle.

14 JANELLE PALACIOS: Thank you. My
15 question was similar to Steve's question, just
16 that making sure that the -- this seems very
17 similar to the California Maternity Quality Care
18 Collaborative, CMQCC's work that they've done for
19 a number of years and knowing that they have
20 included a number of different levels of
21 hospitals. So, I'm assuming that the hospitals
22 that were invited to be selected were like level

1 0, level 3, between the level 0 and a level three
2 hospital for this kind of care or were they
3 limited largely to level 1s to level 3s?

4 MARGARET SNYDER: So, I can't speak
5 specifically to California, although I'm happy to
6 take that question back to the team. But I know
7 they've -- they would have considered it pretty
8 important to align with what the states are doing
9 so that we can coordinate with them, you know, in
10 in coordination, rather than against, yeah.

11 JANELLE PALACIOS: I guess my question
12 then would be that there's diversity in the size
13 of the hospital. Do you know if there's diversity
14 in the size of the hospital, I guess?

15 MARGARET SNYDER: There is. To what
16 extent, I can't speak to that. But again, I'm
17 happy to -- to follow up with you.

18 JANELLE PALACIOS: Just because, you
19 know, we have very rural states like Montana and
20 there are five hospitals selected from that very
21 rural state and, you know, like the Dakotas, I
22 think there were two and three hospitals from one

1 of the Dakotas or so. So, knowing that those are
2 pretty rural states, and they don't have very many
3 level 3 center hospitals that have NICUs. So,
4 just the level of care people are seeking and
5 knowing that Native women -- Native American women
6 tend not to deliver at level 3 three hospitals,
7 they tend to deliver at lower-level hospitals.
8 So, I would expect that the diversity of
9 population, if that's driving some of this work,
10 then some of those smaller hospitals would
11 probably be included as well. So, I'm excited to
12 see what happens and what is the long-term, if you
13 can in a sentence or two, what is the long-term
14 plan for after -- after this this initiative --
15 this collaborative? What are the plans to try to
16 roll out and implement this nationwide?

17 MARGARET SNYDER: Sure. So, this is
18 already a national level initiative. Do you mean
19 beyond the hospitals that are in the collaborative
20 now?

21 JANELLE PALACIOS: Yes.

22 MARGARET SNYDER: Sure. So, right now

1 we're really focused on analyze -- collecting and
2 analyzing the data that -- that we're getting now
3 and working on bringing the hospitals on board.
4 That will be part of the planning process, you
5 know, looking at how this -- this part goes and
6 then plan for the next part. I'm sorry I can't
7 speak to, you know, the much longer-term plan.
8 But we're focused on the data analysis and the
9 collaborative currently.

10 JANELLE PALACIOS: Thank you.

11 EDWARD EHLINGER: Thank you. Belinda.

12 BELINDA PETTIFORD: And actually, my
13 question was very similar to Janelle's. I'm
14 trying to figure out the distribution of the
15 hospitals. Are you looking at more urban and the
16 different sizes? So, my other question then is,
17 is there a list somewhere of all of the hospitals
18 on your website or somewhere where people can see
19 them, if they're not --

20 MARGARET SNYDER: Yeah.

21 BELINDA PETTIFORD: -- aware of what is
22 happening in their home state?

1 MARGARET SNYDER: Absolutely. There is a
2 press release that went out recently. Let's see
3 if I can dig that up. But yeah, absolutely. I --
4 I'm not sure if it's on our website right now.
5 But yeah, that information is absolutely
6 available, and we can share that.

7 EDWARD EHLINGER: If you have a link, you
8 can put it in the chat.

9 BELINDA PETTIFORD: Thanks.

10 MARGARET SNYDER: Sure, yeah. I don't
11 have it right now, but I will find that for you
12 all.

13 EDWARD EHLINGER: Magda.

14 MAGDA PECK: Thanks so much, an exciting
15 initiative. I think that this must have come from
16 when you did all your listening sessions, when you
17 went around the country. I think it's been a
18 couple of years of building towards this. So,
19 thank you for putting national data out there.
20 I'm going to push again on the question of as you
21 design this and move it forward, knowing that it
22 is voluntary, knowing that you're getting the

1 hospitals to opt in, what is the likelihood of
2 linkages with other data that are national but
3 speak globally of before and after a hospital
4 experience, particularly the federally qualified
5 health centers and other national databases within
6 the purview of HHS. How does this data set that's
7 emerging from two-hundred and twenty-some
8 hospitals align with and link with other MCH-
9 related data one, and other sectors if a hospital
10 is looking at a concern about environmental
11 exposures or concern with eviction in housing, or
12 a concern with mental health? I'm just trying to
13 figure out linkages as you launch this. Are there
14 receptor sites to connect it so that it tells a
15 bigger picture, particularly as you collect data
16 on social determinants of health and health
17 equity, your number 18 and 19? Great to see, but
18 what does that mean, and how does that link
19 outside the hospital?

20 MARGARET SNYDER: Absolutely. So, we've
21 recently finished bringing the hospitals on board
22 for the collaborative and we're working to kind of

1 get them more on board and understand what they're
2 looking for from the collaborative and that aspect
3 of the project. I agree that would be a great
4 benefit to be able to link and we are in
5 conversation with other folks at HHS and other
6 agencies, making sure that we're working in
7 lockstep. But yeah, we -- those are conversations
8 that are happening now. So, we look forward to
9 sharing more about that later on. But thank you
10 for the feedback, that is absolutely important.

11 EDWARD EHLINGER: Belinda did you have
12 another question?

13 BELINDA PETTIFORD: I did. I wanted to
14 ask a question around of the role of MoMMA's
15 VOICES with the program. So, are they doing some
16 qualitative support for the effort to actually
17 listen to the voices of mothers or in the process?
18 I can see that it's a collaboration. But much of
19 our questions have been focused on the hospital,
20 but what is MoMMA's Voices role in it?

21 MARGARET SNYDER: Sure. So, they've been
22 involved since the very early stages of this

1 project and we've -- we've touched base with them
2 a number of times to update them on how the
3 project is going and get their feedback on what's
4 been what's been done so far and just asking, you
5 know, how can we make this project more -- more
6 patient centered and they've been great partners
7 in this regard, you know, meeting with us, you
8 know, making their time available to us to -- to
9 ask for that feedback. They've given us a lot of
10 great insights.

11 BELINDA PETTIFORD: so then, are all of -
12 - so, is there like a requirement of those
13 hospitals to have like a consumer engagement or,
14 you know, listen to individuals with lived
15 experience as part of their work in this
16 collaborative? I'm just trying to see what the
17 total connection is.

18 MARGARET SNYDER: Sure. So, we're still
19 in part developing a lot of that aspect. But
20 changing the culture of a hospital, you know, as
21 the hospital opts in is an important part of this
22 project as well. I'm not sure not sure I can

1 speak to what each hospital will do because,
2 again, it is -- a lot of it is very hospital
3 driven, but we have brought MoMMA's Voices in and,
4 you know, the key -- key points in this process,
5 if that helps.

6 EDWARD EHLINGER: I want to bring Kristen
7 back in here. We've got about five more minutes
8 that will allow us to broaden our conversation
9 between the Office of Women's Health and CMS and
10 I'm curious with the -- the White House Action on
11 -- Maternal Health Day of Action, I was just
12 curious on -- on how the recommendations from
13 SACIM were included or not included in that -- in
14 that effort, you know, and how the input that
15 we've had on many of these issues was embedded
16 into those conversations and putting that day
17 together.

18 MARGARET SNYDER: Sure. Are you speaking
19 to specific recommendations for this project or
20 general recommendations?

21 EDWARD EHLINGER: Generally. Generally,
22 with, you know, CMS and the Office of Women's

1 Health.

2 MARGARET SNYDER: Sure. So, you know, we
3 work closely with CMS, and we've had folks, myself
4 included, you know, attending the SACIM meetings.
5 So, you know, we're aware of the recommendations
6 and, you know, have been taking them into account
7 as we develop the program.

8 EDWARD EHLINGER: Good. Magda, you had a
9 question?

10 MAGDA PECK: Yeah. Just for both of our
11 speakers, so, fast forward five years and your --
12 your investment in this new hospital-driven system
13 -- voluntary system or your data expansion and
14 investments in Medicaid and CMS are there. What
15 do we -- what should we hope will be wild success?
16 What does it look like? Not just the process of
17 what you're doing, but could you just tell us what
18 you really hope will change if what you're doing -
19 - what you've reported to us is successful? And I
20 -- I just want to be caught up in your enthusiasm
21 about what is possible to change because of the
22 extraordinary efforts you're doing, and we can

1 start with you, Maggie, and then we can go back to
2 Kristen. So, you got it, it worked. Now what?
3 What is now possible?

4 MARGARET SNYDER: This is good. I love -
5 - I love talking about long-term impact. So,
6 again with the two-pronged approach, the great
7 success for the National Maternal Infant Analysis
8 would be to identify the drivers of maternal
9 mortality and morbidity. In that ideal scenario,
10 we understand why women are dying and we know what
11 we need to do to reduce maternal morbidity and
12 mortality. And then in terms of implementing
13 solutions for the second prong, we have done that,
14 and it has resulted in, you know, America becoming
15 the safest place to have a baby, to reduce the
16 maternal morbidity and mortality rates, so that we
17 are no longer the worst industrialized nation for
18 maternal morbidity and mortality rates, but that
19 we have improved those rates and women are safer
20 and healthier as they give birth.

21 MAGDA PECK: Okay. So, once -- if we're
22 data to action, that's helpful. Thank you.

1 Kristen, do you want to respond? What does
2 success look like for your reporting today?

3 KRISTEN ZYCHERMAN: Success for us would
4 be -- would be similar. It would be starting to
5 move that needle on maternal morbidity and
6 mortality and to see if as we work with states and
7 as they develop their PDSA cycles and their small
8 tests of change and figure out what works, if
9 we're able to assist them and hopefully, within
10 the next five years, be able to scale and spread
11 that and identify those best practices and help
12 disseminate that information to other states to
13 not only reduce the rates of maternal morbidity
14 and mortality overall, but definitely chip away at
15 those disparities as well because even if we lower
16 it for women, that would be, you know, all
17 postpartum birthing people, that would be great.
18 But if we still have those wide disparities, then
19 we're still doing something wrong. So, it would
20 also be kind of looking at it through that equity
21 lens and trying to reduce disparities, along with
22 moving the needle on hopefully getting more people

1 into their postpartum care visits, connecting them
2 with well-woman care, connecting them with the
3 specialist that they need, and making those
4 infrastructure arrangements and working with
5 states to develop this quality improvement
6 infrastructure, so even when we're not doing
7 monthly one-on-one TA with them, they're able to
8 run those cycles themselves and make those changes
9 and figure out how to improve those outcomes on
10 their own. So, that would be what success looks
11 like for us.

12 EDWARD EHLINGER: Great, great. Well,
13 thank you to both. We need me to move on. So,
14 Kristen and Maggie, thank you very much for your
15 presentations and for your responses to the
16 questions and I'm sure that if we have some other
17 questions, we will get back to you as -- as we
18 move forward. So, thank you again for -- for your
19 time with us this morning or this afternoon.

20 KRISTEN ZYCHERMAN: Great. Thank you.

21 **COVID-19 FOLLOWUP**

22 EDWARD EHLINGER: All right. We're going

1 to take about fifteen minutes because obviously
2 COVID has influenced a lot of our work certainly a
3 year ago, when we spent a lot of time developing
4 some recommendations related to COVID and its
5 impact on birthing individuals and infants, and
6 certainly here in Minnesota where we're one of the
7 top states now in terms of rates of infection and
8 we're reaching 800,000 deaths, I mean, it's still
9 influencing a lot of our work and a lot of the
10 work in the healthcare field. So, I'd like to
11 take something -- just a little bit of time to see
12 what have we learned over the last couple of
13 years, related to COVID and are there things that
14 we should be recommending in addition to what we
15 recommended in June of 2020 that we really need to
16 raise -- push forward, and I ask this in light of
17 the fact that in October, the COVID Health Equity
18 Task Force issued their report and I read that
19 report, and I was impressed by the fact that I
20 don't even think they mentioned pregnant
21 individuals and infants. You know, they talked a
22 lot about equity but, you know, pregnancy was not

1 and infant was not a big focus. They focused on
2 things that we talked about in terms of workforce
3 and facilities and vaccination and research and
4 telehealth, but yet never really included, you
5 know, pregnant individuals and infants in that,
6 and it -- it just really struck me, why not? And
7 I would really ask Dr. Warren, you know, we made
8 some strong recommendations related to all of
9 those things, you know. Did they -- did they get
10 advanced up to the task force in any way, shape,
11 or form?

12 MICHAEL WARREN: So, the recommendations
13 were submitted to the Secretary. I can't speak, I
14 mean, I could -- we could ask. I don't know
15 whether those were sent to a White House Task
16 Force or not.

17 EDWARD EHLINGER: Yeah, because it seemed
18 like they were very specific, and I was -- they're
19 really amiss and I -- I -- and an opportunity that
20 really we should have somehow been able to take
21 advantage of.

22 But given that, even though that didn't

1 happen, are there things that we should recommend
2 now? Are there things that we should move forward
3 from any of the committee members? What have we
4 learned, you know, what -- what -- are the gaps
5 that still need to be addressed that we can play a
6 role in?

7 JANELLA PALACIOS: You know, this is one
8 big issue that I saw where I work in the Bay area
9 that, you know, given that COVID is -- it was a
10 new infectious disease process that was happening
11 globally and on a short timeline -- on a rapid
12 timeline, I saw my team really flounder and fail
13 and it's because they had nothing like this ever
14 in their experience. Nothing prepared them for
15 what they had to encounter with a rapidly
16 deteriorating birthing person and, you know,
17 another rider alongside, you know, like two people
18 together. So, the birthing person and the fetus
19 or the neonate, the infant. It was very, very
20 challenging to see my team fail. They felt like
21 they failed when we did the best that we could.
22 We did not have the best counseling. We did not

1 always have the best available studies to help
2 guide the process, and we learned also that it's
3 not just an OB-related issue. It's -- in a
4 hospital setting, it is a multidisciplinary issue
5 when you have someone who's infected with COVID
6 who is rapidly deteriorating and trying to make a
7 decision of what's going to happen, what kind of
8 care, are you going to do a c-section on someone
9 who is like 28 weeks to save one life, possibly
10 not being able to save another? So, it was really
11 challenging for the providers, not having, you
12 know, definitely not having the guidance, because
13 a precedence like this had not been set and so
14 they're learning -- everyone's learning as we go
15 along, but also recognizing that people had to
16 work together outside of their own little hallways
17 and that was something that we saw. So then, the
18 ICU team, the hospitalists had to work really hand
19 in hand with OB-GYN, and then this affected like
20 our prenatal care and our postpartum care. So, it
21 was -- I just had some such a situation unique to
22 this population when we're looking at birthing

1 people or pregnant people that it really is not
2 just looking at one segment of their care. It's -
3 - it's looking at their whole care holistically,
4 and so, any kind of recommendation where for the
5 future that we recommend that any kind of
6 facilitation of multidisciplinary care or teamwork
7 or, I think, Paul Weis brought this up the number
8 of times, or maybe it was Paul Jarris -- I'm sorry
9 -- but just that we have no -- we didn't have a
10 national plan in effect with the beginning of
11 COVID it's going to take looking at a special set
12 of team to actually care for pregnant people or
13 birthing people in general.

14 EDWARD EHLINGER: Thanks, Janelle.
15 Belinda.

16 BELINDA PETTIFORD: I think one of the
17 other areas we learned [indiscernible 1:23:08] is
18 around how we define the care team. I think we
19 quickly eliminated doulas from this process. We
20 were telling moms that you can have one support
21 person, so they have -- many of them had a doula
22 for their support person, but then they had to

1 decide between whether their -- their spouse,
2 their good friend, their mother could go in or
3 their doula because the doula wasn't considered
4 part of the care team. So, I think that is
5 another recommendation is that we need to make
6 sure that how we're defining the care team that
7 that shouldn't just be the clinicians that work in
8 the hospital. It's got to be who is supporting
9 that individual during the prenatal and the
10 delivery period, and I think that was -- that was
11 a big miss for us in conversations that we're
12 still having with hospitals and health care
13 facilities. So, I do think that was a miss, but I
14 think that's a lesson learned as well.

15 EDWARD EHLINGER: All right, good.
16 Steve.

17 STEVEN CALVIN: Yeah, I just wanted to
18 second what Belinda said. I mean I -- Janelle's
19 experience, I -- I'm not currently -- I'm past
20 that point in my career of being in the hospital.
21 So, I totally understand, having heard what has
22 been going on with the more acute care, but I

1 would agree with Belinda that it's almost like the
2 end of life and the beginning of life during the
3 pandemic, there were people who were unable to say
4 goodbye to their -- to their dying relatives and
5 there are also people who, you know, mothers who
6 were unable to have the support that they needed
7 from doulas and family members. I think we just
8 have to be more careful about it because I have
9 debriefed with a lot of mothers that I see with
10 low-risk pregnancies who said my experience in
11 2020 was horrific. I wouldn't have another baby
12 unless I knew that I wouldn't be limited like
13 that. I mean it really became extremely
14 restrictive and I understand initially those
15 restrictions, but we just have to be careful about
16 those kinds of restrictions.

17 EDWARD EHLINGER: Thanks.

18 JANELLE PALACIOS: And along that line,
19 Steve, you know, if certain populations were
20 targeted, right, like I submitted the article, I
21 think, in last September as a snapshot of my
22 presentation. But, you know, when we -- when we

1 know that certain populations are -- have higher
2 risk of having this disease that special certain
3 populations were then targeted. So, like in New
4 Mexico, women were separated from their infants at
5 a hospital who knows if policies like that also
6 were enacted in other places. It's pretty
7 understandable that possibly in the very
8 beginning, when we knew very little, that those
9 kinds of separations were happening, as Belinda
10 pointed out, separating the dyad from
11 breastfeeding. That also was happening, and to
12 this day still happens in some places. So, the
13 understanding and trying to find, you know, not
14 criminalizing a population for their high rates
15 because they're at more risk, right, and then also
16 when -- and then hospital policies that aim to
17 keep the dyad together versus separate. Thanks.
18 Sorry, thank you.

19 EDWARD EHLINGER: Magda.

20 MAGDA PECK: One your question reminds me
21 of the power of an after-action review. The
22 ability to say there are systematic ways to look

1 back, not just within the family, not just within
2 the MCH world, but to find out where are the
3 after-action reviews happening relative to the
4 COVID response but it's certainly not over. It's
5 really reinventing itself and morphing in new
6 ways. So, I will be curious from a -- from a
7 quality improvement, from a hospital and other
8 data perspective, where is this question being
9 asked systematically in a way that women,
10 children, families, father's, birthing people are
11 not an afterthought? I think that's what we're
12 seeing systematically is that we were constantly
13 in catch-up mode. We had recommendations, but it
14 was like, oh yeah, pregnant women. Still now, oh
15 yeah, and not being able to get ahead and always
16 being catch-up, whether that was in
17 hospitalization, whether that's in vaccination.
18 And I think that we have a duty to ask where is
19 the after-action review happening and how do we
20 put a standard set of questions and infuse that --
21 that look back to look forward, which specifically
22 can be the three key questions that every agency

1 should be asking, every hospital should be asking,
2 every healthcare member should be asking. Say
3 what more could more could we have done and what
4 will we do differently. So, I think we have an
5 opportunity to frame this, not only in this
6 conversation, but as a tool to offer cross sector
7 going forward, because if we don't ask that
8 question, who else will?

9 EDWARD EHLINGER: Paul.

10 PAUL JARRIS: I guess my question would
11 be who, if anyone, has the responsibility and the
12 authority to do such an after action with regard
13 to maternal child health and, Michael, maybe you
14 know, but I think given our very federated system,
15 it's not clear who that would be. I don't think
16 anyone has the ultimate responsibility, but who
17 would have the authority to step up if they want
18 to take the initiative?

19 EDWARD EHLINGER: And I want to add to
20 that before Dr. Warren responds is that is there
21 something that we could still do to move forward
22 those recommendations, getting the ones that we've

1 already made, and additional things that we have,
2 to the Health Equity Task Force -- COVID Health
3 Equity Task Force so that actually all of our work
4 can actually be put into action? So, two
5 questions and is there still something we can do
6 proactively and then who will do that after action
7 response and then we'll -- then we'll move on to
8 their next topic.

9 MICHAEL WARREN: I'll start with the
10 second part of that. I think certainly if the
11 committee would like those forwarded -- your
12 recommendations were to the Secretary. If the
13 committee wanted to request that the department
14 consider moving those forward, we could certainly
15 pass that along to the Office of the Secretary.

16 I think in regard to your first question
17 around the after-action review, it depends on the
18 scope, right, in terms of who would do it and who
19 would be best poised to do it. So, I think
20 figuring out what's the what -- what is the exact
21 question? Are there particular aspects that
22 you're interested in thinking about? That may

1 help hone down who would be best to do that and
2 whether that's -- does that make sense for a
3 government entity, who would have the authority or
4 is that a nongovernment entity? But I think
5 getting a better handle around the scope of it
6 would be a first -- a first step.

7 EDWARD EHLINGER: All right. Well, and -
8 - go ahead, Magda.

9 MAGDA PECK: Are you aware of -- the
10 locus of accountability and Maternal and Child
11 Health Bureau has often been called, I think this
12 is a bit of [indiscernible], the locus of
13 accountability for the health of all women,
14 children, and families in the nation. So, if
15 there's appetite, this could be something that
16 SACIM can be helpful about, given that this is not
17 the last pandemic or emergency and -- and I'm just
18 -- I think this is an opportunity not for blame
19 and shame and pointing fingers, but again lessons
20 learned, not to have history repeat. And so, I'm
21 curious if MCHB sees itself as that locus of
22 accountability, because that's what we're talking

1 about, accountability. It's not -- in addition to
2 authority, Paul, for the well-being of pregnant
3 people and infants at the start.

4 MICHAEL WARREN: I mean, certainly our
5 mission is broad. I think when you think about
6 the various facets of the emergency response
7 across the pandemic, that is far bigger than MCHB
8 and frankly, it's far bigger than the federal
9 government. And so, again, I think, thinking
10 through what the specific questions are and
11 whether that's us, whether that's a sister federal
12 agency, whether that someone else, I'd be happy to
13 continue to think through that but also want to
14 know better what the what is before we --

15 MAGDA PECK: Yeah.

16 MICHAEL WARREN: -- say yes or no.

17 EDWARD EHLINGER: Yeah. So, Dr. Warren,
18 let me follow up with you after this meeting on
19 both of those issues on an after-action thing, but
20 also how do we move our previous recommendations -
21 - move them again to make them as engaged with the
22 process as possible. So, I'd like to be able to

1 move on both of those. And speaking of moving,
2 let's move on to our next topic, which I think is
3 also going to be very interesting. Birth Defects
4 and Congenital Anomalies, as you know, are a
5 leading cause of infant death. So, we are going
6 to take this next session and really focus on
7 that, and I've asked Tara Sander Lee, one of our
8 members, to coordinate and moderate this session.
9 So, Tara, I'm going to turn it over to you.

10

11

BIRTH DEFECTS AND CONGENITAL ANOMALIES

12

TARA SANDER LEE: Thanks so much, Ed.

13

Yes, I'm very excited for this session. Thank you
14 for the opportunity so that we can discuss this.

15

16

17

18

19

20

21

22

23

So, I just wanted to give you some really
quick brief remarks just so that we can stay on
track with timing. According to the CDC, which
you'll hear from our first speaker, birth defects
are the leading cause of infant deaths, and they
affect approximately 1 in every 33 live births or
about 3 percent in the United States each year,
and accounting for 20 percent of all infant
deaths. So, it's so important that we have this

1 discussion today, that we are presented with the
2 most up-to-date data and information, and we look
3 forward to making recommendations.

4 Our second speaker is going to discuss
5 how we can -- how we can actually treat some of
6 these birth defects once they are diagnosed inside
7 the womb but before these babies are born. And
8 this is this incredibly new and exciting field and
9 scientific evidence proves the effectiveness in
10 providing some of these interventions for these
11 women and their children in reducing infant
12 deaths. And almost monthly, we hear the news
13 about another baby diagnosed inside the womb with
14 disorders such as spina bifida that receive
15 lifesaving fetal surgery or babies with twin-to-
16 twin transfusion syndrome that both survived after
17 given the chance of life with advanced medical
18 techniques.

19 So, as part of our Quality and Access to
20 Care Work Group, we have been discussing ways in
21 which women can have better access to prenatal
22 fetal therapies and interventional care to

1 decrease infant mortality. Also, better
2 diagnostics to have better fetal monitoring --
3 perinatal fetal monitoring.

4 And so, we also know all women who face
5 for prenatal diagnosis are aware, or even have
6 access to the type of medical treatment that is
7 needed and care that is needed to prevent some of
8 these deaths. And not all women are given the
9 option or do not feel that they have the resources
10 needed to accept the option of fetal therapy when
11 offered. So, for example, there's -- there are
12 barriers such as access to care itself, also
13 access to childcare for their other children,
14 financial constraints, relocation, loss of work
15 time. So, and this is an exciting relatively new
16 field when we talk about fetal therapy. So,
17 additional research is also needed to determine
18 medical necessity and identify maternal fetal
19 risks associated with fetal therapy procedures, as
20 well as improved reporting of outcomes.

21 So, it's a big field, and I think it's
22 important to start this discussion today. I thank

1 you and so, I'm going to introduce our first
2 speaker, which is Dr Naomi Tepper, Captain of the
3 US Public Health Service, Senior Medical Officer,
4 Birth Defects Monitoring and Research Branch for
5 the National Center on Birth Defects and
6 Developmental Disabilities at the Centers for
7 Disease Control and Prevention. So, thank you,
8 Dr. Tepper, and we look forward to your talk.

9 NAOMI TEPPER: Great. Thank you, Dr.
10 Lee. Can you hear me okay?

11 TARA SANDER LEE: Yes, we can hear you
12 just fine.

13 NAOMI TEPPER: Okay, terrific. Thank you
14 so much. Good afternoon and good morning to some
15 of you. As Dr. Lee, mentioned I'm an obstetrician
16 gynecologist at CDC. I'm in the National Center
17 on Birth Defects and Developmental Disabilities
18 and I'm really honored today to represent our
19 Center at this meeting. I'm representing on
20 behalf of our centers committee members, Dr. Karen
21 Remley and Dr. Cheryl Broussard, who you all
22 probably know and who are unable to attend today.

1 And we were asked to share with you some thoughts
2 on the impact of birth defects on infant mortality
3 and some potential opportunities for improving
4 outcomes. Next slide, please.

5 So, I wanted to give a little context for
6 the contribution of birth defects to infant deaths
7 and, as you just heard, birth defects are the
8 cause of approximately 1 in 5 infant deaths. Next
9 slide, please.

10 This table shows data from CDC's National
11 Center for Health Statistics on the leading causes
12 of infant deaths in the US in 2019. As you can
13 see in the first row, congenital malformations,
14 deformations, and chromosomal abnormalities which
15 is basically the ICD-10 coding terminology, these
16 were the leading causes of infant mortality, there
17 were approximately 4,300 infant deaths in which
18 congenital malformation were listed as the cause
19 of death. This represented 20.6 percent of infant
20 deaths and a mortality rate of 114.8 per 100,000
21 live births. Next slide, please.

22 The causes of infant death are not

1 uniform throughout the first year of life.
2 However, congenital anomalies are the second
3 leading cause of death in the neonatal period,
4 which is less than 28 days of life and are the
5 leading cause of death in the post-neonatal
6 period, 28 days of life through 11 months. Next
7 slide, please.

8 This graph shows mortality rate by race
9 and ethnicity in 2018 and, as you can see, there
10 are differences mortality rate by race/ethnicity,
11 with the highest rates in non-Hispanic Black,
12 American Indian or Alaska Native, and Hispanic
13 infants all higher than the rate in white infants.
14 Next slide, please.

15 This figure shows trends from 2003 to
16 2017 overall and by race/ethnicity. From 2003 to
17 2017, rates of infant mortality attributable to
18 birth defects actually declined, which is what you
19 can see in the solid blue line. However, even
20 with the overall decline, racial and ethnic
21 disparities remain, and this figure shows that
22 deaths among Black and Hispanic infants, which are

1 the top two lines, are higher than white infants,
2 which is the bottom line. Next slide, please.

3 This analysis was published by members of
4 my branch at CDC, and they offered some thoughts
5 on the reasons for the overall decline, as well as
6 the disparities. The declines could be due to
7 improvements in prenatal care, implementation of
8 birth defect prevention measures, and improvements
9 in medical care of infants with -- born with birth
10 defects. The disparities, however, may be
11 influenced by differences in access to and
12 utilization of healthcare both before and during
13 pregnancy, differences in frequency of prenatal
14 screening, differential losses, and terminations
15 of pregnancies with fetal anomalies, and different
16 insurance types. Next slide, please.

17 My colleagues also looked at insurance
18 coverage and found that infant mortality among
19 infants with birth defects differs by payment
20 source for delivery. So, this graph shows the
21 mortality rate among deliveries covered by private
22 insurance, which are, in the light purple bars and

1 Medicaid, which are the darker purple bars. As
2 you can see, mortality rate is higher among
3 deliveries covered by Medicaid than private
4 insurance, and this is found overall and when
5 stratified by gestational age at birth, maternal
6 race and ethnicity, and maternal age. The
7 author's theories were that this may be due to
8 some of the differences that I mentioned on the
9 previous slide -- differences in health status,
10 access to and utilization of healthcare,
11 differences in prenatal screening, and differences
12 in terminations for fetal anomalies. Next slide,
13 please.

14 From that same report, the most common
15 birth defects associated with infant mortality
16 were central nervous system defects, congenital
17 heart defects, and chromosomal abnormalities.
18 These three categories of birth defects contribute
19 to 57 percent of neonatal and 76 percent of post
20 neonatal mortality among infants with birth
21 defects. Next slide, please.

22 So, in thinking about where there may be

1 opportunities to improve outcomes related to birth
2 defects, I thought about it in terms of four
3 realms of activities, including surveillance
4 research, prevention, and treatment. I will share
5 some thoughts and some of CDC's work in these
6 realms, although particularly the area of
7 treatment is addressed more comprehensively by
8 other agencies and organizations. Next slide,
9 please.

10 So, we have to conduct surveillance to
11 understand the scope of the issue. Next slide.

12 Surveillance for birth defects is going
13 on in many states, several of which are funded by
14 CDC, and these surveillance efforts can provide
15 information that is critical to inform the
16 understanding of trends in birth defects, the
17 conduct of research on risk factors, planning and
18 evaluation of prevention activities, ensuring that
19 affected babies and families are referred to
20 appropriate services, and assisting states in
21 allocating their resources and services. Next
22 slide, please.

1 However, our surveillance is only as good
2 as the data we collect. This graphic shows what
3 CDC is working toward in terms of managing public
4 health data. We know that current data systems
5 can be slow, outdated, resource intensive and not
6 very user friendly. We are working to modernize
7 our data systems to make data more timely,
8 accurate, and accessible. In an ideal world, we
9 would have national birth defects surveillance
10 system where data was available in real time and
11 could be linked between systems and states and
12 could be analyzed quickly to inform next steps.
13 Even if we can't reach that ideal world, investing
14 in improvements in data systems could have a huge
15 impact on our ability to gather and use public
16 health data. Next slide.

17 Turning to research as an opportunity.
18 Next slide, please.

19 Research is clearly important to further
20 understanding the causes of birth defects. Within
21 our center, we coordinate several multistate
22 studies to examine the causes and risk factors of

1 birth defects. There have been many important
2 findings from these studies, which have been used
3 to inform clinical practice, confirm previously
4 observed associations, generate hypotheses for
5 future study, identify areas for prevention, and
6 provide information to the public. Next slide,
7 please.

8 These are the birth defects included in
9 our currently ongoing study called BD-STEPS, and
10 BD-STEPS is really aiming to study risk factors
11 that may be modifiable such as medical conditions,
12 medical -- medications, and other exposures. So,
13 we hope that the findings can be used to move the
14 needle on reducing preventable birth defects.
15 Next slide, please.

16 Ideally, we would prevent birth defects
17 before they occur, but unfortunately there is
18 still a lot that is not known about preventable
19 causes of birth defects. Next slide, please.

20 There are some challenges in preventing
21 birth defects, most developed during the first
22 trimester, often before pregnancy is recognized.

1 The cause of the majority of birth defects is
2 still unknown and the study of modifiable risk
3 factors is difficult due to challenges in case
4 ascertainment, case classification, exposure
5 assessment, particularly potentially multiple
6 exposures, and statistical power to detect
7 associations. Next slide, please.

8 However, there are certain modifiable
9 risk factors that we do understand and for which
10 we can make an impact on the incidence of birth
11 defects. For example, promoting optimal folic
12 acid to prevent spinal bifida and controlling
13 diabetes to prevent several birth defects,
14 including congenital heart defects. Next slide,
15 please.

16 And finally, I wanted to mention
17 treatment which may impact survival and also may
18 impact the quality of life for individuals living
19 with birth defects. Next slide, please.

20 First, we have to identify affected
21 infants. Newborn screening identifies infants at
22 risk for congenital disorders, for which early

1 intervention has been shown to improve outcomes.
2 The number of disorders in the recommended uniform
3 screening panel is now 35. Hearing loss and
4 critical congenital heart defects are detected
5 through point-of-care screening and 33 other
6 disorders are detected through dried blood spots.
7 Newborn screening is estimated to identify almost
8 13,000 infants with one of these disorders each
9 year. Next slide, please.

10 As an example, early detection of
11 congenital heart defects leads to improved
12 survival. Some of my colleagues who focus on
13 congenital heart defects found that survival of
14 infants with critical congenital heart defects has
15 been improving. Newborn screening for congenital
16 -- critical congenital heart defects through pulse
17 oximetry was added to the Recommended Uniform
18 Screening Panel in 2011. This screening decreases
19 early infant death by 33 percent, preventing 120
20 early infant deaths per year. Although all states
21 are screening for congenital -- critical
22 congenital heart defects, not all states are

1 systematically collecting and analyzing their data
2 on the timing and mode of detection. Systematic
3 data collection could help identify program
4 improvement opportunities and monitor the impact
5 of early identification of critical congenital
6 heart defects. Next slide, please.

7 Another example of looking at treatment
8 to improve outcomes is the UMPIRE protocol.
9 UMPIRE stands for urologic management to preserve
10 initial renal function protocol for young children
11 with spinal bifida. My CDC colleagues and experts
12 in the field developed this protocol in which
13 infants up to 3 months old with myelomeningocele
14 are identified, monitored, and treated using this
15 protocol. The goal is to use this standardized
16 protocol to identify early problems with bladder
17 and kidney function and intervene to help preserve
18 function. Continued assessment of this protocol
19 is leading to improvements in the protocol and
20 improvements in outcomes for infants. Next slide,
21 please.

22 I won't spend a lot of time on this,

1 because our next speaker will be discussing this
2 further. But fetal surgery, as mentioned,
3 involves surgical intervention for conditions with
4 poor prognosis, such as those listed here on the
5 slide. This field has been expanding rapidly and
6 can lead to improvement in fetal outcomes.
7 However, these surgeries are very complex and
8 require specialized centers with multidisciplinary
9 teams. They can also result in adverse outcomes
10 for the pregnancy and the mother. Ongoing
11 research is looking at whether and how these
12 surgeries improve outcomes. Next slide, please.

13 So, this is kind of a summary slide of
14 the preceding slides and with some general
15 thoughts on the opportunities to improve outcomes.
16 In the realm of surveillance, we can continue work
17 on modernizing our data collection and management
18 to make data more timely accurate and accessible.

19 In the realm of research, we need to
20 further understanding of causes and risk factors
21 of birth defects, particularly those that are
22 modifiable so that we can inform prevention,

1 treatment, and future research.

2 In the realm of prevention, we can use
3 what we know about preventable birth defects and
4 work to ensure that all people of reproductive age
5 have access to quality preconception and prenatal
6 care.

7 And in the realm of treatment, we can
8 continue research on and access to surgical
9 intervention and quality care initiatives. Next
10 slide, please.

11 I just wanted to finish by sharing CDC's
12 agency-wide strategy to integrate health equity
13 into all of our work. And a particular relevance
14 to this discussion we've seen there are health
15 disparities in infant mortality related to birth
16 defects. CDC is committed to working to better
17 understand and address these health disparities as
18 a key part of impacting health outcomes. Next
19 slide.

20 I think this is my last slide. I really
21 appreciate the opportunity to talk with you today.
22 I'd like to thank my CDC colleagues and our many

1 collaborators who are doing the work that I
2 shared. I thank you very much and I'm happy to
3 try to answer any questions. Thank you.

4 TARA SANDER LEE: Thank you so much, Dr.
5 Tepper. That was fantastic. I think we do have a
6 couple of minutes, so if people do have some
7 questions, I think, if you don't mind, can you
8 stop sharing your slides? We have a couple of
9 minutes. So, do we have some questions for Dr.
10 Tepper? Yes, Janelle.

11 JANELLE PALACIOS: Thank you so much for
12 that presentation. I was struck with one of the
13 very first slides you shared. It was the rates of
14 infant mortality attributable to birth defects
15 from 2018 and it showed African American and
16 American Indian/Alaska Native infants had really
17 high rates and then subsequent slides you shared;
18 it was likely data for -- surveillance data from
19 the CDC that the American Indian population just
20 fell off. We have no historical rates of birth
21 defects that are -- that were included in these
22 larger studies from 2003 onward. And so, I'm just

1 wondering, can you comment on the missing data for
2 this population and why not pull from Indian
3 Health Service as a potential data source for, you
4 know, just including historical data, and then the
5 second part would be how do you propose that
6 adequate surveillance in the time of health equity
7 for this population will be met? Thank you so
8 much.

9 NAOMI TEPPER: Yes. Thank you for those
10 questions. Yeah, the differences in rates are
11 really striking and certainly concerning. The
12 data that I showed comes from the National Vital
13 Statistics Data and I pulled from different
14 reports, and so the one where I showed the trend
15 lines that does not have the American Indian and
16 Alaska Native population is just because in that
17 report, they -- they did not report on that.
18 However, that data is likely available. I
19 couldn't tell you right off hand how far back
20 that's available, but that -- that data is
21 available by race/ethnicity for infant mortality
22 and -- and causes of infant mortality. So, we do

1 certainly have that, and I think we need to, you
2 know, dive more into that, you know, looking at
3 the differences as well as the reasons why and a
4 lot of the reasons are probably not captured in
5 the data, unfortunately.

6 TARA SANDER LEE: Danielle.

7 DANIELLE ELY: Hi. I just wanted to
8 follow up a little bit on that. I was on that
9 MMWR paper that you presented and so, one of the
10 reasons we did not include American Indian or
11 Alaska Native is simply because, even though the
12 rates are very high, in that report to break it
13 down the way you would need to by groups, the
14 numbers become so small that, unfortunately, they
15 are unreliable. So, in a lot of the research that
16 we put out, it's very difficult to include some of
17 the race and Hispanic origin groups simply because
18 we're not combining years together. I did want to
19 point out that we do have the mortality rates for
20 congenital malformations in the Annual Infant
21 Mortality Report that we put out. I noticed that
22 you have 2018 data in the presentation by race and

1 Hispanic origin. We did just release the 2019
2 last week. So, obviously, you wouldn't have had
3 time to include that. I did include a link in
4 that in the chat.

5 TARA SANDER LEE: Wonderful. Thank you
6 so much. Ed.

7 EDWARD EHLINGER: I'm just wondering if
8 you're having any specific activities related to
9 sickle cell. I know it took us a long time in
10 Minnesota to screen for sickle cell, and I don't
11 know how many states are doing that. Any special
12 efforts related to sickle cell anemia?

13 NAOMI TEPPER: Yeah. Well, that's a --
14 that's a great question that I would actually have
15 to defer to other CDC colleagues. We have a
16 division within our center, the Division of Blood
17 Disorders, that actually focuses on, among others,
18 sickle cell disease, and they have been, you know,
19 very interested in certainly increasing, you know,
20 the diagnoses and the care of these individuals,
21 you know, both infants and through the lifespan.
22 So, increasing recognition of challenges that

1 these individuals face throughout their lifespan.
2 And so, I think if there are specific questions
3 about sickle cell, I'm happy to take them back to
4 that group. They are working hard and do a lot of
5 great work. So, I'm happy to -- to -- to
6 communicate anything back to them.

7 EDWARD EHLINGER: It's just a good
8 example of some of the inequities in newborn
9 screening that have been there, and I think that
10 it's a good case study on the way we've
11 disadvantaged populations over others.

12 TARA SANDER LEE: All right. Okay. I
13 think there's no other questions. We're going to
14 move on to our second speaker.

15 So, our second speaker is Dr. Julie
16 Moldenhauer. She is the Director of Obstetrical
17 Services and George Leib Harrison Endowed Chair in
18 Fetal Therapy at the Center for Fetal Diagnosis
19 and Treatment. She is also the Children's
20 Hospital of Philadelphia Director of the Division
21 of Obstetrics and Gynecology and professor of
22 Clinical Obstetrics and Gynecology in Surgery at

1 the Perelman School of Medicine at the University
2 of Pennsylvania. So, thank you, Dr. Moldenhauer,
3 for joining us today and taking time out of your
4 busy schedule to present.

5 JULIE MOLDENHAUER: Well, thank you so
6 much for having me today and letting me present on
7 something that's pretty near and dear to my heart,
8 and I'm hoping that by the end of this, I'm going
9 to convince you all that this is not science
10 fiction, but that this is something that is a
11 reasonable treatment option for patients in
12 certain scenarios. Next slide, please.

13 You know, so, what exactly is fetal
14 therapy is a common question that we get. And so,
15 you know, in a nutshell it's pretty much any
16 intervention that is performed on the maternal
17 fetal dyad specifically for the benefit of the
18 fetus. And so, in this context, the mom is
19 accepting the risk and, you know, we sometimes
20 think of the mom as the innocent bystander in this
21 dyad. And we are a relatively new field. We've
22 really only been around for about 60 years, and if

1 you kind of think about the disorders that we
2 treat, you know, some people use the term orphan
3 disorders, but we're really not truly orphans, but
4 we are in the context of we don't really have like
5 a governing board. There's not, you know, the
6 American Board of Fetal Therapy type of thing.
7 And so, it's really multidisciplinary. It's
8 groups of people from surgery, maternal fetal
9 medicine, obstetrics, anesthesia, all the
10 pediatric subspecialties, cardiology who come
11 together to make fetal therapy work and to make it
12 safe for mom and baby. Next slide, please.

13 I would be remiss in talking about the
14 fetal therapy if I don't talk about the diagnostic
15 aspect of it. And again, this is sort of where we
16 are such a neophyte in terms of when we started.
17 The first publication that had anything to do with
18 making a diagnosis in obstetrics and gynecology
19 was in 1958, and these images are true to the word
20 of what they saw. This was a fetal head basically
21 and so imaging was -- was quite poor. Next slide,
22 please.

1 And things moved fairly quickly as far as
2 our ability to diagnose various disorders
3 prenatally; so spinal bifida, anencephaly, you
4 know, we could do that by, you know, the 70s. We
5 were screening for open neural tube defects
6 throughout the 70s and 80s and Down Syndrome into
7 the 80s, and then, you know, cell-free DNA --
8 fetal DNA in 2000s. And I put these, you know,
9 images below because looking back to the image
10 that we saw of what basically looked like black
11 and white schmutz, you know, we have come a long
12 way. We can use 3D, 4D ultrasound, we can use
13 MRI, we can use CT scanning to make diagnoses.
14 And so, in this sequence of events that has come
15 for our ability to make a good diagnosis in utero
16 of various fetal findings, we then can apply our
17 ability to treat those findings in utero and
18 hopefully impact, you know, mortality and
19 morbidity. Next slide, please.

20 So, you know, kind of looking on the flip
21 side of things, the diagnostic aspect is on the
22 top side of the timeline and where we are coming

1 as far as fetal intervention is underneath. And
2 so, shortly after we saw the first publication for
3 ultrasound in OB, the first intrauterine blood
4 transfusion was performed in 1961 for Rh disease.
5 We were doing diagnostic fetoscopy, so literally,
6 you know, if you have a cholecystectomy and they
7 do it through laparoscopy, in this instance, the
8 scope is going inside the uterus to look inside
9 the amniotic cavity and make a diagnosis in the
10 fetus. And so, we could do that, and we could do
11 it relatively safely as far back as the 70s and
12 80s. Things really exploded on the fetal therapy
13 scene in the early 80s to 90s, where we developed
14 animal models, we really expanded what we were
15 doing from a fetal therapy standpoint, and things
16 included not only open fetal surgeries but
17 minimally invasive surgeries that were done under
18 ultrasound guidance and then we kind of crossed
19 over into twin therapies because before that, it
20 had always sort of been taboo to do fetal therapy
21 when there was more than one fetus present. And
22 that takes us up to where we are in the 2000s

1 where, you know, probably what most people are
2 familiar with is the management of
3 myelomeningocele trial that started in 2002, but
4 there was about a decade of work that happened
5 before that trial even got kicked off and it took
6 us almost a decade to finish it. And that was
7 published in 2011 and since really the early
8 2000s, the number of fetal centers have grown
9 exponentially and they're more situated throughout
10 the country, but it also dilutes the experience
11 that each fetal center has when you have so many.
12 Next slide.

13 This is really just sort of a depiction
14 showing the variety of things that we can take
15 care of from, you know, in the upper left, that's
16 a shunt in a fetal chest, as the babies being born
17 for lung lesions, the placenta and the twins are
18 for twin-twin transfusion syndrome. The middle
19 row is open fetal surgery for a long lesion. The
20 two next to that are sacrococcygeal teratoma,
21 debulking in utero, and then what the baby would
22 look like if they were born without the surgery in

1 the middle row on the right. The bottom row is
2 open fetal surgery for myelomeningocele. The
3 middle picture is EXIT procedure for a fetus that
4 has airway compromise, and then on the bottom
5 right is what the gravid uterus looks like when we
6 are operating on them. So, it's quite a scene to
7 be in the operating room. Next slide.

8 Historically, the conditions that we've
9 treated with fetal therapy have been those that
10 are life-limiting or lethal, but particularly with
11 the publication of the MOMS trial, the paradigm is
12 really shifting to improving the quality of life
13 as well. Next slide.

14 There are some tenent that we use to help
15 guide us decide, you know, to decide who's a
16 candidate, who's not a candidate, what are the
17 prerequisites and, you know, hands down, we have
18 to be able to make an accurate diagnosis
19 prenatally, we need to know what the natural
20 history is, and how can we impact that so that we
21 are, you know, avoiding the potential for fetal
22 death or organ destruction and can we do it, and

1 can we do it reliably and repetitively in the same
2 safe manner. Next slide.

3 Conditions that we can treat, you know,
4 range anywhere from a fetal cardiac arrhythmia
5 that we treat with mom, you know, with
6 transplacental pharmacotherapy where we just give
7 mama medication. It goes through the placenta and
8 treats the fetus all the way to the, you know,
9 more invasive procedures like fetoscopy or
10 ultrasound-guided procedures. And then, you know,
11 the most invasive end of things where we are doing
12 open fetal surgeries and EXIT procedures. Next
13 slide.

14 This just gives us a synopsis sort of
15 what -- what it is when we're looking at what the
16 anatomic defect is and what it leads to from a
17 physiological standpoint. So, when a fetus has a
18 general urinary obstruction, they don't have
19 amniotic fluid circulating, and it results in
20 pulmonary hyperplasia and renal dysfunction.
21 Twins who have vascular anastomoses on their
22 placenta develop twin-twin transfusion syndrome in

1 10 to 15 percent of cases, and this can ultimately
2 to fake fetal death. Diaphragmatic hernia, which
3 I'll also talk about, results in pulmonary
4 hypoplasia, that in many cases can be lethal.
5 Lung lesions, such as cystic adenomatoid
6 malformation, can also lead to high-output cardiac
7 failure, hydrops, and fetal death. The same thing
8 with sacrococcygeal teratoma. And
9 myelomeningocele is sort the game changer where
10 it's not just, you know, a life-or-death
11 situation, but the goal the fetal therapy is that
12 we can intervene and try to minimize some of the
13 side effects, like the hydrocephaly, paraplegia,
14 and incontinence issues. Next slide.

15 I kind of hit on this already, you know
16 that we have a wide range of interventions that
17 come from minimally invasive to the more
18 significantly invasive. Next slide.

19 This really impacts, you know, what the
20 risk factors are both for mom and baby. And so,
21 you know, the list is long and it can not only
22 impact the current pregnancy for mom but also

1 subsequent pregnancies because a lot of times,
2 things that we do require that, you know, mom have
3 a cesarean delivery with this pregnancy and in
4 every future pregnancy, and it puts -- puts the
5 future pregnancies at risk for having poor
6 outcomes that could be related to uterine rupture.
7 And then, there's always the possibility that, you
8 know, we could have a fetal death or loss or that
9 what we're trying to do may not be successful, or
10 we could cause more injury than good. And so, we
11 always have to weigh the risks and benefits both
12 for mom and for baby. It's not, you know, in most
13 fields, there aren't two patients in one, which
14 is, you know, kind of what we are dealing with on
15 an everyday basis trying to weigh the risks and,
16 you know, balances both ways for mom and baby.
17 Next slide.

18 Now I don't want to be Debbie Downer
19 because despite the risk, there are benefits. You
20 know, some of the key tenets that we have to live
21 by is that, you know, the benefits are there when
22 we are choosing appropriate candidates. And so,

1 you know, for the procedures that we do, there are
2 fairly well-defined criteria for who would be a
3 candidate versus who would not be a candidate.
4 Experience does matter. So, it matters in the
5 ability to make the diagnosis and to have a team
6 who has the technical ability to perform the
7 procedure safely and with, you know, outcomes that
8 are reproducible and centers who do this also need
9 to have representation from all the various
10 disciplines that are involved. It truly takes a
11 village in this case.

12 And then, the maternal informed consent
13 is also a huge part of the process. So, not only
14 does the patient need to understand what the risks
15 and benefits are in the current pregnancy, but as
16 well in the future pregnancies that she may want
17 to carry and then also, you know, what are the
18 limitations with the fetal therapy, because many
19 of the things that we do are not necessarily a
20 cure-all in utero, but there are to temporize so
21 that we can, you know, get the baby to be live
22 born and then there's still issues that we'll have

1 to deal with after the baby is here. Next slide.

2 So, I wanted to kind of talk about some
3 of the more common things that we see to provide
4 an understanding of the impact that we can have.

5 So, complicated monochorionic twins are
6 one of the biggest diagnostic groups that we see.
7 And so, you know, the majority of twins are
8 dichorionic, meaning they each have their own
9 placenta. But depending on when that fertilized
10 egg separates into twins, determines
11 geographically how things are arranged on the
12 inside. And so, if it separates after the first
13 four days, we have monochromatic presentation, so
14 twins who share a placenta. And then whether or
15 not they're diamniotic, they each have their own
16 sac, or monoamniotic, depends again when they
17 split, whether that's, you know, after eight days
18 or not. Next slide.

19 And so, the problem is when they share a
20 placenta, is that there's typically some unequal
21 sharing. One generally has a larger share of the
22 placenta than the other, but there's also by

1 definition vascular connections between the twins.
2 So, whether there are artery-artery, artery-vein,
3 vein-vein type of thing, this can ultimately
4 result in imbalance in those anastomoses and
5 unequal sharing of the placenta that can lead to
6 the complications that we see. The inferior
7 picture is actually that we took during a laser
8 for twin-twin, and it shows the two ends of the
9 vessels from each different twin coming together
10 and creating an anastomosis. Next picture.

11 And so, twin-twin transfusion syndrome
12 complicates about 10 to 15 percent of
13 monochorionic twins and what the resultant
14 physiology is that there's a donor who is pumping
15 blood to the co-twin, who is the recipient, and it
16 basically results in the donor twin working very
17 hard and becoming the fetal equivalent of
18 dehydrated. So, there's less amniotic fluid in
19 that sac. They do tend to be the smaller twin.
20 And then, the recipient has to manage all this
21 extra volume that is coming on board and they do
22 this by, you know, having higher levels of

1 amniotic fluid, because they're trying to get rid
2 of all that excess volume. It stresses the heart
3 to have to pump all of this blood volume through
4 and so, they can have cardiac failure. And in
5 this process, they can develop, you know, develop
6 all-out cardiac failure and it puts both babies at
7 risk for -- for passing way on the inside. Next
8 slide.

9 And so, you know, what -- what is the
10 impact of twin-twin transfusion? So, in the
11 states, it's about 6,000 babies a year who are
12 impacted. And for twin-twin that's diagnosed less
13 than 28 weeks, the overall survival is only about
14 10 to 30 percent when twins are not managed
15 aggressively. So, we do stage twin-twin, and for
16 the sake of time I won't go into that, but for
17 twins who have advanced stage twin-twin with this
18 low survival rate somewhere around 10 to 30
19 percent, there's also a high risk for neurologic
20 morbidity because of these vascular connections.
21 Next slide.

22 And so, how we treat this is through

1 fetoscopic laser photo coagulation, where the
2 scope is literally placed through a small incision
3 on mom's abdomen. It's about 2- to 3-millimeters.
4 The scope is quite long so that we can visualize
5 what we need to see, but we're looking at the
6 surface of the placenta and everywhere that we see
7 that there's an anastomosis between the two, we
8 fire the laser. And so, what firing the laser
9 does basically, is it spot welds those blood
10 vessel connections shut so that there's no longer
11 communication between the twins and it
12 functionally separates their placental shares.
13 And we basically do this down the vascular equator
14 between the two, and you can see how we're now
15 flipping the statistics about survival. And so,
16 the likelihood that we will have twins survive to
17 a reasonable gestational age where they can become
18 viable and have a reasonable outcome is about 70
19 percent and singleton is at least 80 percent.
20 This is sort of the national statistical data.
21 Some centers higher outcomes and some centers have
22 lower outcomes, but this is sort of where we are

1 on an average. Next slide.

2 Another diagnosis where we can make an
3 impact is a congenital diaphragmatic hernia. In
4 the states, this is about 1,600 babies per year,
5 or one in 3,000 births, and what this is a defect
6 in the diaphragm that allows the contents from the
7 abdomen basically to grow and develop in the
8 chest. And so, it pushes the normal lung to the
9 side and results in severe pulmonary hypoplasia.
10 And, as a result, the lung that does develop is
11 not exactly normal. So, there's pulmonary
12 hypertension. These kids spend a very long time
13 in the NICU after they're born. They're almost
14 always on ventilator support and depending on the
15 severity of the diaphragmatic hernia, they may
16 actually require ECMO as well. And then part of
17 this is, you know, if I'm really hypoxic early on
18 and I spent a long time in the NICU, developmental
19 delay and feeding issues also become a part of my
20 outcome.

21 In the grand scheme of things, about 80
22 percent are left-sided and about 20 percent are

1 right-sided and if you look at the overall
2 survival rate among all diaphragmatic hernias,
3 it's improved. It used to be about 50 percent,
4 but unfortunately we're only up to about 70
5 percent. Next slide.

6 And so, tracheal occlusion was developed
7 because what we saw in different tracheal
8 obstructive disorders, is that fetuses would have
9 very large lungs. And so, this has been tried as
10 a fetal therapy, to improve lung development in
11 cases of diaphragmatic hernia. And so, the
12 current method that we use is fetoscopic
13 endoluminal tracheal occlusion or FETO and under
14 ultrasound guidance, again, a fetoscope is
15 advanced into the fetal mouth -- because once we
16 get the scope inside, we can see where we're going
17 -- and so, we directly visualize the fetal face.
18 The scope goes into fetal mouth, through the vocal
19 cords, down into the trachea, and a tiny balloon
20 is placed. And the balloon, before it's blown up,
21 it literally looks like a piece of long grain
22 rice, it's really, really tiny, and we put this in

1 between about 27 and 29 weeks. The balloon is
2 deployed and then it stays in the trachea until
3 about 34 weeks, and the goal is it obstructs the
4 trachea and all the fluid that is normally coming
5 from the lungs gets trapped and then all that
6 stretching results in fetal lung growth. Next
7 slide.

8 And then the goal is that we keep the
9 balloon in place until about 34 weeks, and then we
10 go back in and basically do the opposite, and we
11 take the balloon out and the pictures show looking
12 directly at the vocal cords of a fetus -- that's
13 what the top picture is -- and then the slide --
14 the picture below is the tip of the balloon placed
15 inside the trachea and then on either side of that
16 circle where the image is, those are the actual
17 fetal vocal cords. So, it will go through the
18 vocal cords to deploy the balloon. And then once
19 the balloon comes out, the goal is that we keep
20 mom pregnant to at least term, so 37 weeks and
21 beyond, and hopefully impact the outcomes. Next
22 slide.

1 So, Jon Deprest, who is our colleague in
2 Europe who's been working on FETO for years,
3 recently just finalized the total trial, looking
4 specifically at the group of left-sided
5 diaphragmatic hernias that are in the severe range
6 where the survival is less than 25 percent and in
7 this randomized trial, there was definitely an
8 improvement in survival for the group that had
9 FETO. So, 40 percent of these kids compared to 15
10 percent for the, you know, expected management
11 group survived, and they survived not only to
12 hospital discharge but for the first six months of
13 life. We didn't see the same benefit with
14 moderate severity left CDH. And so, we're not
15 doing that. It's not been really adopted for that
16 group yet, but we, in our series of fetal
17 patients, have also seen a decrease need for ECMO,
18 a shorter hospital stay, and some other
19 improvements with the degree of pulmonary
20 hyperplasia or hypertension that they have. So,
21 it's probably going to pan out that there's going
22 to, you know, be a tremendous impact not only on

1 mortality for these kids but also some of the
2 morbidity they experience in the first year of
3 life. Next slide.

4 And then, myelomeningocele, which is a
5 form of spinal bifida, often considered to be the
6 most severe form, impacts about 1,500 babies a
7 year here in the states and this -- having the
8 presence of MMC results in severe hydrocephalus
9 that requires a shunt, hindbrain herniation, and
10 then neuromuscular disorders that can include
11 weakness, paralysis, and incontinence. Next
12 slide.

13 And the rationale for doing fetal surgery
14 is that if we can intervene and do the same basic
15 closure of the MMC before a baby is born, that we
16 can reverse some of the damage that we see that
17 happens throughout the course of the gestation.
18 And so, closing the defect, creating a watertight
19 closure can prevent further damage to the nerves
20 that innervate the bowel, the bladder, the lower
21 extremities, as well as improve the hind brain
22 herniation and decrease the need for fetal

1 shunting. Next slide.

2 And so, this is a pretty big surgery for
3 mom. So, currently the adopted kind of gold
4 standard for fetal myelomeningocele surgery is
5 open maternal fetal surgery, though fetoscopic
6 techniques are being developed. And so, the
7 picture on the left is mom -- a depiction of mom,
8 sort of where we make the laparotomy. It's about
9 halfway between the pubic bone and the belly
10 button. We use ultrasound to map the placenta and
11 the fetal position and then in an area free of
12 fetal parts, placenta, umbilical cord, stay
13 suitors are placed to enter the uterus and then a
14 uterine-stapling device creates the uterine
15 incision, and it basically cuts and staples at the
16 same time, so it's a bloodless incision on the
17 uterus. Next slide.

18 I know this is a little bit graphic, but
19 what happens then is that the repair is done very
20 similarly to what happens when babies are, you
21 know, 24 hours old, and so the neural tube defect
22 is basically incised with care being taken to, you

1 know, not damage any of the nerves that are or
2 there any of the spinal cord that is there. Next
3 slide.

4 And then, the myofascial flaps, so the
5 muscle on either side of the vertebra or spinal
6 cord, are sort of freed up so that they can be
7 brought over the top of the nerve tissue and then
8 closed over the top of it in a watertight fashion
9 to protect whatever nerve tissue is there, so that
10 we can optimize the function in that. Next slide.

11 And then, this is what the baby's back
12 looks like after it is closed. It just looks
13 like, you know, a running layer of suture in the
14 skin. Next slide.

15 And so, in the MOMS trial where patients
16 were randomized to either have prenatal closure or
17 routine postnatal closure, there's a whole lot of
18 data on this slide but the next slide is the nuts
19 and bolts.

20 So, the biggest things are that, you
21 know, the risk of needing a ventriculoperitoneal
22 shunt placed was basically cut in half. So, for

1 the group that had postnatal surgery, it was
2 around 80 percent and the prenatal group, it was
3 40 percent. There was an improvement in motor
4 function for the prenatal surgery group. A large
5 majority of patients who had prenatal surgery had
6 reversal of the hind brain herniation and you were
7 twice as likely to be an independent ambulator in
8 prenatal surgery compared to postnatal surgery.
9 Next slide.

10 Now, there are a lot of risks that went
11 along with this, you know, there's a large
12 proportion of babies that were born preterm.
13 There's a lot of preterm labor, membrane rupture,
14 and membrane complications. And so, you know,
15 again, it's risk-benefit and trying to sort out
16 where -- where we are and what parents, what
17 families can tolerate. Next slide.

18 One of the big questions that came out
19 shortly after the MOMS trial is okay, these
20 procedures were done at three centers and the
21 trial, you know, is this data reproducible and
22 applicable to the general population, and if this

1 becomes, you know, a procedure that's done across
2 the country, and the North American Fetal Therapy
3 Network sponsors the Fetal Myelomeningocele
4 Consortium and created a registry and the PI on
5 it, and we track outcomes for any center that's
6 willing to participate and we presented at the
7 Society for Maternal Fetal Medicine in 2019 our
8 outcomes on the initial approximately 500
9 patients, and the bottom line is that this
10 collaborative effort showed that in real world,
11 you know, medicine and real world fetal therapy
12 outside of a trial, that we could reproduce
13 outcomes very similar to a randomized trial. Next
14 slide.

15 But the big question is, are there
16 disparities in fetal intervention, and I think
17 using the fetal myelomeningocele closure
18 statistics is probably the most straightforward
19 and probably what we have the most data on. You
20 can see here, looking at the -- MOMS trial
21 breakdown of race and ethnicity, the middle row is
22 our CHOP on our 300 cases we've done since the

1 MOMS trial, and then the Fetal Myelomeningocele
2 Consortium Registry that has over 1,000 patients
3 in it. Overwhelmingly, the patients are white
4 non-Hispanic. The other groups are much less
5 represented, as you can see, and the breakdown is
6 different depending on how the data is collected.
7 But the educational background tends to also
8 follow with this as well. Next slide.

9 Now, the irony here is that if you look
10 at the prevalence of spinal bifida, Hispanic women
11 are much more likely to be diagnosed as carrying a
12 fetus with spina bifida compared to non-Hispanic
13 white women or non-Hispanic Black or African
14 American. So, the prevalence is different in
15 these populations, yet they're not equally
16 represented or represented with the same
17 proportion in women who are undergoing open
18 maternal fetal surgery or any type of surgery for
19 spinal bifida. Next slide.

20 You know, why is this? We don't know.
21 There aren't a lot of great studies about this. I
22 think anecdotally, probably some of this has to do

1 with access, whether women are getting, you know,
2 prenatal care in a timely fashion, are they
3 getting referred to a fetal center, do they live
4 in a reasonable distance to travel to a fetal
5 center, are they aware that this exists, you know?
6 So, women who don't have Internet, who aren't
7 readily, you know, accessing Internet, who may
8 have language barriers may not be seeking out
9 alternate treatments or, you know, looking for
10 alternate therapies. And then, when you have any
11 type of fetal procedure, we put the moms on bed
12 rest. We sort of limit what they can do. Many
13 times, they need to relocate into the area where
14 they're having the fetal therapy so they can be
15 close to the fetal center. So, that means, you
16 know, there's a lot of financial constraints not
17 only for the patient, but her caregivers, her
18 partner, there's a lot of last work, and then,
19 what do you do with your other children at home?
20 Do you leave your children at home with a family
21 member? Do you all relocate for the time being?
22 And then there's also some cultural differences in

1 how they view these procedures, even though some
2 of these procedures have been around for, you
3 know, twenty and thirty years, you know, they're
4 still perceived as, you know, research and there's
5 a lot of medical hesitancy in some of the groups.
6 Next slide.

7 There there's a lot coming in the future
8 trending towards minimally invasive procedures to
9 minimize maternal risk. You know, gene therapy
10 and stem cell transplant are very minimally
11 invasive and may have a humongous impact on what
12 we're seeing and then the artificial placenta to
13 support not only fetuses and newborns who may have
14 complications with fetal surgery, but also
15 prematurity. You know, I think some of those
16 things are a little bit off in the future, but
17 really where, you know, we're going to get a bang
18 for our buck and where we need to do a lot of work
19 is with outcomes monitoring and transparency in
20 reporting. You know, there's not a formal
21 program. Fetal therapy centers do not have to
22 report their outcomes. It's really -- it's a

1 collaborative effort, it's a little bit of an
2 honor system, you know, and that's kind of where
3 we are with it. Next slide.

4 You know, in the setting of all that's
5 developed, some of the key points along this
6 journey were in 1982 when a group -- basically a
7 consensus group -- got together and they wrote a
8 letter about the tenets of fetal therapy and it
9 was published in the *New England Journal of*
10 *Medicine*, led by Dr. Mike Harrison. And this
11 really sort of spelled out what we should be doing
12 from like a care standpoint, an ethical
13 standpoint, the support that should be there for
14 patients. And, you know, from 1982 really until
15 2017, when we reinvigorated that statement and
16 reinvested in it, things have kind of stayed
17 stagnant. But along the journey, in 2004 is when
18 we really had a lot of traction. So, up until
19 that point in time, centers were sort of doing
20 their own thing, and it was haphazard, and it
21 wasn't really collaborative. And so, in January
22 at the Society for Maternal Fetal Medicine, there

1 was a Fetal Therapy Working Group that came
2 together and that group, at the same time, met
3 with NICHD in August and really what kind of
4 happened at the end of that was that there needed
5 to be a group that could follow and track these
6 outcomes and that's how the North American Fetal
7 Therapy Network was formed. Next slide.

8 And so, NAFTA.NET was established in 2005
9 and initially only included 12 centers.
10 Membership is now 40 centers pretty much
11 throughout North America. Members -- so member
12 centers pay annual dues, and we do have a little
13 bit of funding from the NICHD but the mission of
14 NAFTA.NET is to provide a clinical research network
15 that's collaborative in nature and develop
16 therapeutic options to improve outcomes. It also
17 is an educational and training resource so that we
18 can continue to have folks who are in this field.
19 But, you know, the scaffolding is there, the
20 background is there for us to do a better job of
21 tracking outcomes and monitoring who has access,
22 we just haven't matured to the point where it's

1 facile yet. Next slide.

2 So, I thank you. This is our favorite
3 day of the whole year here at CHOP. This is when
4 our fetal families come back for a reunion and,
5 unfortunately, because of COVID, we haven't done
6 it in a while. But it's truly amazing to see
7 these families after they've, you know, sort of
8 seen the worst day possible and what life can look
9 like for them and the smiles on their faces when
10 their kids are 2 and 5 and 10 years old. Thank
11 you.

12 TARA SANDER LEE: Thank you so much, Dr.
13 Moldenhauer. That was fantastic. All right.
14 Janelle, I see that you have a question.

15 JANELLE PALACIOS: I apologize. I do not
16 have a question. I'll lower my hand. Thank you.

17 TARA SANDER LEE: Oh, okay. Steve.

18 Oh, you're on mute, Steve.

19 STEVEN CALVIN: Yeah. Julie, thank you
20 for the great presentation. I have a question to.
21 During the fetal procedures and the
22 myelomeningocele open procedures, what kind of

1 anesthesia are used for mother and baby?

2 JULIE MOLDENHAUER: So, yeah. So, the
3 fetoscopic, the more minimally invasive
4 procedures, it depends. We, here at CHOP, we use
5 like IV sedation with local on mom's skin and then
6 sometimes, depending on the fetal movement, we
7 need to give general. But the baby also gets an
8 intramuscular shot that includes like vecuronium
9 and a fentanyl type of mixture because if we don't
10 paralyze the baby, the balloon will come right
11 back out. And so, then with the open fetal cases,
12 mom goes under general, and so the baby gets some
13 of that through the placenta. But then, once the
14 uterus is open, we also give the baby an IM shot
15 in the same way.

16 STEVEN CALVIN: Okay, thank you.

17 JULIE MOLDENHAUER: Yep.

18 TARA SANDER LEE: Magda.

19 MAGDA PECK: Well, that was a brilliant
20 presentation.

21 JULIE MOLDENHAUER: Thank you.

22 MAGDA PECK: And I feel honored to hear

1 how this field has progressed. So, thank you. I
2 agree with Dr. Conry's marks about this is a
3 superb and balanced discussion. So, I want to
4 lead with gratitude.

5 This is relatively new air for me. I've
6 not been a hospitalist or clinician for a number
7 of years, although I'm an old Philly girl. So,
8 thank you so much for representing very well.

9 Here's a question. I -- if I heard you
10 right up front, because I do public health, right,
11 and I'm in -- I'm in population-based side and the
12 prevention side. I really appreciate how you
13 spoke to the nuance of balance of risk that is a
14 series of tradeoffs and I think your quote upfront
15 was this is where a mom is an innocent bystander
16 who agreed to take on risk and I'm really struck
17 with that language about her being a willing
18 innocent bystander. If I -- I did a little bit of
19 research before talking. I looked at the review
20 article around risk of fetal therapy that I think
21 is now revised, published in 2017, looking at the
22 complication rate for moms in minimally invasive

1 is about 5 percent.

2 JULIE MOLDENHAUER: Yep.

3 MAGDA PECK: And I was wondering, after
4 all that you have convinced us -- that was your
5 point -- convinced us about this, then you put on
6 a hat that says what about the other side of the
7 equation? We are the Secretary's Advisory
8 Committee on Infant and Maternal Mortality. And
9 so, can you speak to the maternal risks in a
10 little bit more depth? What is the risk for
11 severe maternal morbidity in this particular
12 pregnancy, at what point of gestation, and then
13 what about for subsequent reproductive life course
14 either with or without fetal therapy. So, not
15 taking away the brilliance of your presentation
16 and asking if you can zoom out and talk about her
17 and how is this innocent bystander dynamic
18 established? Help me understand that.

19 JULIE MOLDENHAUER: Yeah. I mean,
20 honestly, when I'm counseling a mom, I almost see
21 my job is that I'm trying to talk her out of it,
22 and if after I've told her every negative

1 possibility and she's still, you know, engaged and
2 wants to do it, then that's truly informed
3 consent. So, I think, you know, like for us when
4 we do open fetal surgeries, it's a two-day process
5 for patients to go through all the diagnostic
6 workup and get counseling and then meet with like
7 neonatology, anesthesia, you know. We don't even
8 want to hear what her decision is until she's met
9 with everybody under the sun and understands every
10 single risk. And so, the risks are a little bit
11 different depending on if it's like minimally
12 invasive versus open maternal fetal surgery. So,
13 you know, major complications with minimally
14 invasive are rare, it's a couple percent. But
15 overall, there's more, you know, we still -- we
16 transfuse moms who have had minimally invasive
17 surgery. You know, it's a rare thing to have
18 happen, but it's there. The likelihood of having
19 like a uterine rupture in the index pregnancy or
20 subsequent pregnancy with a minimally invasive
21 procedure is not zero, but it's pretty low. And
22 as far as this is how it like impacts her

1 reproductive life plan. You know, for a mom
2 who's, you know, this is her first pregnancy, and
3 she thinks that she's, you know, going to plan to
4 have five or six kids, having open maternal fetal
5 surgery in pregnancy number one is probably not a
6 good idea. You know, what that means for her is
7 that we're making that incision in the thicker
8 active portion of her uterus. So, the risk for
9 uterine rupture and dehiscence is there not only
10 in the, you know, index pregnancy, but for every
11 future pregnancy.

12 Probably the best data that we have for
13 uterine rupture in subsequent pregnancies came out
14 of the myelomeningocele registry and it was 10
15 percent, and of those, we had two babies that were
16 lost. One mom ended up having, you know, a
17 laparotomy, massive blood transfusion, bowel
18 resection. So, it's not insignificant. You know,
19 I agree with you. Like, you know, these are not
20 things that we can take lightly. You know, there
21 are sometimes moms who have high risk factors,
22 but, you know, they're not a candidate to have

1 open fetal surgery. So, you know, like moms who
2 have a cardiac arrhythmia or, you know,
3 hypertension that's poorly controlled, diabetes
4 it's poorly controlled, they may not actually even
5 be candidates for fetal surgery because the
6 maternal risk is simply too high.

7 MAGDA PECK: And a quick follow-up. Who
8 -- who pays -- who pays for this? I really
9 appreciate you're talking about the disparities
10 and the financial hardship, but does private
11 insurance pay for this? Does Medicaid pay for
12 this procedure?

13 JULIE MOLDENHAUER: Yes, both.

14 MAGDA PECK: Thank you.

15 JULIE MOLDENHAUER: Yeah. It's the
16 support, you know, like at CHOP, I showed our
17 data, and we have a very, you know, well-developed
18 network here that's basically from our former
19 patients who've given back in, you know,
20 philanthropic roles and we have a pretty robust
21 fund for patient travel and housing, so that
22 they're not limited by resources to get to us and

1 have, you know, therapies done if they need it.
2 And, you know, you saw our data. Our data are
3 still very much skewed to educated white people.
4 So, and that's having a very robust, you know,
5 support system for patients that don't have
6 resources.

7 MAGDA PECK: Thank you again.

8 JULIE MOLDENHAUER: You're welcome.

9 TARA SANDER LEE: Thank you so much.

10 Colleen. This will be the last question.

11 COLLEEN MALLOY: Yes, thank you so much.

12 I am just wanted to ask Dr. Moldenhauer a
13 question. I'm a neonatologist, so I see things
14 from that side of things, and I really am amazed
15 by everything you do, and I appreciate it so much,
16 and I can tell you from meeting with many, many
17 families who have had fetal surgery at
18 Northwestern, like they are so like amazingly
19 grateful for this, and I think it's such a really
20 unique journey that they have. And I had a -- we
21 had a family that was lined up to kind of give a
22 personal experience narrative about their story,

1 but we kind of didn't have time for it, I guess,
2 but maybe in the future, we could, because it's
3 really great to hear from the families who have
4 gone through this with you because that's where
5 you really kind of understand, and I think
6 speaking to, you know, yes, the families have to
7 understand the risk, but what I find is if they're
8 not, you know, if they're not actively seeking it
9 out on the Internet or maybe they heard of a
10 friend of a friend, that the gatekeepers are truly
11 the obstetricians.

12 JULIE MOLDENHAUER: Agree.

13 COLLEEN MALLOY: And midwives that see
14 them in the beginning, and if those people aren't
15 at least offering them, you know, keeping the door
16 open that they could learn about it or be exposed
17 to it, or have an introduction to it, you know,
18 they have a right to know that this is an option,
19 and I think that any obstetrician or woman's
20 provider that doesn't tell them about this option,
21 like this therapy, is really doing them a
22 disservice and not like serving their patients in

1 a complete way. So, I just wanted to thank you
2 for this. I think, you know, you know you have a
3 great attitude and you're very open and honest and
4 I think that if we didn't have a great center in
5 Chicago, I'd send patients to you on the east
6 coast. So, it's just another --

7 JULIE MOLDENHAUER: You have great people
8 in Chicago.

9 COLLEEN MALLOY: Yeah, they are really
10 good. Yeah, thank you.

11 TARA SANDER LEE: All right. Thank you
12 to our speakers. Thank you so much Dr. Tepper and
13 Dr. Moldenhauer. I really appreciate your time
14 and I think, as you can see, everybody can see
15 this is a huge topic with lots of areas so that we
16 can improve access for all women, no matter what
17 their race. And so, hopefully we can continue
18 this discussion in future meetings. So, thank you
19 so much.

20 And so, Ed, I'll turn it back over to
21 you.

22 EDWARD EHLINGER: Thanks, Tara. What a

1 great presentation. It's always a good day when I
2 learned something, and I learned something in the
3 session. In fact, I learned a whole bunch of
4 things in this session. So, thank you very much.

5 We're going to take, let's see, a ten-
6 minute break instead of a fifteen-minute break.
7 And so, we'll come back at 3:50. So, I guess it's
8 a twelve-minute break. So, come back at 3:50 for
9 the next presentation or 2:50, excuse me.

10

11

BREAK

12

EDWARD EHLINGER: Welcome back to the
13 second part of our first day of our SACIM meeting.
14 We're going to be talking about State and
15 Community Projects on Maternal Health. In this
16 area, we've sort of put the cart before the horse,
17 based on input from our community members, we
18 actually included recommendations related to the
19 Quality Care Collaborative and some of our
20 recommendations before we even had a formal
21 session to talk about them. But I trust in all of
22 our members to bring us the good information. So,
23 so we're going to be doing the horse part, now.

1 We did the cart, and now we're going to do the
2 horse.

3 So, Steve, I want to turn it over to you
4 to take us through this session.

5

6 **STATE AND COMMUNITY PROJECTS ON MATERNAL HEALTH**

7 STEVEN CALVIN: Great. Thank you, Ed.

8 So, what we're going to be planning for, we have
9 three presenters here that we're hoping for ten-
10 minute presentations followed by questions and
11 comments at the end. Each of our presenters
12 really does deserve more than ten minutes, but I'm
13 sure that their presentations will really whet our
14 appetite for further investigation of their really
15 good work.

16 So, our first presenter is Tammy Snyder
17 Murphy. She has been the first lady of New Jersey
18 since 2018. In 2019, she launched Nurture New
19 Jersey, a statewide awareness campaign that was
20 committed to reducing maternal and infant
21 mortality and ensuring equitable care among women
22 and children of all races and ethnicities. It
23 focused on improving collaboration and then

1 programming between all the departments, agencies,
2 and stakeholders with a goal of making New Jersey
3 the safest and most equitable place to give birth.
4 In January of this year, she unveiled the Nurture
5 New Jersey Maternal and Infant Health Strategic
6 Plan. That was a plan to reduce maternal
7 mortality by 50 percent over five years and
8 eliminate racial disparities, and it's a
9 culmination of a lot of work. We welcome Ms.
10 Murphy to present to us, and we're thankful for
11 her being able to take the time.

12 TAMMY MURPHY: Well, good afternoon,
13 everyone. Dr. Calvin, I am grateful for that
14 introduction and for your work as part of the
15 Advisory Committee on Infant and Maternal
16 Mortality. I also want to share that we are
17 keeping our eyes on Minnesota. Earlier this year,
18 the University of Minnesota School of Public
19 Health submitted a response to our request for
20 information to aid in our -- in planning our
21 Maternal and Infant Health Innovation Center and I
22 cannot overstate how thrilled I was that the work

1 we are doing in New Jersey is making rumbles all
2 the way to Minnesota.

3 It is an honor to be with all of you, and
4 I want to thank Secretary Becerra for his
5 recognition of the United States National Maternal
6 Health Crisis and his support of our work here in
7 New Jersey. I also want to thank Dr. Ehlinger,
8 Chair of this advisory committee for his
9 leadership and dedication to our nation's mothers
10 and babies.

11 In his inaugural address, President Biden
12 spoke of quote, "A cry for Racial justice some 400
13 years in the making, a cry that can't be any more
14 desperate or any more clear." In New Jersey, I
15 have spent four years listening to this cry grow
16 louder from Black mothers who have lost their
17 babies or very nearly their own lives, fathers who
18 have lost their life partners, and countless women
19 of color who have told tragically similar stories,
20 stories of not being listened to, or receiving the
21 care that they needed and deserved at a time when
22 they could not have been more vulnerable. And as

1 we have worked to raise awareness of this crisis,
2 as well as solve it. I have seen New Jerseyans
3 from every corner of our state respond, some with
4 shock and outrage, others with sadness, but all
5 with a determination to raise up these collective
6 voices and demand an answer to their plea for
7 racial justice through reproductive justice.

8 Through Nurture NJ, we seek to answer that call.

9 Over the past four years, we have taken a
10 comprehensive and meticulous approach to
11 understanding the scope of New Jersey's maternal
12 health crisis, made significant and often
13 groundbreaking policy changes. We've enlisted
14 national experts to help us design our Nurture NJ
15 Maternal and Infant Health Strategic Plan and we
16 have begun implement many of its recommendations.

17 Some of our most significant
18 accomplishments since the start of the Murphy
19 administration include becoming among the first
20 states in the nation to establish a statewide
21 universal newborn home visitation program,
22 expanding Medicaid coverage to 365 days

1 postpartum, providing Medicaid reimbursement for
2 doula care, and the list just goes on. I am
3 incredibly proud of the steps we've taken thus
4 far, but as we work to fully transform New
5 Jersey's maternal health landscape, we understand
6 that sweeping change requires persistence and
7 unflinching dedication. At the same time, we know
8 that the urgency of this crisis remains, and that
9 its root cause is institutional racism, plain and
10 simple.

11 Black women in New Jersey are over seven
12 times more likely than white women to die due to
13 maternity-related complications and Black babies
14 are over three times more likely than white babies
15 to die before their first birthday.

16 As a mother of four incredible children,
17 this terrible knowledge weighs heavily on my
18 heart, especially because I know, but for the
19 color of my skin, that could have been me or one
20 of my children. And that kind of personal
21 commitment and motivation is reflected in every
22 Nurture NJ partner. We're not seeking to simply

1 improve our maternal health statistics or data;
2 we're working to make sure that every New Jersey
3 mom and baby gets off to a healthy start and is
4 put on a trajectory toward a full and healthy
5 life.

6 In January of 2021, nearly one year ago,
7 we released the Nurture NJ Maternal and Infant
8 Health Strategic Plan, which is the culmination of
9 over a year of enumerable conversations with
10 hundreds of relevant and invested entities,
11 ranging from departments and agencies, health
12 systems, physicians, doulas, community
13 organizations and, most importantly, mothers and
14 their families, designed to make transformational
15 change in a system that has historically failed
16 our mothers and babies of color. This plan is our
17 blueprint to make sure New Jersey is the safest
18 and most equitable state in the nation to deliver
19 and raise a baby.

20 At its most fundamental level, the plan
21 meets the specific needs of women in their local
22 communities, where they live, work, worship, play,

1 and love. The recommendations range from
2 increasing prenatal care and support for women of
3 color to creating a groundbreaking Maternal Health
4 Research and Innovation Center so that our work
5 can continue to grow, evolve, and inform itself.
6 Most importantly, it makes broad reforms aimed at
7 dismantling the structures that for generations
8 have prevented women of color from living in
9 environments that provide the resources needed to
10 simply be healthy.

11 The goal to reduce our maternal mortality
12 rate by 50 percent over five years and eliminate
13 the racial disparities and birth outcomes will
14 require all sectors, health, education, business
15 government, academia, and more to play an integral
16 role and ultimately, the success of our plan
17 relies on the active partnership and collaboration
18 of all of us.

19 Since the start of our administration, we
20 have held quarterly Nurture NJ Inter-Departmental
21 Maternal and Infant Health Work Group meetings
22 with over eighteen different departments. We've

1 held six family festivals to bring together state,
2 county, and local resources to over 5,500 families
3 in our cities with the highest rates of Black
4 maternal and infant mortality, which was
5 accomplished in large part due to our partnerships
6 on the ground with community organizations, faith
7 leaders, school districts, elected officials,
8 hospital systems, and more. And, most recently,
9 we held our fourth annual Black Maternal and
10 Infant Health Leadership Summit, where over 350
11 participants, including doulas, nonprofits,
12 activists, state departments, and agencies and,
13 most importantly, moms came together to discuss
14 and identify solutions to our maternal and infant
15 health crisis.

16 I am proud that, because of our work to
17 dismantle silos, build trust among our over 800
18 Nurture NJ partners, and amplify the voices of the
19 mothers affected by this crisis, we have been able
20 to hit the ground running. We are third in the
21 nation to reimburse doula care through Medicaid.
22 We have the most robust universal nurse home

1 visitation program in the nation for new moms and
2 we are the second state to expand Medicaid
3 coverage to a full 365 days postpartum.

4 My husband, Governor Murphy, has signed
5 over thirty-seven maternal health-related pieces
6 of legislation from ensuring hospitals ask any
7 patients presenting in the emergency room simply
8 if they have delivered a baby in the last year to
9 no longer reimbursing for early elective
10 c-sections through Medicaid. We have made small
11 and big changes with a major impact. In less than
12 a year from its release, we have already completed
13 or made headway on over half of the more than
14 seventy recommendations outlined in our strategic
15 plan, ranging from establishing a Maternal and
16 Infant Health Research and Innovation Center to
17 expanding coverage for reproductive health for
18 undocumented mothers and several of the
19 achievements I already listed.

20 With our collective and persistent
21 commitment, we will root out the institutional
22 racism that has affected every corner of our

1 society all the way to our mothers and babies.
2 It's clear that our country has reached a
3 crossroads, but I do not say that with fear. I
4 say it with the utmost sense of optimism and hope
5 for what we can endure together.

6 As we come together to face our nation's
7 racist past and present, where better to start
8 them where life starts with our mothers and
9 babies. When we do this work, we do it for the
10 10s of thousands, even millions of mothers and
11 children, we will never know. But we also do it
12 for our own mothers, our own children, our
13 grandmothers, our aunts, nieces, and our friends.
14 We do it for those who are no longer with us and
15 for those who have yet to be born. We do it
16 because we're ready to turn the page to a future
17 where every family gets off to a healthy and happy
18 start. And New Jersey has a plan to get us there,
19 a blueprint that we hope, not only for the garden
20 state, but for tackling systemic racism across the
21 country and leading the nation in maternal health.

22 So here, I would like to express my

1 gratitude to all those who contributed to the
2 development of our plan, and I want to give a very
3 special thank you to the women of color who shared
4 their deeply personal and painful stories with us.
5 Your voices are the DNA of this movement. In New
6 Jersey and we have a lot more work to do, but
7 because of the leadership of the Biden
8 administration and all of our Federal and State
9 partners, like New Jersey's Senator Cory Booker
10 and Congresswoman Bonnie Watson Coleman and
11 Congresswoman Lauren Underwood, when I say that
12 Black mothers' lives matter, I know that along
13 with those words comes action. Not the kind of
14 action that a small change here and there, but the
15 kind that's going to transform New Jersey into the
16 safest and most ethical place in the nation to
17 deliver and raise a baby and our nation into a
18 haven for mothers, babies, and families.

19 Thank you for your attention. Thank you
20 for your concern. Thank you for looking to New
21 Jersey for guidance and joining us on this
22 journey, I truly feel the momentum growing behind

1 us, and I know that together we will solve this
2 crisis and ensure a healthy start for every mother
3 and every baby. Thank you.

4 STEVEN CALVIN: Thank you very much,
5 Ms. Murphy. New Jersey is fortunate to have
6 someone who is so passionate and driven about
7 improving maternal and infant care. I'm really
8 grateful. So, we'll look forward to some
9 questions at the end of the other two
10 presentations.

11 Our next presenter is Dr. Elliot Main.
12 He is the Medical Director of the California
13 Maternal Quality Care Collaborative and he's led
14 multiple state and national quality improvement
15 projects. I've been aware of him for a long time.
16 It's an honor to have connected with him last week
17 before this presentation and to hear this
18 presentation. He's been chair of the California
19 Pregnancy-Associated Mortality Review Committee
20 since it was started in 2006. He has been chair
21 of a very large hospital OB-GYN Department and
22 he's currently clinical professor of OB-GYN at

1 Stanford. He has chaired also many national
2 committees on maternal -- maternal quality
3 measurement including those sponsored by ACOG and
4 the Society for Maternal Fetal Medicine, I think,
5 for sure AMA, the Joint Commission, Leap Frog,
6 Centers for Medicare and Medicaid Services. He's
7 just -- he has been very active and it's not an
8 overstatement to say that hundreds of thousands,
9 indeed millions of mothers and babies have
10 benefited from his work. So, we are thankful that
11 he could join us today.

12 PAUL JARRIS: You're muted, Elliot.

13 ELLIOT MAIN: Thank you very much, Steve,
14 and I want to give a special shout out to First
15 Lady Murphy for the tremendous work she's doing in
16 New Jersey and it's really bringing the power of
17 the governor's office and the power of the
18 collective work of everyone in New Jersey to bear
19 on this, and we're learning from her as we work in
20 our progress in our in our state.

21 So, I'm going to show you the power of
22 Public Private Collaborative to work on maternal -

1 - on the maternal side. We have a parallel group
2 in California called CMQCC that's working on
3 neonatal health. California is particularly
4 important because we're very large, larger than
5 most European countries, with almost 500,000
6 births, a little fewer in the last years of the
7 pandemic, and a very diverse population actually
8 47 percent of our births are Latina, 6 percent or
9 so are Black, and 17 percent Asian American, and
10 only about 30-odd percent are white in California.

11 Our collaborative was formed in 2006.
12 So, we have fifteen years of track record here,
13 which is an important as we look at other states
14 that are starting out. And you can't expect
15 change to happen in one or two years. It's taken
16 us with the development of relationships and track
17 record a long time to get where we are today.

18 But we were formed by the -- by the
19 Department of Public Health and Dr Connie Mitchell
20 is going to follow me, talking about their side of
21 the story and they've really been the leaders in
22 this and got us going.

1 First, with a look at maternal mortality,
2 we did not have maternal mortality committee in
3 California until it was started shortly after
4 2006. Our mission was to turn the results of the
5 mortality reviews into action.

6 So, I'm going to look at two of the key
7 slides of our outcomes in California. One is
8 maternal mortality. Again, we started around 2007
9 looking at maternal deaths, and then I'm going to
10 go through the steps that we took to start making
11 change at scale. And again, California is 1 out
12 of every 8 in the United States bigger than most
13 European countries. So, this is really about
14 change at scale from maternal mortality and then
15 more recently low-risk first birth c-sections.
16 Again, our story was we were the same as the US in
17 rates, not very good, 26 percent for the low-risk
18 first birth c-section or NTSV, and then with a
19 combined public-private operation initiative, we
20 were able to significant lower that. The green
21 line on the right-hand curve is baby outcomes --
22 severe unexpected newborn complications, and you

1 can see that we were -- we did this because we
2 want to show that they were not harmed by having a
3 lower c-section rate and, in fact, they actually
4 improved with a lower c-section rate.

5 There are a lot of important steps in
6 this, and this is the mantra that we have taken
7 for most of our journeys. First off, being by
8 statewide public health data like mortality review
9 committees, developing a toolkit, engage every
10 partner organization that we could around the
11 state, hospital change collaborative with rapid
12 cycle data, and this has been a pretty
13 particularly important part for us to lead to
14 change at scale. For example, on the right-hand
15 side here, our statewide initiative for reducing
16 primary c-section or supporting vaginal birth, we
17 combine hospital QI collaboratives that were data
18 driven, had a lot of professional organization
19 leadership, driven by collection of data in the
20 toolkit, indirect participation of women. At the
21 same time, we engaged health plans, our Medicaid
22 agency, purchasers, public health department,

1 actually the Secretary of Health and Human
2 Services for California, gave awards to hospitals
3 of who achieved targets, and we have public
4 reporting, a lot of transparency, which was the
5 key element. These all combined in a synergistic
6 way to actually create that change at scale.

7 Quality improvement toolkits, we've been
8 -- these are, you know, 50- to 100-page documents
9 talking -- giving all the nuts and bolts of how to
10 create change locally at your facility for various
11 topics. We just finished an update to the
12 preeclampsia toolkit that was paired with the
13 National Safety Bundle and had 2,000 registrants
14 for our webinar releasing that.

15 These are paired with collaboratives, and
16 these are IHI type of collaboratives where
17 hospitals work with each other and with community
18 groups to reduce -- to address the specific issue.
19 What's important here is that one collaborative
20 does not change the world. You have to do them
21 repetitively do that you develop a change in
22 culture of the unit, so that you really are

1 changing the outlook, changing the approach. We
2 have done a whole series of these, starting small,
3 twenty hospitals, and then up to ninety hospitals,
4 up to one hundred and thirty hospitals at a time.
5 We have two hundred and thirty-odd hospitals in
6 California making the change process a challenge.

7 Underpinning this is Maternal Data Center
8 and this, we're going to spend a couple slides on
9 this, because this is super important. We were
10 able to, with our Department of Public Health,
11 turn our birth certificates into quality
12 improvement tools. So, we get feeds every month
13 from our Department of Health of every birth
14 certificate in our state 30 days old -- 30 days
15 old, link that to hospital discharge diagnosis
16 files from every hospital in the state. Again,
17 those are now about 45-days-old, and we can put
18 those together -- mother and baby and birth
19 certificate -- and then turn that around to use
20 for hospital QI and for supervision of state
21 practices in near real time. So, hospitals, we
22 have reports that enable our hospitals to

1 calculate and report to outside agencies and to
2 benchmark against each other a whole series of
3 quality measures and stratify them by race and
4 ethnicity, and we'll talk about that in a moment,
5 because we are able to use the race and ethnicity
6 on the birth certificate, which is probably the
7 best or the gold standard.

8 We create enough value for the hospitals
9 in this process that now they're supporting the
10 data center with a modest fee per year from all
11 two hundred and fifteen or so hospitals. Because
12 -- and that's really the key -- a key point in all
13 this is that you want to create value for every
14 stakeholder along the way to get them to buy in.
15 This is doable at potentially every state. It is
16 about breaking silence between birth certificate,
17 i.e. health department, and the agency that
18 collects and stores the hospital discharge
19 diagnosis files. But in many states, these are
20 burdened by rules. So then, that requires
21 leadership. We don't want to invent the rule with
22 a whole new data system. It's there. It's there

1 in every state. So, our mission, I think, here
2 ought to be to really impress upon states that
3 they need to change the regulations and laws to
4 accomplish this.

5 So, I mentioned stakeholders and
6 partners. I think our strength as a public-
7 private organization has been to engage actively
8 everyone we could think of who touches maternity
9 care and that involves a series of state agencies.
10 Dr. Mitchell will talk more about that.

11 Membership associations, our hospital association
12 has been a big player. All of our health systems,
13 we have a lot of health systems in California;
14 Kaiser, Sutter, Sharp, Dignity, Providence public
15 hospitals, as well as a series of professional
16 groups and public consumer and community
17 organizations. But importantly also the health
18 plans, the people who pay the bills are really
19 important in this because they can provide
20 incentives to participate and incentives to
21 achieve targets.

22 But national partners also play a big

1 role. We've been able to work extensively with
2 the Joint Commission in my role both in California
3 and with the HRSA-supported AIM project that's
4 based at ACOG and follows many national
5 organizations to adopt the hemorrhage and
6 hypertension bundles and to promote a set of
7 perinatal quality measures.

8 State Perinatal Quality Collaboratives,
9 like CMQCC, have been supported by HRSA and it's a
10 shoutout Dr. Warren and Dr. Wilson here in the
11 audience today. They have been able to help
12 create national safety bundles, which really
13 provide a guidance to what we should be doing
14 locally. The CDC, in turn, has been supporting
15 PQCs and again a shoutout Dr. Barfield here for
16 supporting us initially in California and now
17 turning to newer state perinatal quality
18 collaboratives. But it takes time to nurture.

19 CMS more recently has been involved with
20 the Inpatient Quality Report as a new measure for
21 adoption in the state perinatal -- state perinatal
22 safety bundles and participation in state PQCs and

1 indeed, we have participated actively with our
2 state's Medicaid agency in supporting OB quality
3 through 115 waivers, which is something open to
4 every state, but I don't think that's an
5 opportunity to be able to re-channel significant
6 support in that direction.

7 I'd like to say, you know, that we made a
8 lot of progress, but in other areas, we haven't
9 made as much progress as we should, and this is
10 areas that First Lady Murphy has really
11 highlighted today. We, in our Hemorrhage
12 Collaboratives, we were able to narrow
13 significantly the morbidity for Black women
14 compared to white and Latino women by about 50
15 percent, but not -- that's not the same but it led
16 us to look at antecedents and prenatal care and
17 other areas, such as preexisting anemia that need
18 to be addressed.

19 Our Cesarean Collaborative reduced the
20 rates for all racial and ethnic groups in
21 California but did not close the gap. We still
22 have a 30 percent reduction as opposed to a 6

1 percentage point difference between Black and
2 white women is now 4 percentage points. So,
3 progress, but not there.

4 There's two ways of looking at maternal
5 mortality. I looked -- I showed you the first
6 graph that was the WHO definition, which is up to
7 42 days. The pregnancy-related mortality rate
8 goes up to a year and after a 30 percent reduction
9 in pregnancy-related mortality in the first few
10 years of our project, it's been flat. So, now,
11 there's still a significant disparity between
12 Black and white women and this is the area that
13 we're focusing on now with a lot more community
14 engagement than before, and it represents a shift
15 of deaths, the postpartum period away from L&D.

16 And our feeling now is we're late to the
17 game on this, I will admit. We really can't do
18 quality improvement without really addressing
19 equity. That's some of the learnings we've had
20 over the last three or four years, and so we're
21 trying to weave intimately equity into every
22 quality improvement project.

1 But in our health care system, such that
2 it is, there's a lot of connections that are
3 lacking. Communities and health systems do not
4 speak often with each other. Medical model and
5 the public health model can do much better to work
6 together. Physicians do not understand the
7 resources available to them in the public health
8 world. And, I would say, visa versa. And in- and
9 out-patient worlds are pretty distinct in quality
10 improvement. So, these are opportunities.

11 Going forward to our work with racism,
12 I'd like to make one illustration here in the last
13 couple of slides. This is the hospital's NTSV c-
14 section rate. They thought they were doing great,
15 22 percent, national target was 23.6 for Healthy
16 Person 2030. They had no idea of how they were
17 doing by race and ethnicity until we were able to
18 show them in our data center a stratified by every
19 race on the birth certificate that their Black
20 mothers were doing 6 percent higher. Huge
21 disparity, even though overall, they were doing
22 great. With this knowledge in hand, they were

1 able to go back and focus on equity and quality
2 improvement together and two years later, two and
3 a half years later, they had a significantly lower
4 rate for their Black mothers, 22 verses 28 -- 22.9
5 verses 28 but are still a difference 18 verses 22
6 overall. So, they're -- but this is a start.
7 First is that they have to recognize what is
8 happening locally in your own facility.

9 So, our feeling about keys for improving
10 care at scale was to use the public health
11 surveillance data to create the Burning Platform
12 for change to drive actions. You've got to
13 mobilize everyone you can think of, including
14 communities. You create a system of rapid-cycle
15 maternal infant data to support and sustain the QI
16 projects, and then also have a data-driven large
17 scale quality improvement projects to change the
18 culture, because it's really about culture at the
19 end of the day. And you want to pull all the
20 levers at once, not just do a collaborative, not
21 just, you know, show some data, but to engage
22 everybody, you know, at the same time, with the

1 transparency, with all the levers that I showed
2 you on the earlier slides, and you have to do
3 equity and clinical QI together.

4 Thank you very much.

5 STEVEN CALVIN: Thank you so much, Dr.
6 Main. This has been great, and I would just -- as
7 you were describing all of this, I think you're
8 the composer and director of a very large quality
9 improvement orchestra and, you know, you know that
10 all the -- all the sections and all the players
11 and sometimes soloists, they're all playing, but
12 it's amazing what is being accomplished and it's a
13 model, I think, for the rest of the country.

14 Our next speaker is Dr. Connie Mitchell.
15 She's an emergency medicine physician and her
16 career has spanned the spectrum from the highest
17 acuity interventions in the emergency department
18 to health policy for primary prevention. As I was
19 reading her bio, I -- it brought me back to
20 something that I heard thirty years ago from a
21 head of department that said that, you know, we
22 could always be down at the bottom of the cliff

1 picking up the people that have fallen off and
2 putting them in ambulances. And he said, we need
3 to put -- we need to put fences at the top and
4 stop people from falling off. And so, I think
5 that's what Dr. Mitchell has been doing. She has
6 a particular focus now on maternal, child, and
7 adolescent health. She helped start the
8 California Pregnancy-Associated Mortality Review
9 and is a colleague of Dr. Elliot's. She was
10 author of the state's first report on maternal
11 mortality, and she is on the front line of quality
12 assessment and improvement, and we're grateful for
13 her participation.

14 CONNIE MITCHELL: Thank you very much.
15 It took a there for it to clear. I'm very happy
16 to be here and very happy to follow the two
17 speakers that you've just heard. I'm really
18 appreciative of the First Lady's remarks because I
19 do -- I have found through experience that it
20 requires just such a strong vision and goal
21 setting in terms of, you know, all leadership and
22 all forces and then you have to align the

1 resources with your values. So, if you -- if you
2 talk about starting with the people who give life
3 as a good starting place for our work around
4 equity and antiracism, then giving all -- aligning
5 your resources with that is really important.

6 And then, I think, I really appreciate
7 how she talked about building a big tent but
8 making it easy for others to join that big tent.
9 Sometimes, we have a big tent and it's people who
10 have the means and the mechanism and the
11 recognition to join. But you have to make it easy
12 for people who have the lived experience, who come
13 from the community, who understand the barriers
14 the most to participate. So, I really appreciated
15 her comments.

16 And Elliot described something that we're
17 very, very proud of in terms of our public health
18 department, and that is that you really can
19 provide important seed funding to an organization
20 and then foster and support them as they become --
21 as they grow and learn and become more
22 independent, yet still part of your team. We were

1 really happy that not only did we use our Title V
2 funding in California to help to create the
3 California Maternal Quality Care Collaborative,
4 Stanford University is the home for that
5 collaborative, and we continue to contract with
6 that collaborative, but worked with them to then
7 take it to the next step, the next step being
8 okay, if we can do more real time quality
9 improvement, what would it take? What data would
10 you need to get to that point? And we tried to
11 facilitate that for them so that they could do
12 that.

13 And then we made it really clear that
14 while we couldn't fund that, we would support it
15 in terms of supporting their ongoing executive
16 committee. They continue to under contract lead
17 our current Maternal Mortality Review Committee.
18 So, we have a very close partnership, but even
19 more importantly, that seed money was critical for
20 all of the success that you've seen Elliot define
21 that now they are able to add additional payor
22 mechanisms and incentive hospitals to participate

1 so.

2 Anyway, if you go to the first slide. I
3 was asked by this committee to talk about our
4 approach and how it started up. In a way, maybe I
5 should have gone first, but we'll talk about how
6 this all started up and will also -- I was asked
7 to talk about some of our challenges that we still
8 have that remain and maybe some ideas for how
9 federal agencies can work with us to support our
10 improvement. Next slide, please.

11 So, we started, like any good public
12 health endeavor would be, is that we started with
13 hypothesis generation, and we had a lot of
14 hypotheses as to why in 2006 and '07 and '08, we
15 saw our rising rates of maternal mortality. And
16 one of those hypotheses was had something changed
17 in terms of obstetrical care? Was something
18 different going on in either the care provided or
19 the status of the patients or, you know, what was
20 happening either prenatally or in the hospital
21 phase? So, it made sense for us to begin with the
22 medical record and looking at the medical record

1 because it's available data that's already there
2 and we have the authority through the public
3 health to be able to get into that data, ask for
4 that data, but what was critical in California --
5 and we shared this belief -- was that if we did it
6 as an oversight agency, we would not engender the
7 goodwill of the hospitals to let us look at some
8 of their most private moments where we did
9 discover that wasn't always -- didn't always reach
10 the standard of care that we hoped to reach. So,
11 we made sure from the very beginning that this was
12 about working together and using the medical
13 records, conducting the public health
14 investigation, using the expertise of our
15 committee, to try to not only ascertain -- not
16 just gather the data, but also to ascertain were
17 there moments there as we read about this case
18 where it might have gone differently if something
19 else had happened instead. And I remember being
20 in those first reviews, where the room would go
21 completely quiet because you realize that it might
22 have gone differently -- this life might have been

1 saved if something -- at that point, you went
2 right instead of left and the room is silent and
3 everybody felt this pain we had to have the trust
4 of one another that we would -- had to move beyond
5 the pain to convert it into meaningful data and
6 that that data could then inform some guidance for
7 hospitals, and then we needed a trusted partner to
8 bridge and provide that guidance and work with
9 hospitals who wanted to do better, and that was
10 kind of our mantra from the very beginning, is
11 that we all want to be excellent. We all want to
12 serve. We all want to prevent these deaths and we
13 want to do this together. And so, CMQCC provided
14 that bridge to the hospitals and the healthcare
15 community and did some real-time quality
16 improvement. They helped us to develop these
17 toolkits, these guidance documents based around
18 quality improvement. They worked with hospitals,
19 who signed on to these learning collaboratives,
20 and they gave them the information that they
21 needed to see if they were moving in the right
22 direction or not. So, it was truly not only a

1 partnership in moving forward, but it gave us
2 real-time accountability, very visible
3 accountability, so that the hospitals could know
4 that they were making progress. Next slide,
5 please.

6 So, you saw this slide with Elliot. This
7 is a slide that I've seen a lot of people point
8 to, and they want me to explain it and what I have
9 to really tell you is that I have to really
10 address this with all humility and that while we
11 were able to use -- make some -- some changes, I
12 don't know exactly what they were. I can tell you
13 the things that we did, but it may not have been
14 exactly those things, because we had so many
15 people in California committed to reducing
16 maternal deaths, that it may have been little
17 things that that accumulated. I can't tell you
18 that it's specifically what we did that
19 contributed to this, but we did see a gradual
20 decline, and this is again maternal mortality
21 rate, meaning up to 42 days using death
22 certificate data alone and the ICD-10 codes for

1 obstetrical deaths. It's readily available, it's
2 feasible in all jurisdictions and allows for cross
3 state comparisons. Next slide, please.

4 But it's not telling the whole story, and
5 we need to be very honest about that, because I
6 think we need to do to a much better job at
7 telling the whole story. So, you can see in this
8 slide that again that maternal mortality rate, the
9 gray dotted line at the bottom, that's that same
10 data line. And you can see the top line. If we
11 look at maternal mortality up to 365 days, and
12 this is something that we're very committed to
13 doing, and also, I heard that New Jersey is and
14 other states are, you can see that our rates --
15 are pregnancy-related mortality ratio rates are
16 higher, much higher -- in 2016, 14.1 compared if
17 you go to 365 days and 5.9 if you go to just 42
18 days.

19 The middle line, the dotted line, is the
20 data that we have. If you look at our data on
21 pregnancy-related mortality ratios, which comes
22 from multiple data resources, vital stats, medical

1 records, ambulatory care records, coroner, and
2 autopsy reports. So, you have multiple records
3 because you're trying to identify all of the
4 deaths and if you look at that only to 42 days,
5 what we think is a more reliable data source --
6 multiple data sources, gives us a pregnancy-
7 related mortality ratio -- because it's not based
8 off just some vital stats -- of 11.9 in 2016
9 compared to 5.9 from death certificates alone.
10 And again, the 14.1 is, if we go out to 365 days.
11 So, this right away, I want to tell you, is an
12 important thing is that the snapshot that we use
13 on maternal mortality ratios is not sufficient to
14 really describe what we think is happening and
15 we're so appreciative of the policy efforts to
16 extend prenatal care and postnatal care to -- to
17 extend postnatal care up to one year. That's one
18 example of things that we can do to perhaps
19 address this. Next slide, please.

20 So, again, with all humility, we have
21 made some improvements. We know that, but we are
22 facing a persistent and very discouraging

1 disparity and I just listened to the First Lady
2 and I felt uplifted that I think that if we have
3 an all hands on deck approach to this, and that we
4 really do the hard work that we need to do that is
5 around the environment and addressing historical
6 institutional racism that we will come up with
7 strategies and we will make some progress.

8 But when you look at -- this is our data
9 -- it's a three-year moving average, you can see,
10 at the bottom, and you can see the division by
11 racism. I think that Elliot showed us a similar
12 slide to this. It shows -- it looks like there is
13 some improvement and you can see the narrowing of
14 the disparity ratio. But when you actually
15 calculate the ratio, which is the dotted line in
16 the middle, we have not improved. We are at the
17 same disparity ratio that we were in 2003 to 2005
18 of 3.9 is that ratio. Next slide, please.

19 And this is again where if you look at
20 the pregnancy-related mortality ratios up to one
21 year and it's the same slide that I just showed
22 you, and what you see here is that at one year,

1 the deaths among Black women, the rates, the
2 ratios increase to 42.5 and 14 to 2016 and you can
3 see that the ratio, because the deaths in white
4 women went down a little bit, these ratios really
5 jumped up. So, instead of being a ratio of 3-4
6 times -- a death rate of 3-4 times that you get at
7 days, it's a ratio of 5-6 times that you get when
8 you look at one year. Now, that's important
9 information, because then you want to say well
10 what's happening with that group. We know that --
11 and I do want to point out that we have to take
12 this with some caution because that's -- these
13 differences are not statistically significant, but
14 the trend is concerning -- so, I want to be really
15 clear on that. The ranges that we see are --
16 could be expected by chance alone, so this
17 disparity ratio should be interpreted with
18 caution, but I want to present it to you.

19 We know that our pregnancy mortality
20 ratio is higher in older age groups, and those
21 with higher BMI greater than 40, those with less
22 education, those with public pay versus a private

1 pay system, but very importantly, it's higher in
2 those living in the most disadvantaged communities
3 in California. Next slide.

4 So, I was asked what does California see
5 as major challenges to improving maternal health.
6 Certainly, addressing the disparities and
7 perinatal outcomes by addressing systemic racism
8 and social determinants of health. Women remain a
9 social economically vulnerable population and the
10 intersectional effect that comes with joblessness
11 and mental health, loss of housing, food
12 insecurity, structural racism, and low rates and
13 access to health insurance all contribute to poor
14 outcomes in pregnancy.

15 We must use the life course approach to
16 risk reduction and resiliency promotion. I think
17 one of the things that we did when we developed
18 the toolkits and we engaged hospitals in quality
19 improvement efforts, but I think we did improve
20 rescue care. But I really think that we can do so
21 much more upstream and thinking about reproductive
22 health as a continuum from childhood to

1 parenthood, and that experiences prior to
2 pregnancy matter, so that the health of girls and
3 women prior to pregnancy is optimized before
4 pregnancy.

5 We need to increase support for other
6 health risk conditions such as behavioral health
7 and chronic diseases that I think are all
8 contributing to rising rates of maternal
9 morbidity. Something that we don't think about a
10 lot is reproductive health literacy for all,
11 including understanding of the pregnancy health
12 burden and high-risk conditions. In our Black
13 Infant Health Program, we surveyed the women
14 participants, and they were not aware prior to
15 enrolling in our Black Infant Health Program of
16 their individual risk just because of their race.
17 So, we now have a campaign that's being developed
18 to try to raise that reproductive health literacy
19 and understanding of the pregnancy health burden,
20 particularly in Black pregnant people.

21 And we want to continue to work to
22 improve the quality of reproductive health care,

1 but that's across the continuum, access to high
2 quality sexual and reproductive health education
3 services, continuity of care after delivery, and
4 connecting mothers to needed, health, social and
5 mental health services, decreasing experiences of
6 racism and disrespect or mistreatment that is
7 regularly reported by people of color in the
8 healthcare system and to focus on the quality of
9 rescue care, but also the quality of prenatal care
10 and the quality of preventive care and women's
11 health. Next slide, please.

12 So, I was asked what can federal agencies
13 help do to support improvement and maternal health
14 for all pregnant people. Right off the bat is
15 this opportunity with COVID to provide equity-
16 based COVID recovery assistance for families and
17 women, and I know we've already done some of this,
18 but we have not yet done enough. Women and
19 particularly women of color dropped out of the
20 workforce to serve as family caregivers.
21 Caregivers are asked to sacrifice their time,
22 their salary, their professional advancement, and

1 their own health. We need to have some clear data
2 guidance from the feds for indirect long-term
3 impacts on families so that we know where to
4 direct our support.

5 We need to have an increased focus on
6 rising rates of maternal morbidity. That's not
7 talked about enough and the complexity of
8 addressing maternal morbidity maybe even more so
9 than maternal mortality. There's currently
10 twenty-one indicators and corresponding ICD codes
11 that can be used to track hospital deliveries, but
12 the administrative data doesn't document disease
13 severity, so it does hinder some of our studies.

14 Another suggestion is to prioritize
15 social support during pregnancy as a routine part
16 of prenatal care, addressing immediately housing
17 and food insecurity, any safety issues, and the
18 need for trauma informed care.

19 I'd like to suggest to you that we have a
20 National Learning Collaborative. I want to have a
21 meeting right away with the First Lady so that we
22 are all learning from one another and that we can

1 focus specifically on morbidity and mortality in
2 our perinatal outcomes for Black people, increase
3 our support for parents, and the national vision
4 that child rearing is the shared societal
5 responsibility. Certainly, universal home
6 visiting, paid parental leave, and caregiver
7 leave, and childcare support.

8 We need to incentivize a stronger and
9 more diverse reproductive health workforce. We
10 need to think about Medicaid payments to licensed
11 birth centers and remove the scope of practice
12 barriers by certified midwives, improve
13 opportunities for racial concordance of care,
14 train and incentivize use of doulas, midwives. I
15 know that HHS has proposed to increase
16 scholarships for disadvantaged students to educate
17 midwives and support that and student loan
18 repayment for health providers should be included
19 and finally address the data quality issues, move
20 from maternal mortality rate to a pregnancy-
21 related mortality ratio to capture causes of death
22 up to one year. We need to identify best

1 practices for identifying the complete cohort of
2 pregnancy, standardize our processes, require
3 coroner reports and autopsies on all maternal
4 deaths, probably require every maternal death to
5 be reported so that we all commit to this being a
6 high priority need to get this information.

7 I wrote the last here, conduct a LEAN
8 assessment of federal processes to increase
9 flexibility and reduce procedural and reporting
10 burdens. This came from my local health
11 departments and my staff is that they said,
12 wherever we can self-assess and we can remove
13 barriers and make work easier, people have more
14 time to do the work at hand. So, that -- I added
15 that to make sure that we all have a
16 responsibility to remove barriers and make it
17 easier and more flexible, so that we can meet the
18 needs of our communities.

19 I want to conclude here that we don't
20 have to -- there's some great resources on this
21 last slide, I have there a list of some great
22 resources -- policy resources. So, if you can go

1 one more slide, thank you.

2 There are lots of great documents that
3 already tell us what to do. It's now time to
4 start doing it. That's my last advice is that we
5 -- we know what to do. We certainly have models
6 for the political will to do it, we just need the
7 processes for aligning the resources with the
8 values and with the goals that have been set. And
9 if you want to make it really simple, next slide,
10 please, I really found that the work for the
11 Center for Reproductive Rights simplified it
12 really easy for us in these categories. We need
13 to improve healthcare access and quality, while
14 addressing underlying determinants of health. We
15 need to eliminate discrimination and law and
16 practice. We need to assure accountability, and
17 we need to include and empower all of the voices
18 that will be essential for us to resolving these
19 big problems.

20 So, thank you for including me, and thank
21 you to my co-panelists, who I find you both to be
22 very inspiring.

1 STEVEN CALVIN: Thank you very much, Dr.
2 Mitchell, and First Lady Murphy, and Dr. Elliot.
3 I think we have a little bit of time. Are there
4 any questions? I guess we'll start with Dr.
5 Conry.

6 JEANNE CONRY: Mine is not a question.
7 Mine is just a thank you for fifteen years of
8 being inspired by the work that they're doing.
9 I'm delighted that they were able to share their
10 knowledge with this group because I think it's
11 this knowledge and evidence going forward that's
12 so critical for us. So, thank you to everybody
13 and First Lady Murphy, an inspiration for what we
14 need to do with political will.

15 STEVEN CALVIN: Great.

16 JEANNE CONRY: Thank you.

17 STEVEN CALVIN: Thank you. Ed.

18 EDWARD EHLINGER: Yeah, mine was a
19 political will question for all of the -- and
20 political not just on the political side and, you
21 know, the public policy side, but also in the
22 hospital side. This requires a lot of sharing of

1 information and requires hospitals to be willing
2 to share some of their data and be visible and be
3 and be vulnerable. It takes politicians on both
4 sides of the political aisle to respect the fact
5 that we work collaboratively. How -- how did you
6 do it in New Jersey and how are you doing it in
7 California to get people to play well together and
8 not have an us versus them or a public-private,
9 get government out of activities like this? How
10 do you build that political will? How do you form
11 those partnerships that were so obvious in both of
12 your presentations that are so essential?

13 ELLIOT MAIN: First Lady, do you want to
14 go first, please?

15 TAMMY MURPHY: Sure, absolutely. So,
16 it's funny, this is the same question I've been
17 asked literally three times this week already,
18 which is extraordinary, because we're only --
19 we're only in Tuesday. The -- the answer from my
20 side is that we have gone out and spoken to so
21 many stakeholders across the state of New Jersey,
22 in Washington, in New York, truly across the

1 country, and I think that this topic, from my
2 vantage point at least here in New Jersey, is a
3 unifying topic. Whenever my husband gives State
4 of the State speeches or the budget address, this
5 is the one topic that comes up where both sides of
6 the aisle clap and there's no descent. So, I
7 would say to you that, you know, I think it's a
8 little bit of leaning and trying to meet with all
9 the stakeholders, you know, whether it's the Black
10 Legislative Caucus on the one hand and being
11 thoughtful about including potential outliers up
12 front. So, in a lot of the conversations we've
13 been having, if we kind of could think down the
14 road where we might have a speed bump, we've
15 actually gone out of our way to include those
16 specific individuals in conversations at the very
17 beginning, so we can make sure that we are all
18 understanding one another.

19 But all the work we've been doing is
20 literally bringing together great people who are
21 working in silos all across our state and beyond.
22 Because there's a lot of fantastic work that's

1 being done, as you all know, but the fact of the
2 matter is that people don't reach out and talk to
3 one another, and I think when you open up those
4 lines of communication, I think that what you'll
5 find is that actually, this is a very compelling
6 topic and I think most people -- most people here
7 in New Jersey agree.

8 STEVEN CALVIN: Thank you.

9 ELLIOT MAIN: I think in a similar way,
10 you know, our first or six years was spent
11 entirely on networking and on communicating and
12 really creating premise that we're here to help
13 people improve care and reduce mortality and
14 morbidity, which is hard to be against. And so,
15 we've tried to accent the positive throughout this
16 process, i.e. giving awards rather than penalties,
17 though -- and incentives for achieving goals and
18 that, by and large, has been very well received.

19 But we do have economic competitors
20 working together on this, different healthcare
21 systems are sharing data with us. We don't, you
22 know, a limited amount of data gets public

1 release. But transparency is a very powerful
2 tool. That is not -- is not in place in some of
3 the states in our country where they don't believe
4 in transparency and that's a missed opportunity.

5 STEVEN CALVIN: Yeah, thank you. I
6 guess, Magda, you have a question, unless Connie
7 Mitchell, do you have a response as well to --

8 CONNIE MITCHELL: Go ahead. Please go
9 ahead.

10 STEVEN CALVIN: Okay, Magda.

11 MAGDA PECK: Thank you, Dr. Mitchell. I
12 appreciate that. I am always in awe of what the
13 California Maternal Quality Care Collaborative has
14 been doing. So, and I appreciate that it's taken
15 fifteen to eighteen years, a lifetime, to harvest.
16 So, I just want to extend my gratitude to my
17 colleagues who are also my neighbors now.

18 I am struck also by the data that were
19 presented by Dr. Main and later, I think, by you,
20 Dr. Mitchell, around the extraordinarily good
21 outcomes for foreign born Latina or Latinx women
22 and I just -- that has been part of the paradox

1 that Sylvia Goodman and others have written about
2 for a long time. When you do your work and the
3 disparities are so great, have you also looked at
4 why, in the face of economic challenge. This
5 particular population apparently by the numbers
6 does better than their white counterparts who are
7 non-Hispanic. Do we ever look at what are the
8 positives in the data, in addition to the
9 disparity of our Black and American Indian women
10 who have poor outcomes? So, it's a California
11 thing, but I'm wondering if it's beyond a
12 California thing on this paradox.

13 ELLIOT MAIN: Connie, do you want to
14 start and then I can answer too.

15 CONNIE MITCHELL: I'll make a couple of
16 comments around that in that it's an important
17 question because these communities want us to
18 elevate their strengths and their abilities. We
19 used to have a -- we used to call it a
20 disadvantage index, and they said no, that means
21 that you are not perceiving the strengths that we
22 have and so now it's called a Healthy Places

1 Index. We recently did a report on American
2 Indian/Native Alaska Maternal Health Report, and
3 we did that with the communities and how would do
4 look at this data and interpret it. What do you
5 see in here? What would be your analysis and then
6 how does that relate to what you see as
7 opportunities and barriers, and that report then
8 went in a whole different direction, and we're
9 doing the same thing with a report that will be
10 coming soon around Black Infant and Maternal
11 Health.

12 So, I would say that don't identify the
13 strengths and the richness of a group of people,
14 unless you ask them and engage them and let their
15 perspective be a part of your analysis and your
16 final conclusions.

17 ELLIOT MAIN: It's clear in our analysis
18 that there are a lot more strengths in the Latin
19 community that is reflected by their income or
20 their personal wealth, which are some of the
21 traditional metrics that are used. But also, when
22 we look at comorbidities as a driver of maternal

1 morbidity and we develop adjustments for this so
2 you can compare hospital to hospital. When you
3 look at race and ethnicity, actually immigrant
4 Latina women have much fewer co-morbidity -- many
5 fewer co-morbidities other than other racial
6 groups. And so, their outcomes are not perhaps
7 quite as good as you would expect when you when
8 you do the risk adjustment. They're still good
9 comparatively for their -- for those traditional
10 income and education level type of measures. But
11 that, as Connie says, does not really reflect the
12 strengths of the community.

13 STEVEN CALVIN: Great.

14 MAGDA PECK: And I'm just appreciative of
15 that around the weathering effects, if you will,
16 the protective factors, the veneer that wear off
17 with subsequent generations. So, over time, your
18 data may tell us something that they can talk
19 about those forces.

20 STEVEN CALVIN: Okay. Well, I think our
21 last question is from Janelle, and then I think Ed
22 will wrap things up for the day. Go ahead,

1 Janelle.

2 JANELLE PALACIOS: Thank you. You know,
3 it's very similar, thank you for your
4 presentations, and it's very similar to what Magda
5 was bringing up, just, you know, why are the
6 Native American -- where's the data on the
7 American Indian/Alaska Native people and Connie
8 has provided with the Health Equity Work Group.
9 Thank you, Connie, for the California department's
10 report on American Indian Maternal Health and I
11 was looking through E-mail really quickly so I
12 could send the link to everyone on chat. So, I'll
13 do that as well. And yes, looking at it from a
14 positive perspective versus a negative defect
15 perspective is always key. And so, my question
16 then, Dr. Main and Connie, please, and Dr.
17 Mitchell, please just also share how are you going
18 about including this data on American
19 Indian/Alaska Native communities' maternal infants
20 and three data points, if you include myself and
21 then my three children for the state of
22 California. And how are you collecting the data

1 and what kind of partnerships did you have to do
2 to make way? Thank you.

3 ELLIOT MAIN: So, we have the ability to
4 stratify, as I said earlier, all of our outcomes
5 by race and ethnicity and we had been hesitant to
6 look at Native Americans as a separate category
7 initially because there were about 1.5 percent of
8 the population overall, but when you divide it
9 into hospitals, they're very small and we were
10 worried that they may be interpreted poorly
11 because, you know, one or two poor outcomes in
12 small end can give you very high rates.

13 We've since changed that and are now in
14 our current reporting going to be showing Native
15 American as a separate group rather than as an
16 other with advice about how to interpret small
17 numbers.

18 The other issue that we found, similar to
19 the US census, is if you look at women or birthing
20 persons who are multiracial, i.e. Native American
21 plus white or plus Hispanic or plus something
22 else, you would actually more than double the rate

1 of Native Americans in our state and that's
2 something that's seen nationally as well. And so,
3 that's something that we're looking at as to
4 whether you want to include the Native American
5 plus Native American as part of multiracial in the
6 same category and we're going to seek guidance on
7 that. But that almost more than doubles the rate
8 of Native Americans.

9 JANELLE PALACIOS: Thank you, Dr. Main.
10 You know, what you're sharing is reflective of
11 just, you know, historical policies that have
12 targeted our people and continue to target as how
13 we are defined, and it is very tricky, I agree.
14 And what we're really trying to capture right,
15 experiences -- experiences of those people and
16 we're using ethnicity in this point as like a --
17 it's a poor measure of experiences. Thank you.

18 ELLIOT MAIN: The more I've gotten into
19 reporting by race and ethnicity, the more I
20 realize that race and ethnicity are bogus labels.
21 It's very difficult.

22 JANELLE PALACIOS: Thank you.

1 doing that work that that really can make a
2 difference. They've been demonstrated in New
3 Jersey and California and everywhere else. So, we
4 -- that's why I asked that question about the
5 political will. It is -- it's a community well,
6 it's a community taking on the fact that moms and
7 birthing individuals, and babies are really
8 crucial, and we need to focus on all of the issues
9 that are there. We know a lot what's working, you
10 know. First Lady Murphy, you identified a whole
11 lot of things that, you know, you're doing and are
12 making some progress. California certainly
13 identified it. We know what works; we just need
14 that the political broadly defined to make that
15 work. We need an NIH for the social determinants
16 of health with the same kind of resources, whereas
17 now, you know, 95 percent of it goes to medical
18 care and only 5 percent goes to, you know, sort of
19 the prevention activities. We need to have a
20 little bit different balance and so thank you for
21 instructing us about the kind of the nature of the
22 universe that we have to work with. So, thank you

1 all for your contributions today and I look
2 forward to really talking about some of those
3 social determinants tomorrow in some of our
4 sessions when we get back together again at noon
5 Central or Eastern time and so, have a good night
6 and we will see you tomorrow.

7

8 [Whereupon the meeting was concluded.]